

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICAL EVALUATION AND RESEARCH

+ + + + + 9490 01 JUN 28 19:16

VACCINES AND RELATED BIOLOGICAL PRODUCTS
ADVISORY COMMITTEE

+ + + + +

MEETING BY TELECONFERENCE

OPEN SESSION

+ + + + +

MONDAY, JUNE 11, 2001

The meeting came to order at 11:30 a.m., in Conference Room 1NN06, Building 29-B, National Institutes of Health, 9800 Wisconsin Avenue, Bethesda, MD., Robert Daum, Committee Chair, presiding.

PRESENT:

- | | |
|--|--|
| Dr. Robert Daum, M.D. | Committee Chair |
| Dr. Kathryn Carbone, M.D. | Chief Laboratory LPRVD |
| Dr. Bill Egan | Deputy Director Research of Influenza Vaccines |
| Dr. Neil Goldmark | Associate Director Research |
| Dr. Karen Midthun | Director, Office of Vaccines Review Research |
| Dr. Peter Patriarca | Director, Division of Viral Products |
| Ms. Nancy Cherry | FDA/CBER/SACS |
| Ms. Denise Royster | FDA/CBER/SACS |
| Dr. Steve Kohl, M.D. | Member |
| Dr. Dixie Snider, Jr.,
M.D., M.P.H. | Member |
| Dr. Walter Faggett, M.D. | Member |
| Dr. Diane Griffin, Ph.D. | Member |
| Dr. David Stephens, M.D. | Member |
| Ms. Barbara Lou Fisher | Member |
| Dr. Pamela Diaz | Member |
| Dr. Judith Goldberg, M.D. | Member |
| Dr. Samuel Katz, M.D. | Member |

OPEN

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

C-O-N-T-E-N-T-S

Roll Call 3

Call to Order, Introductions, Announcements . . . 4
and Conflict of Interest Statement

Introduction to CBER's Laboratories 6
Dr. Neil Goldman

Laboratory Research in the Office of 12
Vaccines Research and Review
Dr. William Egan

Division of Viral Products 13
Dr. Peter Patriarca

Laboratory of Pediatric and Respiratory 15
Viral Diseases
Dr. Kathryn M. Carbone

Comments and Questions 22

Open Public Hearing 28

Adjourn 31

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-N-G-S

(11:43 a.m.)

1

2

3

MS. CHERRY: Okay. Dr. Faggett?

4

DR. FAGGETT: Here in Washington.

5

MS. CHERRY: Okay. Dr. Diaz?

6

DR. DIAZ: Here.

7

MS. CHERRY: Dr. Griffin?

8

DR. GRIFFIN: Here.

9

MS. CHERRY: Dr. Daum?

10

CHAIRPERSON DAUM: Yes.

11

MS. CHERRY: Ms. Fisher?

12

MS. FISHER: Yes.

13

MS. CHERRY: Dr. Katz? Dr. Katz? Well he
14 was here, he was the first one. I guess he'll be
15 back.

16

DR. KATZ: Can't you hear me? I'm here.

17

MS. CHERRY: Okay. Dr. Goldberg?

18

DR. GOLDBERG: Here.

19

MS. CHERRY: Dr. Stephens?

20

DR. STEPHENS: Here.

21

MS. CHERRY: Dr. Kohl?

22

DR. KOHL: Here.

23

MS. CHERRY: And Dr. Snider?

24

DR. SNIDER: Here.

25

MS. CHERRY: Okay. And when they find Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 Kim he'll dial in. And Dr. Manley could not be with
2 us. Okay. All over to the Committee chair.

3 CHAIRPERSON DAUM: Okay. I would like to
4 go around the table and -- I'm just joking. The
5 first act I guess is to tell everybody welcome and
6 thank you for making the time to participate in this
7 teleconference style, which is a little less
8 satisfying than face to face I think but probably
9 saves a lot of effort and travel.

10 And then to turn the floor over to Nancy
11 for announcements and conflict of interest statements
12 that apply to today's session.

13 MS. CHERRY: It does. Well, first of all,
14 let me mention who's in the room here. We have Dr.
15 Goldman, Dr. Egan, Dr. Patriarca, Dr. Carbone. We
16 have a reporter, right? Okay. And we have Bill Fries
17 from my office and, of course, oh Denise back here, I
18 didn't see. And we have the court reporter and that's
19 it.

20 When you all speak would you please
21 identify, okay, and Dr. Midthun will be coming in I
22 understand. When you speak, would you please
23 identify yourselves for the benefit of the court
24 reporter, since he can't look down the table and see
25 who's talking and, of course, I have a conflict of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interest statement, Bob, how could you say
2 otherwise?

3 CHAIRPERSON DAUM: I'm sure that's
4 probably true.

5 MS. CHERRY: Okay, here goes. This
6 announcement is made a part of the record for the
7 meeting on June 11, 2001 of the Vaccines and Related
8 Biological Products Advisory Committee. Based on
9 the agenda made available, it has been determined
10 that the committee discussions on the scientific
11 research programs of the laboratory of pediatric and
12 respiratory viral diseases present no potential for
13 a conflict of interest.

14 Should we discuss specific products of
15 firms not on the agenda, for which you all have a
16 financial interest, then please exclude yourself
17 from the discussions and we'll note your recusal for
18 the record. With respect to anyone else in the
19 room, we ask if they speak that they state their
20 name and affiliation and any current or previous
21 financial involvement with any firm whose product
22 they wish to comment on.

23 And as I started this little reading,
24 I'll remind you one more time to please identify
25 yourself when you speak. That's it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON DAUM: Thank you very much,
2 Nancy. I think we'll now ask Dr. Goldman to
3 introduce us to the laboratories and CBER in general
4 and then we'll work our way down more specifically
5 to the group that's the subject of discussion today.

6 DR. GOLDMAN: Yes. Well, thank you, Dr.
7 Daum. Good morning. I am Neil Goldman, the
8 associate director for research at CBER and I'd like
9 to thank you for joining us in what will, hopefully,
10 be a short advisory committee, at least we hope so.

11 The purpose of this teleconference is
12 ultimately to present the report of the site visit
13 team's evaluation of the research programs in the
14 laboratory of pediatric and respiratory viral
15 diseases, and the four members of the laboratory who
16 were formally reviewed. This lab is in the division
17 of viral products under the direction of Dr. Peter
18 Patriarca and within the office of vaccines research
19 and review under the direction of Drs. Karen Midthun
20 and Bill Egan.

21 As you are already aware, product review
22 and approval are among our primary responsibilities.
23 We have scientists and medical officers who do full
24 time product and clinical review, but in addition we
25 have lab-based senior investigators and conversion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 track fellows spending about half of their time on
2 product and clinical review and half of their time
3 on regulatory research related activities.

4 We refer to these latter staff as our
5 researcher reviewers and, parenthetically, many of
6 our researcher reviewers spend now probably more
7 than half their time on regulatory matters.

8 Currently at CBER we have approximately
9 420 lab-based scientists of which about 76 are
10 permanent career appointment principal
11 investigators, and about 58 are what we refer to as
12 conversion track investigators which is similar in
13 academia to what you refer to as tenure track
14 investigators. We also have approximately 96
15 contract post doctoral fellow and about 193
16 technical support and staff scientists.

17 Now the types of research performed in
18 the center include, first, research on a specific
19 product, including for example such aspects as
20 mechanism of action, potential toxicity or surrogate
21 measures of efficacy. Second, research on a
22 specific policy issue which may be related to a
23 product class, disease area or therapeutic modality.
24 And, lastly and of course of major importance to
25 regulatory agency like ours, research associated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with the development and validation of methods and
2 standards to maintain product safety and quality.
3 In fact, these categories I think will be
4 exemplified by the four research programs being
5 reported on today.

6 The vigil and oversight and quality
7 control of our research programs are maintained
8 through our continuous intramural review of our
9 research. We site visit review our laboratory
10 research programs, and the individuals who guide
11 them, every four years by an external peer review
12 committee composed of members of our advisory
13 committee in concert with outside experts. For
14 example, those from academia and other research
15 institutions, or in the particular field of study of
16 the laboratory being reviewed.

17 For our approximately 30 laboratories,
18 this turns out to about 6 to 7 lab reviews per year.
19 In addition, internally our office directors for
20 each of the product offices with research using
21 criteria for mission relevance and scientific
22 excellence, have been annually prioritizing their
23 research projects and funding them accordingly.

24 Lastly, we underwent an external review
25 of the center's entire research program by our blue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ribbon panel, this was back in 1998, which included
2 review of our 12 research divisions.

3 Now a site visit team, a subgroup of
4 your advisory committee, so a subgroup of VRBPAC,
5 was charged to assess, and that is assessing both
6 strengths as well as weaknesses, the quality and
7 appropriateness to the regulatory mission of the
8 research being conducted which includes the relevant
9 scientific rationale, validity of approaches,
10 creativity of design and solution, and level of
11 sophistication.

12 And also to evaluate the accomplishments
13 of the individual scientists, that includes
14 demonstration of his or her abilities and
15 experimental design and performance, independence of
16 effort, originality, stature and recognition amongst
17 his or her peers and productivity.

18 In addition, we ask the site visit team
19 to provide us advice on current scientific direction
20 of the research program, whether new directions
21 should be considered, any changes in the way the
22 research program is administered, or the level and
23 utilization of resources in that program. And,
24 lastly, any advice on promotion or conversion of an
25 eligible candidate, particularly the appropriateness

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at this time for such a personal action.

2 Our final draft report is prepared by
3 the chair of the site visit team; in this case Dr.
4 Snider, with the aid of his ad hoc reviewers and
5 presented to this full parent advisory committee to
6 the VRBPAC.

7 Now as the parent advisory committee,
8 you, VRBPAC, have the duty to accept, reject or
9 modify the site visit team's report in part or in
10 its entirety.

11 Then, lastly, you, VRBPAC will provide a
12 final approved report which is then sent to our
13 center director who will then pass it down the chain
14 of command to the particular investigator who was
15 reviewed.

16 If a recommendation requires a response,
17 one will be prepared and sent back to VRBPAC.

18 Now our internal promotion and
19 conversion evaluation committee will use the final
20 approved site visit report from VRBPAC as
21 significant evidence to support either a candidate's
22 conversion to permanent employment status, or his or
23 her promotion to the next grade level.

24 So, in conclusion, I'd like to thank Dr.
25 Snider for his excellent shepherding of the site

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 visit review of the laboratory of pediatric and
2 respiratory viral diseases, and also our gratitude
3 to the expert members who made up his review panel.

4 And I lastly would like to express our
5 deep appreciation to VRBPAC for supporting our need
6 for peer review of our research programs, which is
7 particularly critical in these times of fiscal
8 austerity. So thank you, Dr. Daum and I turn it
9 back to you I guess for the next speaker.

10 CHAIRPERSON DAUM: I must say, Dr.
11 Goldman, that it's a resource and a chance to really
12 focus one's efforts, if one's a laboratory
13 researcher, to have this process go on and I'm sure
14 there's many of us in academia who wish that we had
15 a similar mechanism in place at such regular
16 intervals because it's very helpful to direct your
17 efforts and helpful just on the quality and
18 relevance of what you're doing.

19 DR. GOLDMAN: Well, thank you, it helps
20 us in prioritizing.

21 CHAIRPERSON DAUM: We now get a little
22 more focused on the target of this morning's
23 discussion and we'll call on Bill Egan to tell us
24 about laboratory research in OVR. You're on,
25 Bill.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. EGAN: Yes, thank you. Well Dr.
2 Goldman has just given a very nice and thorough
3 overview of the research programs within the center
4 and Dr. Patriarca is going to be speaking about the
5 laboratory viral products in a few moments. And, if
6 I recall, one of the things I learned in logic a
7 long time ago, there's the law of the excluded
8 middle, and I think some variant of that applies
9 here. So I only have a very brief remark to make
10 and that said, I'd like to reiterate the remarks I
11 made at the time of the review about the importance
12 of the research programs and indeed the laboratory
13 programs in general within the office of vaccine to
14 the regulation of vaccines.

15 These research and laboratory programs
16 are integral to the regulation of vaccines. And I'd
17 like to just thank everybody for their participation
18 in the review of the laboratory programs. The
19 feedback that we get from the reviewers is really
20 necessary for maintaining the high quality of the
21 programs and for giving us very sage advice with
22 regard to directions for these programs and the
23 directions that the individual researchers are
24 taking.

25 And then the quality of the individual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 researchers which are so important in our decisions
2 with regard to promotions and conversion. And,
3 again, I would like to thank all of the reviewers
4 who participated in the review of the laboratory and
5 pediatric and respiratory viral diseases and, again,
6 Dr. Snider for shepherding everybody through the
7 process. That's all I'm going to say, just my
8 thanks again.

9 CHAIRPERSON DAUM: Thank you, Bill.
10 We'll go right on I think to asking Peter Patriarca
11 to now get us a little more closer to target, and
12 that is to discuss the laboratories in the division
13 of viral products.

14 DR. PATRIARCA: Thank you, Bob. I too
15 would like to extend my thanks and welcome to all of
16 you for taking time out, not only for the site visit
17 but also for meeting today.

18 I just want to provide a very brief
19 overview of our division. Our division is one of
20 two so-called research divisions within the office
21 of vaccines review and research. It's divided into
22 eight components, including the office of the
23 director and seven laboratories, one of which you
24 will hear about in detail today. At the moment we
25 have a full time staff of 68 with about another 30

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or so contract employees. Our budget this year is
2 approximately \$1 million dollars, which is
3 supplemented as it has for about the past five or
4 six years by about \$3 to \$5 million dollars for
5 targeted projects that are funded by other sources.

6 As Dr. Goldman alluded to earlier,
7 although we are a research division, the better part
8 of what we do has to do with review and regulatory
9 activities. As Neil said, our top scientists now
10 are in the range of 70 to 80 percent of their time
11 on review activities. And a lot of what our
12 division does is otherwise invisible to the public,
13 namely we also have quite a bit of post licensure
14 activities, including inspection and compliance
15 issues, lot release testing and lot release protocol
16 issues.

17 We also review various reports for those
18 vaccines for which the division regulates, and we're
19 also involved in label and promotional activity
20 review. And I think Dr. Carbone and her laboratory
21 sort of represent all of these activities in great
22 detail. Her repertoire is probably larger than any
23 of the other laboratories in the division.

24 That's all I'd like to say and I would
25 like to turn it over to Kathy to talk to talk about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 her laboratory.

2 CHAIRPERSON DAUM: Thank you very much,
3 Peter. Dr. Carbone, are you there?

4 DR. CARBONE: Yes, I am.

5 CHAIRPERSON: It's still good morning
6 evening your time zone. Good morning. I'd like to
7 hear from you next so if you just step up and go,
8 that'll be great.

9 DR. CARBONE: Good morning. Thank you.
10 Thank you Dr. Daum and thank you to the site review
11 committee and I appreciate everyone's participation
12 and I hope the phrase that Dr. Daum used to refer to
13 my laboratory as a "target" earlier is not taken too
14 literally by everyone on the committee. But anyway
15 we appreciate your comments.

16 I'd like to refer, I guess everyone
17 received -

18 CHAIRPERSON: It's under review.

19 DR. CARBONE: Just kidding. Everyone
20 should have received a black-covered little notebook
21 that states DVP and LPRVD summary, and that will be
22 the basis of my brief talk here. So that'll help
23 people to sort of follow along. So if you want to
24 pull -- and that's fortunately the thinnest one, if
25 you want to pull that out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Today I'd like to talk about the
2 laboratory. Its large name is in part due to the
3 fact that it's a joining of two separate
4 laboratories, which also explains sort of the mixed
5 nature of the review. We have a different agenda
6 for virtually every investigator and, hopefully,
7 this review will sort of unify and put everybody on
8 the same track literally for the future reviews.

9 As a result, Dr. Atreya, who is being
10 reviewed for promotion from GS-13 to 14 is
11 undergoing a full review since his last review was a
12 full four years ago. Dr. Levandowski is also being
13 considered for full review and future promotion from
14 GS-14 to 15, again it was reviewed fully four years
15 ago, but Dr. Beeler and myself were reviewed in
16 November of 1999 and, as a result, are only being
17 considered for update only purposes. But, again,
18 this review will put us all on the same track and
19 the next review in four years will constitute the
20 first time this laboratory will be reviewed on an
21 even keel ever.

22 As Dr. Patriarca said, I think many
23 people when reviewing labs like to know what the
24 regulatory commitments of the laboratory are so as
25 to put the research productivity in right, and I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think just suffice it to say that with approximately
2 20, 21 percent of the DVP's personnel we review
3 about 30 percent of the INDs and about a little over
4 50 percent of BLA supplements. So you can just say
5 that our regulatory requirements are substantial.
6 So that just helps put our research productivity in
7 light.

8 Between November of 1999 and February
9 2001, the LPRVD members produced 46 publications and
10 have presented at many national and international
11 meetings, including ASV, international double strand
12 meeting, ICAAC, IBSA and conference on vaccine
13 research and these included many plenary session
14 presentations.

15 The research is accomplished with a
16 baseline funding of about \$7,000 to \$10,000 per
17 research position per year, but in addition we
18 receive a substantial amount of funding, thankfully,
19 from the national vaccine program office, as well as
20 outside grants, including NIH extramural RO1
21 funding, and this year my laboratory received BTEP,
22 a collaborative grant with Russia. I'm the
23 principal investigator in a collaboration with CDC
24 and Vector Laboratories in Russia with an
25 approximately \$2 million dollar grant. And it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these sort of external funding that keep our
2 laboratories going.

3 We have four research teams in the
4 laboratory. There's my team which is neuro immuno
5 pathogenesis team, and in my team we study the
6 pathogenesis of virus-induced neurological disease.
7 We use these data, studying the development of in
8 vivo, in vitro and molecular biological assays in
9 neurovirulences, how we apply these two vaccines.

10 It's important to know the pathogenesis
11 of the disease process in order to develop a
12 rational neurovirulence assay and we hope to move
13 our assays from the animal to the molecular level.

14 We are fairly free with the viruses that
15 we study in that these assays can be used, and are
16 currently being used, to assess mumps virus,
17 influenza virus, smallpox vaccines and we use Borna
18 Disease virus as a mechanism to study development in
19 the brain and apply neurovirulence assays to
20 developmentally pertinent areas.

21 We have had several publications since
22 our last review, and you can see those listed in the
23 summary, but the most interesting one is that
24 recently at a WHO meeting in Geneva they presented
25 our mumps vaccine neurovirulence assay development

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and have a resolution to try an international
2 validation of that study.

3 It turns out that many countries that
4 cannot afford to use some of the more expensive
5 vaccines and are using vaccines that have some small
6 but significant association of meningitis
7 neurovirulence outcomes, would like to try testing
8 batches of vaccine with this assay to try and
9 attempt to find batches of vaccine that are less of
10 a risk of neurovirulence outcome. So we're going to
11 attempt some international validation studies of our
12 assay system.

13 In addition, we have the viral
14 pathogenesis and vaccine adverse reactions teams
15 which is headed by C.D. Atreya. They studied
16 rotavirus vaccine potency and efficacy, elucidating
17 molecular mechanisms associated with rotavirus
18 vaccine-related adverse events such as
19 intussusception. They studied molecular mechanisms
20 of Rubella virus pathogenesis and adverse events and
21 look at the etiology of Rubella vaccine associated
22 autoimmune in arthropathy.

23 I think what's particularly important
24 about this team is that we decided that when
25 rotavirus vaccines became a very important issue for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the FDA, C.D. Atreya who initially was quite
2 established in the Rubella virus field took up de
3 novo essentially rotavirus and became, has become in
4 a fairly short time a significant player in the
5 research field.

6 He was on the organizing committee for
7 the recent double-strand RNA meeting and has
8 published several interesting papers, including
9 studies of mutations and rotavirus enterotoxin as
10 well as finding some mutations outside the NSP4
11 cytotoxic domain and tissue culture adapted strains.

12 And his work continues and he has two
13 publications that are now submitted to the Journal
14 of Cell Biology and the Journal of Biological
15 Chemistry. His work has been quite impressive in
16 such a short time.

17 We also have the influenza team which
18 was recently joined by Dr. Zhiping Ye, an M.D.,
19 Ph.D., well trained influenza virologist who has
20 added his significant molecular biology skill to the
21 laboratory and he and Dr. Levandowski have one
22 publication Journal of Virology studying replication
23 of influenza virus and how it relates to the matrix
24 gene, and have now got a publication in virology
25 with some small modifications and we expect that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 publication to be accepted as well.

2 The importance of their work for
3 vaccines is quite substantial because with
4 modifications in the matrix gene, understanding how
5 it affects replication, they expect to be able to
6 tune up virus replication which will be helpful in
7 activated vaccine production, as well as tune virus
8 replication which would be helpful in active or live
9 attenuated vaccine production.

10 So that work can be applicable to both
11 and continues in quite some fine work.

12 We also have Dr. Beeler's antigenic
13 structure and function team, which studies and
14 understands better knowledge of virus receptor and
15 co-receptor interactions which facilitate the
16 ability to understand factors that contribute to
17 tropism, virulence and attenuation in RSV vaccines.
18 She studies unique ways to develop vaccines that are
19 effective for RSV including antibodies that actually
20 block virus receptor interaction, which is a novel
21 approach to vaccines, which can actually block
22 infectivity and contribute to infection.

23 The assays measure these specific
24 antibodies may prove to be useful correlates of
25 protection which we do not have currently for RSV,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and will help in clinical evaluation of RSV
2 vaccines. She's had substantial achievements in
3 this area and many of these articles are nearing, or
4 have been published in the recent past.

5 And I think that overall, since our
6 coming here in 1996, I think the laboratory has
7 pulled together with the addition of several key
8 people like Dr. Atreya and Dr. Zhiping Ye and we've
9 had some substantial increase in research
10 productivity, and Dr. Beeler as well, and the
11 laboratory from a research point of view has pulled
12 together. And I've been quite proud of a laboratory
13 that has always had substantial regulatory input,
14 now having substantial research output as well.

15 I thank you for your attention.

16 CHAIRPERSON DAUM: Thank you, Dr.
17 Carbone for your presentation and I think I'll
18 rephrase your unit as being the subject of the
19 review.

20 (Laughter.)

21 DR. CARBONE: Thank you.

22 CHAIRPERSON DAUM: Okay. Are there
23 questions and comments on the presentations we've
24 heard so far?

25 DR. KATZ: Hello? Bob?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON DAUM: Yes?

2 DR. KATZ: Hi, this is Sam Katz. I'd
3 love to ask Dr. Carbone a generic question which
4 comes across in a number of the reports that we were
5 sent to read, and which I think she touched on very
6 tangentially.

7 And that is, I'm not trying to be an
8 advocate, I'm just trying to get you to say what I
9 hear so often, and that is is there sufficient
10 personnel and is there a sufficient number of hours
11 in the day, so that the people who are doing
12 regulatory work and research can do both?

13 DR. CARBONE: Well, the answer to that
14 is no. We desperately need more help in the
15 influenza laboratory I think without question. As
16 everyone knows, Aviron has announced publicly that
17 they have submitted a license application for live
18 attenuated flu vaccines. We have essentially no
19 additional resources to deal with the regulatory
20 efforts that are going to come along with that
21 license application, and if the vaccine is licensed
22 what follows.

23 DR. KATZ: That's going to be one of the
24 topics of our agenda at the July meeting.

25 DR. CARBONE: Right. And that has

1 caused substantial ulcer-generating times for both
2 Roland Levandowski and myself. We have a
3 substantial number of activity in the rotavirus
4 arena. Many people suspected, or predicted, that
5 the problems with the -- vaccine in voluntary
6 withdrawal would result in closing down activity in
7 rotavirus vaccine but I think, as again stated
8 publicly by at least Merck and Glaxo SmithKline
9 actually other companies saw this as an opportunity
10 and activity, at least from those two companies, has
11 increased as they stated publicly. So that's a
12 very active area.

13 We have many other areas that are active
14 and, of course, our neurovirulence program,
15 virtually every vaccine has to be run through at
16 least mentally if not in the laboratory, for
17 neurovirulence assessment. So we have a tremendous
18 amount of work from the regulatory end, and the
19 research end we're trying to hold it together.

20 So from a personnel point of view, we
21 need substantially more resources and we've been
22 blessed this year with additional research
23 resources, but we're still making it on a
24 shoestring. I think my academic background has made
25 me frugal and a scambler, but it's not easy. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 need more in both things.

2 DR. KATZ: Thank you very much for a
3 very candid answer.

4 CHAIRPERSON DAUM: If I could just take
5 a minute to let everybody know about an event that
6 occurred, perhaps of some importance, I don't know
7 yet. One of the things that comes with chairing
8 this committee is to sit on the National Vaccine
9 Advisory Committee, which met recently. And there's
10 a usual sort of report from the acting assistant
11 secretary for health, Dr. Lawrence, and there was a
12 question and answer session after Dr. Lawrence's
13 report which has to do with budget items for large,
14 large things in the department.

15 And CDC's budget, for example, was
16 brought up and discussed, and I actually raised my
17 hand and began to talk to Sam about this very issue,
18 that is to do with the situation with the research
19 laboratories and FDA, Dr. Carbone, Dr. Goldman and
20 many others, Dr. Egan, have been discussing with us
21 over the while.

22 And I had the feeling that he was
23 genuinely hearing something that he hadn't thought a
24 lot about, and I had three or four committee members
25 come up and thank me for raising it with him. He

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 promised to look into it, and maybe that's a step to
2 getting some more public awareness or intra
3 government agency awareness of what's going on here
4 and what the problem is.

5 DR. KATZ: Well, I hope it's, you know,
6 I realize it's just an acting appointment and until
7 they appoint some people from the White House who
8 have some policy clout, I don't know whether
9 anything's going to happen.

10 CHAIRPERSON DAUM: Well, he seemed
11 interested and seemed to be willing to look into it.
12 And I agree with you though, it's not enough to just
13 do that.

14 DR. KATZ: And I would hope that it's an
15 appropriate role for this committee to advocate on
16 behalf of the intramural program.

17 CHAIRPERSON DAUM: Definitely, and I
18 would like to talk with you some other time about
19 doing more in that regard.

20 DR. KATZ: Fine.

21 CHAIRPERSON DAUM: I have one question
22 about procedure here. Can someone educate us as to
23 what GS-14 and 15 actually mean?

24 DR. GOLDMAN: Yes. The GS-14 is roughly
25 the equivalent in academia to the associate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 professor level, and the GS-15 is roughly equivalent
2 to a full professor.

3 CHAIRPERSON DAUM: So this is an
4 important hurdle?

5 DR. GOLDMAN: Yes, this certainly is an
6 important hurdle.

7 CHAIRPERSON DAUM: Okay, thank you.
8 Other committee members with questions about FDA
9 presentation so far? I'm not hearing a whole lot.

10 DR. KOHL: Just a quick question. Steve
11 Kohl. I was somewhat concerned about viruses that
12 can be represented. Hello?

13 DR. CARBONE: Were you asking -- is that
14 a question for me?

15 DR. KOHL: Yes. And I wonder if
16 parainfluenza or adenovirus are covered in other
17 parts of the --

18 DR. CARBONE: We cover parainfluenza,
19 RSV. We do not cover adenovirus, the DNA laboratory
20 covers adenovirus, but we do do parainfluenza.

21 DR. KOHL: Okay.

22 DR. CARBONE: Right now we are hiring a
23 staff fellow from my laboratory to replace one that
24 has left who will be doing parainfluenza review for
25 us.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KOHL: Thank you.

2 CHAIRPERSON DAUM: Thank you very much.
3 Other questions?

4 DR. STEPHENS: Yes, hi, this is David
5 Stephens. I have one question. There was a comment
6 made, I think by Peter, suggesting that 70 to 80
7 percent of the individual's times are spent in
8 regulatory activities. Is that in fact true for
9 your laboratory, Kathryn?

10 DR. CARBONE: I would say that's not, if
11 you take over the course of the day, I try and carve
12 out an eight hour day, I would try and carve out an
13 hour or two tops to spend in the research effort, so
14 I think that's not an unusual percentage if you're
15 going an eight hour day, you know, if you count
16 weekends and whatnot --

17 DR. STEPHENS: Research efforts.

18 DR. CARBONE: And then there's the
19 issue of emergencies come up that we have to just
20 drop everything and deal with the regulatory issue.
21 But it's quite a big of juggling, multitasking going
22 on.

23 CHAIRPERSON DAUM: Other input from
24 committee members? Okay. We'll now turn the floor
25 over to Nancy for a somewhat macabre open public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hearing, macabre in that we're out there on our
2 conference calls and I presume anyone who wishes to
3 addresses the committee is there.

4 MS. CHERRY: Anyone who wishes to
5 address the committee would be here. We have no one
6 in the room that wishes to speak, so I think you're
7 safe in declaring the open public hearing closed.

8 CHAIRPERSON DAUM: Then I'm going to do
9 that.

10 (Laughter.)

11 CHAIRPERSON DAUM: The open public
12 hearing is closed. And we now need to have about a
13 five minute break.

14 MS. CHERRY: Well, since there's only
15 one person here, I can tell you when she's gone.

16 CHAIRPERSON DAUM: All right.

17 DR. CARBONE: I have to go.

18 MS. CHERRY: Oh yes, Kathy has to go.

19 Two people, I'm sorry. Dr. Carbone is leaving.

20 CHAIRPERSON DAUM: Thank you very much,
21 Dr. Carbone. Who else is leaving?

22 MS. CHERRY: I'm sorry, Bob, I didn't
23 hear what you said.

24 CHAIRPERSON DAUM: Who else is leaving,
25 Nancy?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. CHERRY: Oh, okay, The reporter and
2 Dr. Carbone. And let me mention that Dr. Midthun
3 has joined us. She was here I guess right after Dr.
4 Goldman spoke.

5 DR. MIDTHUN: Hi there.

6 CHAIRPERSON DAUM: Depending on where
7 you are, good afternoon.

8 MR. MIDTHUN: Thank you.

9 MS. CHERRY: Dr. Egan has left the room
10 momentarily, he'll be right back. He walked the
11 reporter out.

12 CHAIRPERSON: All right. Well perhaps
13 we'll go right on then unless someone needs to have
14 a break.

15 PARTICIPANT: Can we take a five minute
16 break?

17 CHAIRPERSON DAUM: Why don't we? Here
18 in the Eastern Time Zone it's right around 11:15 and
19 we'll just put our phones down and resume at exactly
20 11:20.

21 PARTICIPANT: That's the central time
22 zone.

23 CHAIRPERSON DAUM: 12:20 at the FDA.

24 PARTICIPANT: Are you serving coffee?

25 MS. CHERRY: We're serving water. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can send you some virtual water here.

2 CHAIRPERSON DAUM: Does that make sense
3 for everybody? I have 11:14 central and we'll meet
4 at 11:20 central, 12:20 FDA time.

5 (Whereupon, the above entitled matter
6 went off the record at 12:15 p.m.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

CERTIFICATE

This is to certify that the foregoing transcript
in the matter of: OPEN MEETING BY TELECONFERENCE

Before: FDA / CBER / VRBPAC

Date: MONDAY, JUNE 11, 2001

Place: BETHESDA, MARYLAND

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

Eric Hendrixson