

1 It's an experimental process, but it shows that it is
2 possible to get higher levels of removal or
3 inactivation if, in fact, the product you get out of
4 this is still useful, and I haven't heard too much
5 about that yet.

6 Yes.

7 This to show you what this data looked
8 like from our laboratory because I have this data in
9 hand, the way we do our clearance calculations is the
10 challenge itself we performed in duplicate. These are
11 the dilutions for each one of these experiments.
12 These are the various experiments right here.

13 Wherever you have a big S, the animal
14 develops scrapie over the course of the incubation.
15 Where you have a dot, that means there was an animal
16 there, but it never developed disease.

17 And so we have down here our challenge
18 inoculum. The titers were very consistent between the
19 two challenges and gave us a great deal of confidence
20 in our titrations.

21 And here's the full filtrate, the filtrate
22 followed by ion exchange; the ion exchange itself over
23 here, and here's the UHT sterilization.

24 Next.

25 This is summarized in this table right

1 here, and I'll just make a couple more points, and
2 then we'll finish up.

3 We started with ten to the ninth ID-50 per
4 mL, and these were the titers we obtained and the
5 various pooled fractions, but these had to be
6 multiplied by a volume because we had a large volume
7 involved in all of these things.

8 And this is the step-wise clearance that
9 was calculated. This was diluted one to 1,000, by the
10 way, and so that's the comparison you're making here,
11 and this is the step-wise clearance for each one of
12 these steps. And this would be the cumulative
13 clearance.

14 And what I've shown you here is that there
15 wasn't a big difference between the filtrate itself
16 and the filtrate followed by ion exchange. Therefore,
17 you cannot really look at these separately. You have
18 to look at them together in this process.

19 Provided you can combine the removal that
20 you get from this process with the removal you got
21 from the sterilization, UHT sterilization, you'd have
22 around six logs. This may not be valid, and the
23 reason for that is that if this material is being
24 selectively removed here was already selectively
25 removed during the degreasing step, for example, it

1 wouldn't be valid to include it at this stage.

2 But what we can say is at the level of the
3 purification stage of the experiment, we're getting
4 somewhere between four and six logs of removal.

5 Next.

6 Let's see. I think I've just made these
7 point, except for this one right here. I was
8 surprised at the results of the filtration and the ion
9 exchange steps because we've done this type of removal
10 experiment for a number of other clients and different
11 validation studies, and especially these depth
12 filtrations are often quite effective and remove, you
13 know, more typically four or five logs of infectivity,
14 and it's a warning that the context in which you do
15 these things is important, but we don't know what's
16 important, whether it's the matrix that's different,
17 the actual materials that we use for the filtration,
18 and actually this was a pooled result from several
19 different matrices; whether it's the apparatus, the
20 geometry of the apparatus and the configuration that's
21 different; or whether it's the gelatin itself.
22 Gelatin is often used as a carrier to protect
23 biologicals, and maybe it's serving that purpose here
24 as well.

25 Next.

1 Finally, UHT result, just a couple of
2 points. It's consistent with the earlier kinetic
3 experiments that I had done earlier. I think we have
4 to be careful here because it may be sensitive to
5 small variations in time at 140 degrees, and it would
6 be nice to know just how robust this process is, and
7 we should get some evidence for that once the mouse
8 experiment has developed.

9 And as it looks now, and it's still in
10 development, it's certainly within a log of what we're
11 seeing here, what we saw with the hamster.

12 Next.

13 This was just to make those points, and I
14 think I made them verbally. So we don't need the
15 diagram.

16 Finally, to conclude, it looks from the
17 preliminary data that we have right now that we can
18 expect from the crude gelatin extraction process to
19 remove three to four logs of infectivity, but I think
20 an important aspect of this is that the degreasing
21 step may be the most effective step, which is not what
22 we had originally expected necessarily.

23 The purification steps remove an
24 additional four to six logs, and the filtration and
25 ion exchange seem to remove the same type of

1 infectivity, and the UHT provides a potentially secure
2 inactivation step.

3 This gives us a cumulative removal
4 somewhere in the range of six to seven logs, and an
5 inactivation of somewhere in the range of five to six
6 logs.

7 Next.

8 I think that's it.

9 CHAIRMAN BOLTON: Thank you, Bob.

10 Questions? I'm sure there will be some.

11 Peter.

12 DR. LURIE: You mentioned a number of
13 alternative processes to the liming, the acid process,
14 the .3 normal sodium hydroxide process, a number of
15 others, which actually seem to be more effective in
16 reducing infected material than liming.

17 And my question is: what is the impact of
18 those alternative processes upon the quality of the
19 material in terms of its marketability?

20 DR. ROHWER: Well, that's a very valid
21 question, and I can't answer it. But I think there
22 are people here who could. Does someone from GME want
23 to take that question? Yeah.

24
25 CHAIRMAN BOLTON: Please step to the

1 microphone.

2 MR. GROBBEN: Could the question please be
3 repeated because I could hear it very poorly here?

4 CHAIRMAN BOLTON: The question basically
5 is: is the product that's produced in the
6 experimental .3 normal sodium hydroxide treatment or
7 the other procedure was the autoclaving at 133 degrees
8 C., 20 minutes, followed by direct extraction; do
9 those produce an equivalent gelatin product or useful
10 gelatin product?

11 MR. GROBBEN: Well, the gelatin produced
12 by the acid process, including sodium hydroxide short
13 treatment is the same kind of gelatin as the gelatin
14 produced by the acid process without this step.

15 The gelatin produced by the heat and
16 pressure process is a gelatin which its main use is in
17 the food industry, but will also be used in capsule
18 manufacturing as an addition to the limed gelatin. In
19 general, the gelatin is gelatin with a lower gel
20 strength, a gelatin produced by a heat and pressure
21 process.

22 CHAIRMAN BOLTON: So from the
23 bioengineering standpoint, these are not necessarily
24 direct substitutes for existing gelatin products, but
25 might be additions to or used in conjunction with the

1 existing products?

2 MR. GROBBEN: Well, the gelatin produced
3 by the acid process, including the sodium hydroxide
4 step, can directly replace acid bone gelatin. The
5 other gelatin is a different kind of gelatin indeed.

6 CHAIRMAN BOLTON: Other questions?

7 I have a few actually, Bob. Let me see if
8 I can decipher my own handwriting.

9 Well, the first question I guess I have is
10 I didn't see an experiment, or maybe I missed it, that
11 begins with a spiking at bone chips and takes it all
12 the way through the filtration, the purification
13 steps. It seems to me that --

14 DR. ROHWER: No, there was. The very
15 first two experiments go the whole way.

16 CHAIRMAN BOLTON: All the way to your
17 steps of filtration?

18 DR. ROHWER: Yeah, yeah.

19 CHAIRMAN BOLTON: And those results are
20 not back yet then.

21 DR. ROHWER: But we don't have the results
22 at the -- we don't have the results from the
23 purification steps.

24 CHAIRMAN BOLTON: Right.

25 DR. ROHWER: Those are still on

1 titration. They're on titration, but they haven't
2 developed far enough to report those yet.

3 CHAIRMAN BOLTON: Okay, and the next
4 question is -- and this is difficult. Believe me, I
5 appreciate how hard it is to do this -- the initial
6 spiking is in adding this macerate to the bone. How
7 do you determine the titer of the spike? Is that done
8 just from a sample of the macerate?

9 DR. ROHWER: Again, I did not do this part
10 of the experiment, and Mr. Grobбен did, but I know
11 that what he was doing was he weighed everything
12 before and after, and determined how much tissue
13 actually ended up on the bone by weight difference.

14 MR. GROBBEN: Mr. Chairman, can I make an
15 observation on that?

16 CHAIRMAN BOLTON: Yes.

17 MR. GROBBEN: Well, what I actually did
18 was that I made a liquid of the mouse brain tissue by
19 milling it very fine and injected this into the spinal
20 cord of a piece of cattle spinal cord, and that was
21 later then cut to sizes of one and a half centimeters,
22 and part of the material in the syringe was smeared
23 over industrial crushed bones, which was mixed with
24 that piece of cut spinal cord to have very realistic
25 model of infective starting material.

1 The syringe was filled with mouse brain,
2 with fine milled mouse brain which was that thin that
3 it could be injected, and the syringe was rated before
4 injection and after injection.

5 CHAIRMAN BOLTON: The question really is:
6 how was the sample taken to determine the titer of the
7 agent that was used to spike? Was it --

8 MR. GROBBEN: The sample, that was a
9 sample of that mouse brain that was in the syringe.

10 CHAIRMAN BOLTON: Okay. So no attempt was
11 made to actually take some of the bone with the
12 material and crush it and titer that. So this is my
13 next question.

14 DR. ROHWER: No, it was the macerate that
15 was titered.

16 CHAIRMAN BOLTON: Right, okay. What was
17 the first step that was bioassayed downstream from
18 that?

19 DR. ROHWER: It was the extracted gelatin.

20 CHAIRMAN BOLTON: Oh, so no intermediate
21 steps were bioassayed. Okay.

22 I ask this question because in validation
23 studies that I have done, it's always nice to be able
24 to compare an early step with the spike to insure that
25 you actually had that material present at some early

1 stage.

2 I realize that in this case it's difficult
3 because you're really handling bone for much of the
4 early process.

5 Yes?

6 MR. GROBBEN: Well, Mr. Chairman, on
7 itself the bone would not be a very big problem
8 because you would be able to powder that bone very
9 fine, even taking all precautions necessary because
10 it's highly effective, but the problem is making
11 dilutions with solid material. That is the big
12 problem.

13 CHAIRMAN BOLTON: Yes. No, I appreciate
14 the difficulty in doing that.

15 Other questions? I don't want to
16 monopolize. Yes.

17 DR. BELAY: Bob, is there any way to
18 estimate the concentration or the titer of the agent
19 that may be associated with natural infection in the
20 bones? In other words, is there any way that you
21 could estimate the titer in a natural infection that
22 could be associated with, let's say, the skull bones
23 or the vertebrae?

24 And if so, how would that compare with the
25 concentration you used in the spiking material or in

1 the spiking experiment?

2 DR. ROHWER: Yeah, well, there is an
3 ongoing titration of BSE brain in cattle in the U.K.,
4 and the problem with that titration is we have no idea
5 what the incubation time of the disease is at limiting
6 dilution in the cow. So you don't know when the
7 titration is going to be over. It's out five or six
8 years now. I don't think it's even six years, is it?
9 Maybe. Maybe it is out six years, and I know it's up
10 around ten to the seventh or ten to the eighth per
11 gram of the inoculated tissue, or at least that's what
12 I've heard, but it could go higher as the incubation
13 progresses.

14 If anybody has more recent information on
15 that titration, that would be useful.

16 MR. GROBBEN: Well, what I heard from my
17 contacts with David Taylor, he says, well, if you take
18 a value of about ten to the seventh as the infectivity
19 for BSE cattle brain, that will be in the right order.
20 It could be a bit higher.

21 Further, if you make, say, a kind of risk
22 calculation, then you will see that the infectivity in
23 bone will be in the most thinkable worst case not
24 higher than about ten to the 1.8 per gram, while in
25 these experiments we were using an infectivity

1 somewhere in the order of ten to the six to ten to the
2 eight ID-50 per gram of bone.

3 So we are something like 10,000 to a
4 million times higher than what could ever happen in
5 reality.

6 DR. ROHWER: I'd just like to emphasize
7 that that titration is not over, the cow titration,
8 and the numbers I heard were larger than that.

9 CHAIRMAN BOLTON: Additional questions?
10 Is there one from the floor?

11 DR. SCHOENTJES: Yes. Dr. Rohwer very
12 interestingly put the question about the robustness of
13 the UHT sterilization process, quoting the data of
14 four seconds and 138 degrees. I just want to remember
15 these values of four seconds, 138 degrees is actually
16 a commitment value for UHT treatment from the GME
17 members dating back in '94, and we didn't know the
18 efficiency.

19 We expected, as Dr. Rohwer said, from his
20 experiments, amongst others, that it would do
21 something. Now, typically, talking about robustness,
22 typically, because it's a continuous process, we are
23 operating in most of the member -- and maybe they can
24 contradict me -- at 140 or slightly higher and for
25 between seven and ten seconds.

1 So that's just because of the dispersion
2 of the residence time and dispersion of the
3 temperature control we operate at high, more severe
4 conditions, but the four and 138 is a commitment.

5 Thank you.

6 DR. ROHWER: That's extremely reassuring,
7 and my expectation would be ten seconds could be
8 enormously greater effect than four seconds.

9 CHAIRMAN BOLTON: Bruce?

10 DR. ROHWER: I apologize. I have a hard
11 time hearing you because there's so much ambient noise
12 from this ventilator.

13 DR. EWENSTEIN: Okay. I'll try to speak
14 up. Just two sort of experimental questions because
15 I don't do this kind of work. Is it possible to input
16 enough infectivity at the top of the process that you
17 can follow it all the way through both steps?

18 I understand why in the first cut you
19 would sort of break it up into components, partly you
20 know maybe because different labs have different
21 expertise or just, you know, it makes sense, but
22 because we keep hearing about the issue of
23 subpopulations and could you be removing the same kind
24 of infectivity in the first step as the last step?

25 DR. ROHWER: That is the ideal way to do

1 any of these experiments. You want to always
2 condition the spike by the preceding steps in the
3 process before you test it, and so, yes, I would
4 endorse that entirely.

5 The issue here was especially because the
6 degreasing step is so effective in terms of removing
7 tissue mass, and basically you can see the stuff you
8 put on disappear in that step. We weren't really sure
9 there would be anything left by the time you got to
10 the extraction step, and so this was done in that way.

11 And also we were expecting that, well,
12 maybe you'd have a little bit left, but you wouldn't
13 see the full potential of those steps. Quite frankly,
14 I was expecting to see a lot more removal than we saw
15 at least from the filtration step and perhaps from the
16 ion exchange step, and for example, if we had been
17 down to a couple of logs, we wouldn't have seen the
18 UHT result.

19 So in the best of all possible worlds, you
20 do both things. You take and run the process from
21 beginning to end. You tested each step of the
22 process, and you also run step-wise validations for
23 the various process steps to take care of this
24 contingency that you might miss something because you
25 run out of infectivity somewhere along the line.

1 In fact, that's the way we've been able to
2 do some of our blood validation studies, but it gets
3 to be quite pricey.

4 DR. EWENSTEIN: But now that you, you
5 know, put in ten to ninth, I think it was --

6 DR. ROHWER: Yeah.

7 DR. EWENSTEIN: -- and now that you only
8 see the first piece at four logs, was it, or so, four
9 to five logs, is there enough coming out then as it
10 turns out to run it all the way through and see the
11 next four logs?

12 DR. ROHWER: Oh, definitely, but what I
13 was pointing out to Dave is that's already occurring.

14 DR. EWENSTEIN: Oh, okay.

15 DR. ROHWER: You know, these protocols,
16 the alkaline protocol with BSE and the acid protocol
17 with BSE have both been carried all the way through to
18 the end. So we are looking right now at this
19 intermediate titer, and in a few months we'll have a
20 readout on the final titer after that intermediate
21 stage has been carried through.

22 That removal, it didn't remove all of the
23 infectivity. So definitely there is infectivity
24 challenging those filters and those ion exchange
25 columns, but we're not looking at the intermediate

1 steps there. Only the final step is going to be
2 looked at. So we're not going to know how, for
3 example, the infectivity conditioned by the earlier
4 process behaves and the filtration. We won't be able
5 to answer this reservation I have about the
6 filtration, for example, from those experiments.

7 CHAIRMAN BOLTON: Yes, Pedro.

8 DR. PICCARDO: How are these kind of
9 experiments being done using material of things from
10 cattle with BSE and titrating back into cattle? So
11 the cattle with BSE, you produce gelatin, and then
12 that is challenged against cattle or transgenics,
13 meaning human, with the human gene.

14 DR. ROHWER: That would be an excellent
15 experiment, and the technical problem there is just
16 working with cattle, but the transgenics may actually
17 give us an opportunity to get around that issue.

18 I see that Stan has left already or has he
19 left or is he just down -- I don't know, but can you
20 tell us? Because my impression is that there's
21 actually a BSE titration in your TG animals that has
22 been completed or is underway, and it was quite
23 successful, right?

24 DR. DeARMOND: Yeah. That's been worked
25 out to some extent. The BSE in the transgenic BOPRP

1 mouse, and I don't know the titers, but it's in the
2 order of ten to the ninth in brain. I think the issue
3 here is we don't have a good feel for what titer is in
4 skin or in bone without central nervous system
5 contamination.

6 DR. ROHWER: But I think Pedro's point is
7 that you could do this experiment starting with bovine
8 bone from a BSE affected animal, titrating it into
9 your mouse and look directly at the cow, you know,
10 instead of doing a spike with a mouse derived material
11 spiking bovine bones. Just start with a bovine spinal
12 cord or a bovine skull, and use your transgenic.

13 DR. PICCARDO: Actually transgenic is in
14 Dr. Prusiner's lab and DeArmond's lab is with the
15 bovine gene, also would be to do it transgenic with
16 the bovine gene or challenge it transgenic with the
17 human gene. That would be the other way.

18 DR. ROHWER: Well, yeah, you could do
19 that, but it seems to me that the question you're
20 really asking is whether you're removing the
21 indigenous bovine infectivity that comes in with the
22 bovine bones, and for that you'd want a bovine assay.

23 DR. PICCARDO: Yeah, right. I guess the
24 one question refers to the removal of the infectivity
25 and the other goes into --

1 DR. ROHWER: Into risk, yeah.

2 DR. PICCARDO: -- the experiment into the
3 human.

4 CHAIRMAN BOLTON: Stan, would you like to
5 comment?

6 DR. PRUSINER: Yeah. I mean, I'm sorry I
7 missed the beginning of this discussion, but just a
8 technical comment. There's really not much point to
9 stick BSE into humanized mice because it doesn't go
10 into these animals, but the bovinized mice would be
11 perfect to do what you want done, and I think it's
12 very appropriate because I was thinking when you're in
13 a slaughterhouse and you watch them remove the skin,
14 the hide, whatever you want to call it. I wonder how
15 much nervous system tissue is really being pulled away
16 as you do that.

17 Of course, the skin is highly innervated.
18 There are lots of nerves in there, and how many
19 ganglia are getting pulled? My guess is not too much,
20 but there's still a lot of nerves, and I have no idea
21 what the titer in these peripheral nerves that
22 innervate the skin is.

23 CHAIRMAN BOLTON: Let me get a comment
24 from the floor.

25 MR. GROBBEN: I should like to make short

1 observation on your question, sir. Well, of course,
2 we have had a very brief look at the beginning of the
3 experiments at different --

4 DR. PICCARDO: Sorry. Can you speak up,
5 please?

6 MR. GROBBEN: Yes.

7 DR. PICCARDO: It's hard to hear.

8 MR. GROBBEN: We had a brief look at
9 different possible models at the beginning of the
10 study, and while doing the study with actual bovine
11 infective material was also shortly mentioned, but
12 then looking at how we should have to titrate it and
13 the enormous amount of cattle involved for that, well,
14 it would make it impossible.

15 Second, at the time we developed the
16 study, the 31V NDV mice (phonetic) was the closest
17 model for BSE. Using actual BSE with, say, cattle
18 adapted or transgenic mice was not possible yet
19 because those mice were not there yet.

20 CHAIRMAN BOLTON: Right. Steve.

21 DR. PETTEWAY: Yeah, just a comment on the
22 issue with the potential for resistant or refractile
23 populations of prion or infectivity during processing
24 not being removed. We've looked at that with several
25 processing steps and processes, say, for

1 immunoglobulin, and for step one, either prion or
2 infectivity that wasn't removed, in other words,
3 wasn't partitioned away, we then took that infectivity
4 in prion and we spiked it into the next step, step
5 two, and we found that still there was some prion or
6 infectivity that wasn't removed at the second step.

7 However, when that prion or infectivity
8 was spiked into step three, all prion or infectivity
9 that could be measured was removed. So at least in
10 some cases over a series of process steps, it's
11 possible that all input prions through either one step
12 or another that's mechanistically independent could be
13 removed. It doesn't mean it will happen with all
14 processes, but at least some it will happen.

15 CHAIRMAN BOLTON: Yeah, and it's
16 comforting to know as I misunderstood the first time
17 that this process actually has been tested from
18 beginning to end and is under test and will produce
19 results by the fall or, I guess, early 2002.

20 DR. ROHWER: We put all our titrations on
21 at the same time. So we'll have a year on these
22 animals late September, and we'll feel pretty
23 confident with the 301D model.

24 Actually this is the first experiment we
25 did in that model. So I'm not sure -- first

1 titrations we've done in that model. So I don't know
2 what the incubation time is really, but we're still
3 getting animals coming down at a fairly regular clip
4 right now, and once we get to a point where we haven't
5 seen any new infections for several months, I'll feel
6 pretty confident that we can draw our conclusions from
7 the experiment. We're just not at that point.

8 But we'll have a year on it by late
9 September, and on all of the arms of our experiment,
10 the hamster experiment is essentially over. We
11 haven't seen an infection there in a long time, and
12 the animals are starting to die of old age.

13 This was not by our choice. This was an
14 EC decision to hold these animals this long, but in
15 any case -- oh, the other point though is that the
16 Edinburgh experiment was staggered. The inoculations
17 were staggered. So some of them are quite far behind
18 the others, and there will be a longer lag for some of
19 that data, but it's all in animals.

20 CHAIRMAN BOLTON: Very good. Any other
21 questions, comments from the committee?

22 One more from the floor, yes.

23 MR. GROBBEN: I should like to make a few
24 observations about things Dr. Rohwer probably did not
25 understand while he was, well, talking with us about

1 this presentation.

2 He speaks about that the results, final
3 results of the concentrated gelatin in Edinburgh will
4 be available in August, but I'm afraid that will be a
5 few months later. In August the titrations are
6 finished, and after that the pathology of the animals
7 has to be done, which is also taking quite a while
8 because we are talking about a few thousand mice.

9 Then the heat and pressure process is not
10 only an experimental process, but this process is,
11 indeed, already actually used in a factory in the
12 Netherlands, and at the moment still the major use for
13 this gelatin is for the licorice industry because it
14 seems to improve the taste of licorice enormously.

15 CHAIRMAN BOLTON: Good. I like licorice.
16 I can't wait to try it.

17 (Laughter.)

18 DR. ROHWER: We know alcohol doesn't do
19 anything.

20 CHAIRMAN BOLTON: I usually don't mix
21 alcohol and licorice.

22 DR. ROHWER: Right.

23 CHAIRMAN BOLTON: I think what we'll do
24 now is take our break, come back in 15 minutes. That
25 would be 10:15 for the open public hearing, and we'll

1 see you back then.

2 (Whereupon, the foregoing matter went off
3 the record at 10:56 a.m. and went back on
4 the record at 11:15 a.m.)

5 DR. FREAS: Could I ask committee members
6 to take their seats and the audience take their seats,
7 please?

8 CHAIRMAN BOLTON: Welcome back. We're
9 entering the home stretch. I would now like to open
10 the topic number three to the -- have the open public
11 hearing portion and welcome comments from the floor,
12 from the public.

13 MR. GOOSSENS: My name is Patrick
14 Goossens. I am President of GME.

15 And I would like to come back to two
16 points which have been raised this morning. The first
17 one is after the presentation of Dr. Schoentjes, I was
18 a bit amazed by the number of questions that have been
19 asked about European raw materials, about what is
20 happening to European bones.

21 I think it's quite confusing, and
22 therefore, I would like to emphasize again that, in
23 fact, today that is not the issue because European raw
24 materials, or at least bovine raw materials, today are
25 not used by GME members to produce gelatin that is

1 imported in the States for pharmaceutical or for food
2 applications.

3 We have some European bone gelatin
4 produced, and that goes to photo applications, but
5 none of it is going to pharma or to photo -- to food
6 applications.

7 Now, where do the bones come from? Well,
8 as you will remember from Dr. Schoentjes'
9 presentation, a quite substantial amount of European
10 gelatin is produced in Europe based on American bones.

11 Now, the question is where do the other
12 bones come from. Well, again, I reemphasize they are
13 not coming from Europe. We are importing bones also
14 from Africa and from Asia.

15 Now, the question, of course, is that if
16 you're importing from Africa and Asia, how can you be
17 sure that everything is okay because no matter how you
18 look at it those countries might have a certain
19 reputation. Well, I can say we only import bones from
20 Africa and from Asia from countries which have
21 submitted a file to the Scientific Steering Committee
22 in Europe to be -- to get a classification, a GBR
23 classification.

24 Now, submitting a file means that you must
25 have a system in place in the country itself for

1 looking for BSE, for reporting BSE, and then the whole
2 system around it. We're only importing bones from
3 those countries.

4 Furthermore, the suppliers where we are
5 taking bones from in Africa and in Asia, they are
6 audited by the local authorities and also by the
7 gelatin producers themselves.

8 So once again I would like to reemphasize
9 today we are not using European bones to make bovine
10 bone gelatin to import in the States. In other words,
11 all the gelatin that we import is FDA compliant, is
12 compliant with the FDA guidance to the industry.

13 The sourcing of raw material is, in fact,
14 the major reason -- the major -- how do you say
15 that? -- the major ground for us for guaranteeing the
16 safety of gelatin.

17 The guarantee of safety that we give
18 starts with the raw material. The study that we're
19 doing on the production process today, in fact, we
20 only look at it as a kind of back-up if something
21 would go wrong, in the very unlikely case something
22 would go wrong with the raw materials that we use.
23 Then we prove that -- with the process, that the
24 process is removing the prions also.

25 But once again, the sourcing of the raw

1 material is for us the major point for guaranteeing
2 the safety of the gelatin. This is also why today we
3 are not asking to the FDA or to the TSE Advisory
4 Committee to approve European bones.

5 We hope that once the final results of our
6 study are available, that then putting together all
7 the measures in place in Europe, all the legislation,
8 all the selection of the bones, and also the guarantee
9 that or the proof from the study that the production
10 process is removing the prion, at that time we will
11 try to come back as GME and say, "Look. If you put
12 everything together, then we think that the final
13 product, even when it's based on European bones, is a
14 safe product."

15 But this is not the issue yet because
16 today the study is not final, but that will probably
17 happen, I hope, for the next TSE Advisory Committee.

18 CHAIRMAN BOLTON: Thank you.

19 Questions? Stan.

20 DR. PRUSINER: Yes. We had a rather
21 content -- I don't know whether you were hear
22 yesterday, but we had a rather contentious discussion
23 last --

24 MR. GOOSSENS: I wasn't here yesterday.

25 DR. PRUSINER: -- late into the evening,

1 when everyone, at least I was exhausted. And we were
2 talking then about plasma fractionation, and this
3 concept of fractionating European plasma one week and
4 American plasma the next week in a particular plant,
5 wherever it is.

6 Now, is that happening in GME producers
7 where you make gelatin from European bones one week
8 and then the next week you use Asian bones and the
9 next week you use African bones and the next week you
10 use American bones?

11 MR. GOOSSENS: Well, most of the
12 production facilities for bone gelatin in Europe, they
13 are using bones from different sources. That might be
14 American bones, sometimes European bones if it's for
15 photographic --

16 DR. PRUSINER: So one production facility
17 uses bones from different places?

18 MR. GOOSSENS: Yes, indeed. But we must
19 also say that in most of the plants between the
20 different production processes for different raw
21 materials, there are cleaning procedures in place.

22 Now, you can discuss about those cleaning
23 procedures, and that would take us a long way. On the
24 other hand, there is also another issue, and that was
25 covered this morning also.

1 When we produce gelatin from whatever raw
2 material, we always apply the most stringent
3 conditions, and the reason is that if a certain
4 customer asks you a certain quality of gelatin, the
5 gelatin production process is not such that you can
6 turn a few buttons in the beginning of the process and
7 you get then the final product asked by the customer.

8 In the end, the final product for the
9 customer is a blend of different operations of
10 gelatin. Of course, if that customer requests gelatin
11 only from, for instance, American bone, and most of
12 your American customers do so, then of course the
13 operations that are used are only for American bones.

14 But again, final products of gelatin are
15 usually blends of different operations, and that's why
16 it's very difficult to limit your production process
17 in certain production plants to one source of raw
18 material or one production system even.

19 Is that an answer to your question?

20 DR. PRUSINER: Yes.

21 MR. GOOSSENS: I had one second remark,
22 and that was on the acid bone and the possible sodium
23 hydroxide treatment which was presented by Dr. Rohwer.
24 Now, it has been proven that the sodium hydroxide
25 treatment helps a lot in removing the potential TSE

1 effectivity.

2 The question then was asked, well, acid
3 bone or even lime bone, if you would applied the
4 sodium hydroxide treatment, would that give a
5 comparable quality of gelatin?

6 The answer is yes, but there is another
7 practical question, and that is very often the gelatin
8 today when it goes through pharmaceutical applications
9 is used for registered products, and that means that
10 even if the gelatin industry is ready today to apply
11 sodium hydroxide treatment to acid bone or to lime
12 bone gelatin, our customers, they want some more time
13 because they have a registered product.

14 And if we change a step in the production
15 process the registration has to be changed. There are
16 tests on shelf life which have to be done. It's not
17 something that can be done from one day or another.
18 It takes several months.

19 But we must say that, in fact, the gelatin
20 industry is ready to apply the step if the market is
21 ready for it.

22 CHAIRMAN BOLTON: Very good. Thank you.

23 Other questions or comments from the
24 public? Questions from the committee?

25 (No response.)

1 CHAIRMAN BOLTON: Well, very good. I
2 think we'll move on then to a presentation by Dr. John
3 Bailey, who will give the FDA summary on topic number
4 three.

5 Dr. Bailey.

6 DR. BAILEY: Okay. Thanks.

7 I'm going to provide just a very quick,
8 brief summary or transition into the panel discussion
9 that will close out today's meeting just by noting
10 that the agency has been considering the safety of the
11 products that we regulate with regard to TSEs for a
12 number of years, probably will continue to do it for
13 many more years.

14 Included have been a number of what we
15 call processed ingredients that are produced from
16 bovine raw materials, and certainly gelatin falls
17 within that category as a processed ingredient.

18 The committee first took up gelatin in
19 1997, and shortly after that we issued our guidance
20 document, which remains as the current FDA position
21 and policy on the safe use of gelatin.

22 Clearly an important part of our
23 consideration relative to the safety of gelatin have
24 been the studies that have been conducted over the
25 years as part of the assessment of the manufacturing

1 process and reduction of infectivity, an infectious
2 agent at the various steps.

3 And the industry has, I think, shown a
4 good commitment to undertaking these studies. As you
5 can see they're very complex, very costly I'm sure,
6 but I think have been -- the commitment that we've
7 seen from them has been very reassuring on our part.

8 Gelatin is on the committee agenda today
9 primarily to allow for the report of progress of these
10 ongoing studies that are being conducted by the
11 industry and the results that have been obtained to
12 date. And I think we've heard excellent presentations
13 by both GME and also Dr. Rohwer of the progress that
14 has been made and what's planned for the future.

15 It's an opportunity I think also for the
16 committee to consider to have available experts so
17 that if you have questions or want further
18 clarification or to even make suggestions about
19 studies or directions this is a good time to do it.
20 We have the folks here who are knowledgeable and can
21 answer these questions for you.

22 However, as Dr. Rohwer, I think, pointed
23 out very clearly, this is work in progress and is
24 subject to change, and the time course for these
25 additional studies will certainly run out throughout

1 the remainder of this year and probably into next
2 year.

3 So we are very interested in following
4 these and want very much to continue to receive
5 updates, and especially if any of the information
6 that's generated may have an impact on our decision
7 making.

8 Finally, I would point out that FDA does
9 intend to continue to monitor this issue very closely
10 and may take up the issue of the safety of gelatin at
11 subsequent meetings.

12 At this point, I don't think we've
13 actually scheduled anything on the agenda, but
14 certainly we remain very interested and will follow
15 this very closely.

16 So with that I will turn it over to the
17 committee if you have any further discussion,
18 questions, anything else.

19 CHAIRMAN BOLTON: Thank you, Dr. Bailey.

20 Well, my agenda says "committee
21 discussion, committee discussion." So I suppose that
22 means we should discuss things twice.

23 (Laughter.)

24 CHAIRMAN BOLTON: We are open for
25 discussion on topic three, and I would just point out

1 as Dr. Bailey, I think, just emphasized, now is a good
2 time for us to contemplate what information we would
3 like to have probably six months or a year from now
4 when we are back contemplating some recommendation on
5 policy that the FDA may ask us for on this issue.

6 We're not asked to give those
7 recommendations now, but I'm sure we will be. So the
8 industry representatives are here. We have people who
9 are expert in and already conducting the validation
10 studies. If there are any items, particular items of
11 concern about the design of the validation studies or
12 things that you think were left out, this is a good
13 time to bring time up.

14 Other questions of policy that could be
15 possibly resolved by anticipating factual information
16 that would be needed, this is the time to bring those
17 up and discuss them.

18 Don.

19 DR. BURKE: Just a point of clarification.
20 There was one of the papers that was provided in our
21 kit that we get from Nature on amplification of
22 misfolded prion proteins. Does that have any
23 implication for detection in this kind of assay?

24 It may not have a perfect sensitivity, but
25 it might have enough to be used in this kind of -- and

1 I just -- there's enough experts here. I'd like to
2 ask you: does that have any implications for doing
3 this kind of work?

4 CHAIRMAN BOLTON: Stan, do you want to
5 talk about that?

6 I think it has potential but it's unproven
7 at this point.

8 DR. PRUSINER: Yeah, I think we don't know
9 whether the paper is right. It could be like in a --
10 Nature papers fall into two main -- three main groups.
11 One group is you have no idea why it's in Nature.

12 The second group is it's clear it's in
13 Nature because it's exciting, but never is reproduced.

14 And the third group is that it's exciting
15 and it's reproduced.

16 And we don't -- of the latter two, because
17 we know this is an exciting concept, we don't know
18 whether it's reproducible or not.

19 And I think we have to wait and see
20 whether this is really reproducible in other people's
21 hands and then it would have application.

22 CHAIRMAN BOLTON: And there's always a
23 concern if it works as advertised, so to speak, is the
24 amplification really a measure of infectivity in the
25 sample or is it a measure of some abnormally folded

1 protein, PrP, that may not be necessarily the
2 infectious component?

3 And so a lot of work needs to be done to
4 sort that out I think.

5 DR. DeARMOND: There's a further issue,
6 too, that they didn't clarify. Their controls are a
7 little weak. One of them is the possibility that the
8 amount of material of scrapie PrP that they spike,
9 whether with each cycle, they don't denature it just
10 a little bit so that their detection system now sees
11 more of it because the protein tends to be aggregated.

12 So they haven't resolved that issue
13 either. So we don't know whether it's amplification
14 or just better detection with each cycle.

15 CHAIRMAN BOLTON: Yes, Don.

16 DR. BURKE: It at least raised one other
17 possibility that there might be in vitro amplification
18 during other chemical handling of nervous tissue, and
19 although it seems unlikely, it made me wonder about
20 whether or not in the handling of processed tissues
21 that you might inadvertently get amplification as
22 well.

23 CHAIRMAN BOLTON: I think that's a good
24 point, and possibly a scary point to contemplate at
25 this time.

1 Other questions? Steve?

2 DR. DeARMOND: It seems to me the -- I
3 guess I don't know, unless, Stan, you've heard
4 anything, but I don't think we know in the worse case
5 of BSE what the infectivity titer of hide and bone is.
6 I don't think that's been resolved. We certainly
7 don't know it for skeletal muscle, although there's
8 some issue that skeletal muscle itself in some animal
9 models can be infective.

10 So that's certainly one piece of
11 information, I think, that has to be derived.

12 The other to me has to do with something
13 that Stan kind of alluded to, is the uniformity at
14 which the hide is dissected and the bones are removed,
15 because there's always a chance of contamination in
16 those processes. Certainly the bones that are close
17 to the spinal column really become a problem.

18 And there may be a very precise process in
19 some rendering plants, but I'm not sure it's precise
20 everywhere. We should know something about the
21 uniformity in which that's done.

22 So the two issues, we need to know
23 something about infectivity in the worse case of these
24 tissues, of these organs, and we need to know how
25 uniform the process of removal is.

1 CHAIRMAN BOLTON: I guess the question
2 then becomes whose obligation is it to obtain that
3 information?

4 Do we put the onus on industry to provide
5 that or is there some mechanism to encourage
6 independent investigators to determine that?

7 I'm not sure how. Stan?

8 DR. PRUSINER: Yeah, I was sitting and we
9 were talking and we were whatever. Dr. McCullough and
10 I were speaking about the fact that probably most of
11 the people at this table have never been at a
12 slaughterhouse. I used to spend a lot of time there
13 collecting the cord plexus out of brains of cows, and
14 I think somehow it would probably really instructional
15 for this committee, and maybe the FDA can arrange
16 this, either to go through a slaughterhouse and look
17 at it first hand or have some really graphic films
18 here about from the moment the bolt hits the head of
19 the cow what goes on and all of these issues.

20 Because I just think that the committee
21 doesn't really have an appreciation of just what
22 happens to these animals and how violent and horrible
23 this is, and these are the conditions under which
24 these animals are slaughtered all over the world, and
25 it's not exactly the most humane kind of thing.

1 That's really irrelevant, but the process
2 is so messy and the blood is dripping everywhere and
3 it's very hard to contain this, and then what about
4 the next animal and the next animal?

5 So I think it would be probably very
6 useful if you want to have a discussion of gelatin or
7 other products from cows, now, down the road, it seems
8 to me there ought to be some education about this.

9 CHAIRMAN BOLTON: Bill, what's the closest
10 slaughterhouse to Bethesda?

11 No, I think that's a reasonable
12 recommendation. I actually have participated in the
13 slaughtering of cattle, and it is a messy business.
14 And would you like to?

15 DR. CRAWFORD: I would like to second that
16 motion as one who grew up in a slaughterhouse. The
17 second thing, though, is the prospect of this
18 committee --

19 DR. PRUSINER: How tall are you? Stand
20 up.

21 (Laughter.)

22 DR. CRAWFORD: I was six feet, four.

23 (Laughter.)

24 DR. CRAWFORD: The prospect of this
25 committee coming into any one's slaughterhouse, I

1 think, is enough to strike fear into the economic
2 world. So that's got to be done very carefully, and
3 probably in concert with USDA which has regulatory
4 control, and Dr. Ferguson can set it up for us this
5 afternoon, I'm sure.

6 DR. FERGUSON: Wrong agency. But actually
7 in all seriousness, there are some large plants in
8 Pennsylvania that have some impressive operations.

9 CHAIRMAN BOLTON: Road trip. Okay. Well,
10 we'll leave that as a recommendation to the FDA.

11 Yes, Bruce.

12 DR. EWENSTEIN: Actually this is more sort
13 of a question to the FDA. I mean, we heard from
14 industry that they're prepared to incorporate what
15 sounds like an improvement in the margin of safety in
16 prion inactivation. Their fear is, you know,
17 legitimately that the pharmaceutical industry,
18 obviously, has to have the new gelatin approved for a
19 whole variety of products.

20 And so I think now the question is: how
21 difficult would that be? Is this really just a matter
22 of a few months and reasonably few tests or is this a
23 multi-year process that we're talking about?

24 CHAIRMAN BOLTON: Would somebody from the
25 FDA want to comment on that?

1 DR. CHIU: Four pharmaceuticals use the
2 gelatin. We do have drug master files to document the
3 process of preparing the capsules and preparing the
4 gelatins. A change like that to increase the
5 assurance of safety could be reported in any report.
6 And the data would need to be submitted in the annual
7 report to show the characteristic of the gelatin has
8 not been changed, and in our applications for drugs.

9 We will also need it to be documented, the
10 shelf life. The product characteristic will not
11 change through the shelf life. That could also be
12 documenting in annual report.

13 So there's really no need to go through
14 the approval process, no waiting for doing that.

15 CHAIRMAN BOLTON: I'd like to invite a
16 comment from GME on that to respond. Is that -- what
17 time frame do those requirements impose upon GME and
18 what about expense?

19 Please.

20 MR. SCHRIEBER: To the best of our
21 information we have received from the capsule industry
22 and representing the pharmaceutical industry the time
23 frame will be at least 12 months to run though the
24 whole process, including shelf life tests,
25 bioavailability, and so on.

1 CHAIRMAN BOLTON: Is this something that
2 would -- assuming that there's no pressure put on the
3 industry to do this, but that you could move at your
4 pace, is this something that the industry would move
5 on voluntarily?

6 MR. SCHRIEBER: It is in progress.

7 CHAIRMAN BOLTON: It is in progress. Very
8 good.

9 Stan?

10 DR. PRUSINER: I'd like to just, since
11 we're talking about what we'd like to have, would like
12 to see, and now this is out -- so I declare a total
13 conflict of interest here. And let me explain.

14 CHAIRMAN BOLTON: I know where you're
15 going.

16 DR. PRUSINER: I have been trying for a
17 long time now to get a large series of tissues, and I
18 may be successful soon from all parts of the cow and
19 to titer these out from bovine transgenic mice.

20 And any pressure the FDA can apply to the
21 Minister of Agriculture in Britain will only help
22 because that's where these tissues reside, and I've
23 been working -- just to show you how hard it is, I
24 worked through the Minister of Agriculture. Strike
25 that from the public record.

1 But I think this is the kind of data that
2 everybody would like to have here. Everybody would
3 like to know what is the titer of BSE prions in all
4 these different tissues. This comes up constantly in
5 the discussion of a cow.

6 We don't know the answers to that. I
7 think this is really important. I mean, it's just as
8 -- obviously it's just as important to know what --
9 are there prions, as Hank Baron was really pushing?
10 We don't know the answer in the blood of somebody with
11 variant CJD.

12 But there are precious few studies --
13 there are no reliable studies in cows. All of the
14 data in cows has been pushed through R3 mice, which we
15 know are four logs less sensitive than the transgenic
16 mice. So if you say there is nothing in a tissue in
17 a R3 mouse assay, you have another four logs that you
18 could detect with a transgenic mouse with a bovine PrP
19 gene on a null background.

20 So I think this is, as I said, this is all
21 self-interest because I really want to know this data.
22 I'm pushing to carry out these studies, but I think
23 this is really important information that any
24 regulatory body, whether it's here or in Europe or in
25 Asia or in Africa, needs to know.

1 DR. DeARMOND: Well, that's why I also am
2 arguing for it. Because how can we judge what these
3 tests that are run, the Rohwer type tests that are run
4 through the process, whether -- what it means?

5 If you start out at ten to the ninth, you
6 end up with probably ten to the square or ten to the
7 third infectivity still at the bottom. And we know --
8 I was talking with Stan last night that the prion
9 protein tends to aggregate in funny ways, and it may
10 not be uniformly distributed. So one set of gelatin
11 could theoretically have a high titer, much higher
12 titer than an other.

13 But if we start out at ten to the third or
14 ten to the fourth titer at the worse scenario, in the
15 worse case of BSE, then the process could reduce it
16 significantly to a point where there'd be no effect.
17 We'd have a -- at least I would have a higher
18 confidence that there's nothing in the end product
19 that's dangerous.

20 But we still need to begin with what are
21 you putting into the system and we don't know the
22 answer to that. And that's true of virtually
23 everything we've talked about. We don't know what's
24 going into the system, and we don't even know what's
25 in the end product, whether it's blood or whatever

1 we're working with.

2 CHAIRMAN BOLTON: Clearly, additional data
3 on tissue distribution of BSE assayed in transgenic
4 mice, bovinized transgenic mice would be very
5 valuable.

6 Bob Rohwer.

7 DR. ROHWER: I'd just like to address one
8 point that Steve just made and that is when you're
9 doing a validation study you cannot remove more than
10 you put in. You can't claim removal of more than you
11 put in. And I think it's an important point that when
12 you reduce infectivity down to zero, that doesn't mean
13 that in the real world when you're working with tons
14 and tons of stuff that there might not still be
15 infectivity in that product.

16 You can only claim to have reduced as much
17 as you measured. And so going to zero is really not
18 an advantage, and you see people abuse this by you
19 don't put as much in and you can get to zero a lot
20 easier.

21 And so I think it's important to always
22 push it in the other direction. You know, you start
23 with as much infectivity as you can so that you've
24 covered that possibility.

25 DR. DeARMOND: No, I think your studies

1 are nice in that regard. If you start with a maximum
2 essentially, a very big bolus, and you were able to
3 reduce it by ten to the fourth to ten to the sixth by
4 the time you're finished, what if you start instead of
5 ten to the ninth, you start at ten to the fifth or ten
6 to the fourth?

7 What would you predict with this system?

8 You should get --

9 DR. ROHWER: You should get zero.

10 DR. DeARMOND: Yes.

11 CHAIRMAN BOLTON: Well, correct me if I'm
12 wrong, Steve, but I thought your point was that
13 knowledge of the actual bio load, the prion load in
14 native skin or bone, cattle skin or bone, would be
15 important to know because then you know what the
16 challenge of the actual manufacturing process is.

17 DR. DeARMOND: Exactly. Well, that's what
18 I said, yes. But I didn't say virus. In fact,
19 that's --

20 CHAIRMAN BOLTON: No. Did I say virus?

21 DR. DeARMOND: Yes. Didn't he say virus?

22 CHAIRMAN BOLTON: I would be -- I'm
23 shocked that I said virus.

24 DR. PRUSINER: No, I said virus.

25 (Laughter.)

1 CHAIRMAN BOLTON: Did you say virus?

2 (Laughter.)

3 CHAIRMAN BOLTON: That would be even more
4 shocking, Stan.

5 Additional questions and comments? Peter.

6 DR. LURIE: Just to follow up on what
7 seems to be the good news that at least some gelatin
8 manufacturers are moving to include the sodium
9 hydroxide step, but my question is -- well, there's
10 two parts.

11 One, what fraction of the GME members are
12 actually doing this?

13 And secondly, since GME members account
14 for only 45 percent of gelatin world production, what
15 do we know about the non-GME members?

16 CHAIRMAN BOLTON: That may not be quite
17 fair to ask the GME that comment on the non-GME
18 members, but --

19 DR. LURIE: I'm sure they're very
20 interested in what they're doing.

21 MR. SCHRIEBER: Let me answer the first
22 question. Implementing this additional step is under
23 consideration by all GME members, but again we have to
24 verify this for all kinds and all grades of gelatin
25 because when they're going to implement this, it will

1 be implemented as photographic gelatin, eatable
2 gelatin, and mainly with regard to photographic
3 gelatin we have to verify what is the potential effect
4 on the final product in this respect as well, because
5 very often the production process is partly becoming
6 photographic gelatin, partly becoming pharmaceutical,
7 and a part might become edible gelatin.

8 So we have to run through the whole thing.
9 Up to now we have only studied pharmaceutical part,
10 and we are confident that nothing's going to happen
11 there. Edible is no problem, but photographic is
12 still under progress.

13 So this, if you are going to decide to do
14 it, it will be done by everybody. We're not going to
15 segregate ourselves because all gelatin has to be
16 safe, and therefore, of course, we would be happy if
17 we would be able to convince the rest of the bone
18 gelatin world outside GME to implement that steps as
19 well.

20 I can't guarantee this, but of course,
21 this will depend to a certain extent at the end of the
22 day on regulation. So if it would be come a
23 regulatory requirement then of course the rest of the
24 world would have to follow what Europe is doing.

25 But I can't really cross my fingers and

1 asking about this, but I can't give any guarantees in
2 the moment for non-members.

3 DR. LURIE: I guess that raises the
4 question whether within FDA there's any consideration
5 of providing just such a regulatory requirement.

6 CHAIRMAN BOLTON: Can we get a response?

7 DR. CHIU: Since we haven't seen the whole
8 report and all the data, so right now, you know, I
9 don't think we are in the position to tell the
10 industry you must do this. However, once we have the
11 final reports and we feel this is a really important
12 step to assure the gelatin would be safer, then we
13 will issue an additional policy to let the industry
14 know.

15 CHAIRMAN BOLTON: Please introduce
16 yourself.

17 MR. MASSON: My name's George Masson. I'm
18 President of one of the members of the GMIA, which is
19 the U.S. equivalent of GME, and I'm Vice President of
20 GMIA.

21 Just to speak to the question of what is
22 the rest of the gelatin world doing, you know that
23 Europe, the GME members, produce something like 45
24 percent of global production. We in the States
25 produce roughly 60,000 tons, which is about a quarter

1 of the global production.

2 So there you have between the two
3 organizations, what is that? Seventy-plus percent.

4 Most of the gelatin made in the States,
5 however, is actually porcine gelatin, probably about
6 60 percent of it. So again that's not an issue as far
7 as TSEs are concerned.

8 The remainder is bovine between hide and
9 actually born gelatin, and to the extent that bone
10 gelatin is manufactured, a lot of that is actually
11 made by Eastman Kodak. So again, not an issue as far
12 as this committee is concerned.

13 The other bone manufacturer is a
14 subsidiary of GME member and whatever GME is going to
15 be implementing, I'm sure that will be implemented
16 here in the States as well.

17 So that covers, I think, the situation for
18 the U.S. industry.

19 CHAIRMAN BOLTON: Thank you.

20 Additional questions or comments from the
21 committee?

22 DR. BELAY: This is just a minor comment.
23 And that is estimation of what actually goes into the
24 system in terms of the infectivity in an actual set-up
25 should also take into account the pooling effect. In

1 other words, you know, the worst case scenario would
2 be more than one animal that's potentially impacted
3 could get into the system. In the type situation of
4 just one animal or parts of the body, parts of the
5 organs from one animal may not necessarily, you know,
6 answer that question. So we need to take into account
7 the pooling effect.

8 CHAIRMAN BOLTON: Exactly. Good point,
9 very good point.

10 Others?

11 (No response.)

12 CHAIRMAN BOLTON: Well, I guess I would
13 like to commend the GME for undertaking these studies.
14 I don't think they were forced to do so, but I think
15 that my impression is they had a forward looking
16 approach and decided to look into this, and I think
17 that was a wise and maybe even bold action.

18 I also have a recommendation that they
19 return when these studies are completed to this
20 committee and present the final data when that's
21 available, and that we, again, consider that, and I'm
22 sure the FDA will ask us to recommend something to
23 them.

24 And at this time if there are no other
25 discussions from the committee, I'd like to thank the

1 committee members, the consultants, industry
2 representatives, and the general public for attending
3 the meeting, and I think we can stand adjourned.

4 Thank you.

5 (Whereupon, at 11:50 a.m., the Advisory
6 Committee meeting was adjourned.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: TSE A/C MEETING
Before: FDA-CBER
Date: JUNE 29, 2001
Place: BETHESDA, MD
represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


