

COPY

UNITED STATES FOOD AND DRUG ADMINISTRATION

BIOLOGICAL RESPONSE MODIFIERS ADVISORY

COMMITTEE

*This transcript has not been edited
or corrected, but appears as received
from the commercial transcribing
service. Accordingly the Food and
Drug Administration makes no
representation as to its accuracy.*

Bethesda, Maryland

Friday, 13 July, 2001

BETA

(202) 638-2400

Nationwide Court Reporting & Videography Services
... There is No Substitute for Quality
1-800-522-BETA

(703) 684-BETA

1 PARTICIPANTS:

2 DR. DANIEL SALOMON
3 Chair

4 MS. GAIL DAPOLITO
5 Executive Secretary

6 DR. STEVE BAUER
7 Center for Biologics Evaluation and Research

8 DR. ESTUARDO AGUILAR-CORDOVA
9 Harvard University Medical School

10 DR. BETH HUTCHINS
11 Canji

12 DR. RICHARD SUBLETT
13 Introgen Therapeutics

14 DR. PHYLLIS FLOMENBERG
15 Thomas Jefferson University Medical School

16 DR. ED SAUSVILLE
17 National Cancer Institute

18 DR. DAVID GAYLOR
19 Sciences International

20 DR. MAHRENDA RAO
21 National Institute on Aging

22 DR. BRUCE BLAZER
University of Minnesota

DR. RICHARD CHAMPLIN
M.D. Anderson Cancer Center

DR. JOANNE KUTZBERG
Duke University

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

PARTICIPANTS (CONT'D):

MS. ALISON LAWSON
Genezyme Corporation

DR. DAN SALOMON
Scripps Research Institute

DR. RICHARD MULLIGAN
Harvard Medical School

DR. GARY KETNER
Johns Hopkins University

DR. MARSHALL HOROWITZ
Albert Einstein College of Medicine

DR. GENE ROSENTHAL
National Institute of Health

DR. JOYCE FREY
Division of Cell and Gene Therapy

DR. PHIL NOGUCHI
Office of Therapeutics

DR. EUGENE ROSENTHAL
National Institute of Health

MS. ALICE WOLFSON
Consumer Representative

* * * * *

P R O C E E D I N G S

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

DR. SALOMON: Good morning everybody. We've fulfilled my criteria for beginning a meeting. Jay Siegel is seated. We're going to have to have a vote at the end of this meeting about what the criteria for the next meeting's going to be because Jay informed me that he wasn't going to be here, so --

Anyway, I'd like to welcome all of you to Meeting Number 30 of the Biological Response Modifiers Advisory Committees. So, 30, that means we've had 30 meetings, so when did that start? When did --

MR. SIEGEL: Probably ----.

DR. SALOMON: And we've had 30 meetings in or 12 years? I've been giving them a hard time for the last several meetings about not having more -- more titles, you know, good formal titles for these meetings. And, apparently, there was a title here. But it was taken off. Maybe

1 we'll get to see it later.

2 Anyway, one of my pleasures this
3 morning is -- in addition to welcoming you
4 here to this meeting is to introduce four
5 official new members of the BRMAC.
6 Dr. Katherine High, who I believe is not
7 here yet, but will join us a little bit
8 later; Dr. Mahendra Rao, seated over there;
9 Dr. Bruce Blazer; and Alison Lawton, seated
10 to my left. And, again, the dedication and
11 the amount of time and energy of the members
12 of the BRMAC to the sort of process is
13 something I think all of us involved in it
14 really respect.

15 I also see Abbey Meyers down
16 there, which is a pleasure, welcome back.
17 We're never going to let you go, is that
18 what it is? Abbey's been a member of this
19 committee off-and-on for as long as I've
20 been a member and it's a real pleasure to
21 see her back, as well.

22 So, I'd like to go ahead and get

1 started. We have a one-day meeting. The
2 next meeting of the BRMAC will be three
3 days-long, which, I guess, is proof that no
4 good deed goes unpunished. So just when you
5 thought you were safe to come back to
6 Washington, maybe not, but anyway, I think
7 it will end up balancing out.

8 This should be a very interesting
9 meeting on adenovirus and return to my
10 right-hand person, Gail.

11 MS. DAPOLITO: I'll read the
12 Conflict of Interest Statement. This
13 announcement is made part of the public
14 record at this meeting of the Biological
15 Response Modifiers Advisory Committee on
16 July 13. Pursuant to the authority granted
17 under the Committee charter, the director of
18 FDA's Center for Biologics Evaluation and
19 Research has appointed Ms. Abbey Meyers,
20 Doctors Estuardo Aguilar-Cordova, David
21 Gaylor, Marshall Horowitz, and Gary Ketner
22 as temporary voting members. To determine

1 if any conflicts of interest existed, the
2 agency reviewed the submitted agenda and all
3 financial interests reported by the meeting
4 participants.

5 As a result of this review, the
6 following disclosures are being made. In
7 accordance with 18 U.S.C. 208, doctors
8 Katherine High, Estuardo Aguilar- Cordova,
9 and Marshall Horowitz, have been granted a
10 general matters waiver, which permits them
11 to participate in the committee discussions.

12 Doctors Blazer, Champlin,
13 Kurtzberg, Mulligan, Salomon, Sausville, and
14 Ms. Meyers have associations with firms that
15 could be affected by the committee
16 discussions. However, in accordance with
17 current statutes, it has been determined
18 that none of these associations require the
19 need for a waiver or an exclusion.

20 Ms. Alison Lawton is the non-voting industry
21 representative for the committee. She is
22 employed by Genzyme. Genzyme has

1 associations with various universities,
2 investigators and research foundations that
3 are involved in gene therapy. Ms. Lawton
4 also has financial interests in several
5 firms that could be affected by the
6 committee discussions.

7 In regard to FDA's invited guests,
8 the agency has determined that the services
9 of these guests are essential. The
10 following interests are being made public to
11 allow meeting participants to objectively
12 evaluate any presentation and/or comments
13 made by the guests. Dr. Beth Hutchins is
14 employed by Canji, Inc., and has a financial
15 interest in a firm that could be affected by
16 the discussions.

17 Dr. Eugene Rosenthal, is employed
18 by the National Institutes of Health, Office
19 of Biotechnology Activities. Dr. Rosenthal
20 is substituting today for Dr. Amy Patterson,
21 who is unable to attend.

22 Dr. Richard Sublett is employed by

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 Introgen Therapeutics, and has a financial
2 interest in a firm that could be affected by
3 the discussions.

4 In the event that the discussions
5 involve other products or firms not already
6 on the agenda, for which FDA's participants
7 have a financial interest, the participants
8 are aware of the need to exclude themselves
9 from such involvement and their exclusion
10 will be noted for the public record.

11 With respect to all other meeting
12 participants, we ask, in the interest of
13 fairness, that you state your name,
14 affiliation and address any current or
15 financial previous involvement with any firm
16 whose product you wish to comment upon. A
17 copy of the waiver's addressed in this
18 announcement is available by written request
19 under the Freedom of Information Act. Thank
20 you, Dr. Salomon.

21 DR. SALOMON: Okay, going on, I'd
22 like to -- I always try and start the

1 meeting with every body going around and
2 just kind of giving a quick blurb on who
3 they are. I think this is particularly
4 important that today, as we have a couple
5 new members and we're also joined at the
6 table by several additional experts,
7 Dr. Ketner, Dr. Aguilar, Dr. Horowitz. And,
8 again, we're very glad that you guys are
9 here with us. And so why don't we start
10 with Abbey, just tell us a little bit about
11 yourself and we'll just go around.

12 MS. MEYERS: Yes, I'm President of
13 the National Organization for Rare
14 Disorders, which is known as NORD and we're
15 the orphan-drug folks. We helped to pass
16 the law and we monitor the development of
17 orphan drugs. And I served on the
18 Recombinant DNA Advisory Committee for many
19 years, and the Biological Response Modifiers
20 has me since then.

21 DR. SAUSVILLE: My name is Ed
22 Sausville, and I'm the Associate Director

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 for NCI's Developmental Therapeutics Program
2 and we have an -- our major role is in the
3 preclinincal evaluation and production of
4 both drugs and biologics for use in clinical
5 trials sponsored by NCI and by academic
6 investigators with INDs.

7 DR. GAYLOR: I'm David Gaylor, I
8 retired from the National Center for
9 Toxicological Research of the FDA last year.
10 I'm now working with a consulting firm,
11 Sciences International. My area is
12 biostatistics and risk assessment.

13 DR. RAO: My name is Mahendra Rao,
14 I'm a Section Chief at the National
15 Institute of Aging. My work is
16 predominantly on stem cells, but I'm also
17 interested in ----.

18 MR. BLAZER: My name is Bruce
19 Blazer, in Bone-marrow Transplantation
20 Division at the University of Minnesota and
21 I'm involved in the immunobiology of
22 bone-marrow transplantation and preclinincal

1 and clinical studies.

2 DR. CHAMPLIN: Richard Champlin,
3 I'm the Chairman of the Department of Blood
4 and Marrow Transplantation at M.D. Anderson
5 Cancer Center.

6 DR. KURTZBERG: Joanne Kurtzberg,
7 I direct the Pediatric ---- program at Duke
8 University and work with umbilical cord
9 blood transplantation.

10 MS. LAWTON: Alison Lawton, I'm
11 the industry rep. I am senior Vice
12 President of Regulatory Affairs for Genzyme
13 Corporation and I chair the Cell and Gene
14 Therapy Committee for the PhARMA
15 Association.

16 DR. SALOMON: Dan Salomon, I'm in
17 the Department of Molecular and Experimental
18 Medicine at the Scripp's Research Institute,
19 and my expertise and interest covers organ
20 transplantation, gene therapy, tissue
21 engineering and, more recently,
22 angiogenesis. Thank you.

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 MS. DAPOLITO: Gail Dapolito,
2 Center for Biologics, Executive Secretary
3 for the Committee and Rosano Harvey, the
4 Committee Management Specialist for the
5 Committee.

6 DR. MULLIGAN: I'm Richard
7 Mulligan from Harvard Medical School and
8 we're involved in vector research and also
9 stem cells.

10 DR. KETNER: I'm Gary Ketner, from
11 the Department of Molecular Microbiology and
12 Immunology at Johns Hopkins University
13 School of Public Health -- excuse me, Johns
14 Hopkins University, Bloomberg School of
15 Public Health. I'm an adenovirus biologist.

16 DR. AGUILAR-CORDOVA: I'm Estuardo
17 Aguilar- Cordova, I'm with the Harvard Gene
18 Therapy Initiative, I'm involved in vector
19 research and clinical trial applications of
20 gene therapy.

21 DR. HOROWITZ: I'm Marshall
22 Horowitz, I'm the Chairman of Microbiology

1 and Immunology at the Albert Einstein
2 College of Medicine and a member of their
3 clinical and the pediatric infectious
4 disease group. I work on adenoviruses, I
5 work on their immunoregulatory genes. In a
6 sense, I'm coming home, in a way, I served
7 four years on the FDA Vaccines and Related
8 Products. And at the time, which was about
9 ten years ago, related products started to
10 include gene therapy. It's nice to see the
11 expertise in the room this morning. It was
12 very hard to find it ten years ago, and I
13 look forward to the discussion today.

14 DR. ROSENTHAL: I'm Gene
15 Rosenthal, I'm a biotechnology program
16 advisor in the Office Biotechnology
17 Activities at NIH. I'm substituting today
18 for Amy Patterson. One of the committees
19 that we act as the support staff for is
20 Recombinant DNA Advisory Committee.

21 DR. BAUER: My name is Steve
22 Bauer, I'm a product reviewer in the

1 Division of Cellular and Gene Therapies.

2 DR. FREY: Joyce Frey, Deputy
3 Director of the Division of Cell and Gene
4 Therapy.

5 DR. NOGUCHI: Phil Noguchi,
6 Director of Cell and Gene Therapies in the
7 Office of Therapeutics.

8 MR. SIEGEL: Jay Siegel, Director
9 of Office of Therapeutics, CIBER (?), FDA.

10 DR. SALOMON: Well, please?

11 MS. WOLFSON: Alice Wolfson, I
12 apologize for being late, I was driven to
13 the wrong Holiday Inn. I'm the consumer
14 representative.

15 DR. SALOMON: Been there, done
16 that. And Katherine High hasn't joined us
17 yet, so, that's okay.

18 Well, I want to say it's a
19 pleasure to be with real professionals. All
20 of you knew to push your button to speak,
21 and then to turn it off, I'm impressed.
22 That bodes well for the rest of the morning.

1 Okay, Gail, are we ready to go? Okay, thank
2 you all very much, and I think we're ready
3 to go.

4 The first speaker today is Steve
5 Bauer, our own Steve Bauer, Office of
6 Therapeutics Research and Review and he's
7 going to talk about Adenovirus Vector Titer
8 Measurements and RCA Levels. Steve and
9 Joyce Frey-Concells, who are there were sort
10 of the lead FDA people on putting together
11 today and the questions involved, so they'll
12 be very much involved in the rest of the
13 discussions this morning with Phil and Jay.

14 DR. BAUER: It's a pleasure to
15 talk to you folks this morning and I'd like
16 to express my gratitude to all of you for
17 being here and being willing to participate
18 in this deliberation this morning about
19 adenovirus gene therapy products.

20 My purpose is three-fold: I want
21 to talk, first of all, just to update
22 everybody on an initiative from the gene

1 therapy community to develop a wild type
2 adenovirus reference material that we can
3 use to better or improve our ability to
4 measure the amount of adenovirus product, in
5 terms of viral particles and infectious
6 particles that we administer to people.

7 And the second purpose is to
8 update the Committee and the gene therapy
9 community and members of the public on
10 recent changes in recommendations about some
11 of the measurements of adenovirus products.

12 And the third is to introduce some
13 of the questions related to those
14 measurements and how they impact the
15 clinical trials.

16 To put that in perspective, I have
17 here a chart that shows current active gene
18 transfer INDs and I wanted to point out a
19 few things about adenovirus. This is our
20 second most common vector class, about 58
21 current active INDs in this area. The
22 majority of them, shown in green are direct

1 in vivo injection of the vector by a variety
2 of routes. And then there are some, as
3 well, that rely on ex vivo transduction.

4 And also, there's a variety of
5 patients that are treated with these
6 different vectors, so in order to put in
7 perspective the kinds of patients who would
8 be exposed to RCA and would be administered
9 these products, I put this chart together to
10 show you that the majority of patients are
11 cancer patients. There are some
12 coronary/vascular applications, some
13 genetics and even some normals and then
14 another thing that's relevant to some of the
15 discussions we'll have later is how are
16 these products actually administered, what
17 is the rout of administration. And I've
18 broken those out. So they go from injection
19 directly into the lesion or the tumors. I
20 mentioned ex vivo transduction of cells, but
21 they're also intravascular, intraperitoneal,
22 oral, directly into muscle and down the

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 respiratory tract.

2 So, there's a variety of routes of
3 administration; a variety of kinds of
4 patients. We'll be discussing later and I
5 think it's an important issue: What is the
6 status of the patients in terms of their
7 ability to respond to either infectious
8 virus or replication-defective adenovirus?
9 A total of 58 current INDs, so that give you
10 a perspective on what are the activity in
11 this area.

12 So, the first thing that I'm going
13 to do is tell you about this development of
14 an adenovirus reference material. When it
15 comes to looking at an adenovirus
16 preparation final product, we measure
17 several important parameters of what is
18 actually in that product. And I diagram
19 this here. There are a non-infectious
20 particles and, actually, this is a challenge
21 to measure infectivity and Dr. Aguilar-
22 Cordova will talk about some of the

1 technical challenges that accompany that
2 later.

3 And one of the important questions
4 is what is our ability to measure infectious
5 versus infectious particles, but I diagram
6 here in yellow that actually the majority of
7 particles as we measure them currently, or
8 most people do, are non-infectious after
9 purification.

10 Then there are infectious units,
11 which will infect permissive cells they are
12 replication defective. These are the ones
13 that would actually deliver and produce the
14 therapeutic trans gene.

15 Another component, and this is the
16 one that's of concern is the replication
17 competent adenovirus, and with the most
18 commonly used production methodology
19 nowadays, this is an unavoidable consequence
20 of manufacture. And there will be
21 discussions later of how replication
22 competent adenoviruses arise in the current

1 production methods. But these would be
2 infectious particles and could, potentially,
3 could establish an infection in a recipient.

4 And then we also measure all these
5 particles together and I'll discuss a little
6 bit more how that's done. But we'll be
7 talking about the technical challenges of
8 these measurements and how we can improve
9 them and the reference material, I think,
10 will help the community at large to better
11 measure these things.

12 The vector particle measurement,
13 which is looking at all the different
14 particles is a physical/chemical
15 measurement. The most common methodology is
16 to lyse the particles, measure the amount of
17 DNA and correlate that genome number with
18 the particle number. We'll hear a little
19 bit more about that later.

20 The infectious activity is a
21 biological assay and, therefore, inherently,
22 more variable. There are a variety of ways

1 to do this, including measuring plaques on a
2 lawn of permissive cells. These are cells
3 that will allow replication of the otherwise
4 replication-defective particle. There are
5 other ways through immunological detection
6 of some of the virus proteins.

7 Then, finally, the replication
8 competent adenovirus is a biological assay,
9 where you measure the infection on cells
10 that don't complement the vector, that are
11 not permissive for replication of the
12 vector, the intended product, but will
13 reveal the presence of a recombinant
14 replication competent adenovirus.

15 These are all the measurements
16 that I talked about are very important in
17 terms of safety, efficacy and, also, in
18 terms of an assessment of how well the
19 production process is; how reproducible it
20 is in manufacture of these vector products.

21 Of course the safety issue is
22 pretty obvious, if you administer a

1 replication competent virus, what are the
2 consequences you're exposing to that? And
3 we'll have a lot of discussion about that
4 later. The other issue is that exposure to
5 viral proteins can cause some toxicity,
6 itself, so knowing how many viral particles
7 that you're putting into the patient is an
8 important parameter and if there's a sharp
9 elbow in the curve between dose and
10 toxicity, having precise measurements of
11 this is important.

12 The other thing is inefficacy. Of
13 course, if there are a lot of nontransducing
14 particles in a preparation, you're going to
15 minimize your chance of actually having a
16 benefit of expression of the intended gene.
17 And as I mentioned before, this is a good
18 way to assess a production process.

19 Well, all of these things, of
20 course, have been recognized very well by
21 the adenovirus gene therapy community for
22 quite sometime. Starting in '93, adenovirus

1 vectors were first used in cystic fibrosis
2 protocols. And even back then some of the
3 technical challenges of measuring
4 reproducibly, reliably, and across studies
5 were recognized and there were
6 recommendations at that time that are
7 reference standard for vector being
8 produced. There was a long interim during
9 which there was quite significant
10 development in this field, but nobody had
11 really stepped forward to produce this kind
12 of standard.

13 In 1999, with the unfortunate
14 death of Jesse Gelsinger, there was a RAC
15 safety symposium and there was a renewed
16 call for standards. And there have been
17 other calls for standards along the way, but
18 I'm happy to say that this has gone forward
19 rapidly since that point.

20 The goals of producing a standard
21 are to have more consistent, safer vectors.
22 As it stands now, particle counts, itself,

1 is subject to inter- assay variability,
2 probably the best assays are 10 percent.
3 Infectious units assay is even more
4 variable, but there's nothing, really, to
5 hang our hats on, in terms of comparing
6 studies that are done in different
7 preclinical settings, different
8 manufacturers and even different clinical
9 studies. So it's anticipated that our
10 ability to relate measurements much more
11 precisely to one another will really improve
12 the safety profile and comparability of this
13 vector product class.

14 It also will help us to develop
15 regulatory policy with more solid data, and
16 we can make our recommendations based on
17 measurements that we have more comparability
18 between different clinical trials across
19 those trials.

20 So there's been formation of a
21 group called the Adenovirus Reference
22 Material Working Group. This is a

1 partnership between government, industry,
2 academia -- it's going forward under the
3 coordination of the Williamsburg
4 Bioprocessing Foundation. And there's a lot
5 of good information on what the activities
6 of this group are at this Web site. There's
7 also some at the FDA website mentioned here.

8 But a lot of the activities that
9 were planned are going forward. This has
10 been very gratifying to those of us who've
11 been involved to see the spirit of
12 cooperativity and volunteerism that has gone
13 into this. A master cell bank, has already
14 been donated; adenovirus wild-type virus
15 stock has been donated; master viral seed
16 stock has been made. The participants who
17 will produce and formulate the bulk virus
18 have been identified and the initial
19 characterization and provisional titer of
20 that preparation -- these participants have
21 been identified; vialing, the people who
22 will serve as repository and distribution,

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 these people have already been identified.
2 And there's an ongoing effort right now to
3 solicit contributions to look at particle
4 infectivity. So, it's very active. I think
5 by the end of this year, we'll actually have
6 a standard to distribute.

7 And Dr. Beth Hutchins, Estuardo
8 Aguilar, myself are some of the people and
9 Steph Simek, who've been involved in this.
10 And I think it's really a nice contribution
11 to the field. We're very happy and
12 gratified it's going forward. And I think
13 this will have a big impact on our ability
14 to look at these products.

15 So, now, I'm going to change to a
16 discussion of or to a discussion of our
17 recent changes and recommendations. And
18 this is directly related to the information
19 that we gathered from the March 6 letter
20 that went out to all our sponsors of
21 adenovirus gene therapy, as well as other
22 gene therapies.

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 I'll discuss our change in
2 recommendation on the particle to infectious
3 unit ratio and the recommendation on RCA
4 limits.

5 But to put that in perspective, I
6 just wanted to introduce this idea. There's
7 a couple different ways to look at risks
8 associated with different products and I
9 borrowed this term from the people in the
10 field of radiation safety. But I think this
11 is the way we basically looked at exposure
12 to replication competent adenovirus. And
13 that is to say that we want it to be as low
14 as reasonably achievable.

15 So the recommendations that we've
16 made were based on looking at the
17 information in the March 6 letter responses
18 and looking at the community's production
19 data. We actually solicited data on all
20 production lots, whether they were used or
21 not, why they were not used in the clinic?
22 Why they failed, in other words, a lot

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 release. And based on that kind of
2 information, we reformulated our
3 recommendations.

4 And as the field has progressed,
5 as people have gotten better and better at
6 making virus or vector. And as our ability
7 to measure that has gotten better, these
8 recommendations have changed several times
9 over the years.

10 The other thing to discuss is
11 that, as we currently use these
12 recommendations, we apply them to all
13 product lots, regardless of their intended
14 clinical use. And I wanted to have
15 discussion of the appropriateness of that.
16 That is shifting paradigms to a more
17 risk-based paradigm and the question is what
18 kind of information do we have, what do we
19 need if we want to reconsider this kind of
20 approach, where we just say we want it --
21 the exposure to be as low as reasonably
22 achievable.

BETA REPORTING**(202) 638-2400****1-800-522-2382****(703) 684-2382**

1 So these recommendations of the
2 particle-to- infectious unit ratio, the
3 reason for this is, as I showed you earlier,
4 that one diagram of what's in a purified
5 adenovirus particle. It's a mixture of
6 noninfectious and infectious particles and
7 the infectious ones can either be of a
8 desired type that will deliver the
9 therapeutic gene or replication competent.
10 But in the past, we've asked that there be
11 per infectious units, less than 100 total
12 viral particles. So this is, basically, you
13 could express this as saying you want at
14 least 1 percent of your product to be able
15 to transduce or deliver the gene.

16 This previous recommendation,
17 again, had been based on review of
18 production lots in earlier days of gene
19 therapy. The March 6 letter came in, that
20 data was looked at and we decided in the
21 spirit of trying to increase the efficacy or
22 the ability to transduce to change this

1 ratio. And the recommendation now is that
2 per infectious unit there be less than 30
3 total viral particles. So another way to
4 look at that is to say that you want more
5 than 3.3 percent of your preparation to be
6 infectious.

7 Now, recognize that infectivity
8 assays are problematic, and we'll have more
9 discussion of that later. Keep that in
10 mind, but that's -- that was the rationale
11 for this change.

12 The other change was in the limit
13 of how much RCA should be present in
14 clinical lots. And the previous
15 recommendation was that there be less than
16 RCA and 10 to the 9th infectious units.
17 And, as I've said several times, with
18 recognizing that this is a problematic assay
19 compared to the assay for vector particle,
20 we've changed that. And the new
21 recommendation is based on particle number,
22 and we also dose on particle number, so I

1 think this is also in harmony with that. I
2 think there's better precision in this
3 measurement and this way of expressing it.

4 So the current recommendation is
5 now there be less than 1 RCA and 3 times 10
6 to the 10th vector particles. And this was
7 derived -- multiplying this number by that
8 factor of 30, so that's how we came about
9 getting that number.

10 Finally, I'd like to discuss
11 application of this recommendation. As I
12 said, this is currently recommended for all
13 adenovirus vector lots regardless of the
14 clinical use. And in a trial where there
15 doses of 3 times 10 to the 13th vector
16 particles, this recommendation would say
17 that the potential exposure was up to 1,000
18 RCA. Now, you'll hear data later that there
19 have been substantially higher potential
20 exposures to RCA based on our previous
21 recommendations and, as I said, this field
22 has been going forward, our recommendations

1 have been changing as the technology's
2 changed and improved, but I think that it's
3 important to realize that this would be the
4 current level and in the past, the levels
5 have been higher.

6 So, if we wanted to think about
7 changing from this overall type of risk
8 minimization paradigm of as low as
9 reasonably achievable to a risk-based
10 recommendation, we need to consider what
11 kind of information do we have and there's
12 some literature on this. There's clinical
13 experience with wild-type ad infection and
14 Dr. Phyllis Flomenberg will give us a talk
15 later about that.

16 At the last BRMAC, Dr. Steven
17 Channick gave us a nice talk about that.
18 And I provided for the committee members the
19 transcripts and slides from his presentation
20 last time, as well.

21 There's clinical experience with
22 gene transfer studies and I've asked Beth

1 Hutchins and Dick Sublett to come and tell
2 us about that and to relate to us what the
3 status of the patients was in terms of their
4 immunity and their potential exposure to
5 replication competent adenovirus.

6 There's also some notable adverse
7 events. I already mentioned the one, you
8 know, the death, the unfortunate death of
9 Jesse Gelsinger. And also an early notable
10 adverse event in Ron Crystal's cystic
11 fibrosis trial. And these still remain
12 somewhat mysterious, but there have been
13 questions whether or not they could related
14 to RCA, but I think retrospective analysis
15 of some of the lots using a standard will
16 help us understand that sort of thing.

17 But the other thing is, what
18 information would we like to see. If we
19 would like to say, for instance, that for
20 certain routes of administration, certain
21 patient populations and so on, we can try to
22 make more a risk-based recommendation than

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 this universal application of our current
2 recommendations to all clinical lots.

3 And some, if you look at the data
4 that came in with the March 6 letter, there
5 are, actually, a wide variety of experience
6 of how many clinical lots were made and how
7 many had to be discarded because RCA. Some
8 manufacturers had as high as 40 percent lot
9 rejection, some had zero. And there's a lot
10 of adenovirus vector biology that is
11 involved in that. But if we have an
12 ability, a better ability to quantify RCA
13 and have some confidence that different
14 clinical applications can have different
15 standards, we could, perhaps, not be so
16 wasteful in our adenovirus lot utilization.

17 So, I wanted to just spend a few
18 minutes talking about what Dr. Steve
19 Channick's talk -- well, since he's not
20 here, found his talk very informative, last
21 time. But I mentioned that some of the
22 things that we can use to look at risks of

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 RCA exposure are what happens with the
2 wild-type adenovirus infection and in
3 different patient populations. And the
4 previous information is focused -- the best
5 studies, I think, are in the arena of
6 bone-marrow transplantation. And in that
7 setting, adenovirus, including types 2 and
8 5, which are used for gene therapy vectors
9 can be a significant cause of morbidity and
10 mortality in bone-marrow transplant.

11 And I think one of the take-home
12 lessons is it's important to consider immune
13 status in terms of infection or reactivation
14 of a previous infection, and latency of
15 viruses is an important issue.

16 Some of the lessons that have been
17 learned by the field and that were
18 communicated to us by Dr. Channick include,
19 these: The neonatal adenovirus pneumonia is
20 significant. There are sporadic, severe,
21 localized outbreaks. If you look, these
22 aren't really immunodeficient people, but

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 they're immunonative. If you look at SCID
2 population where there's a severe
3 immunodeficiency, they're at high risk for
4 adenovirus. If you look at a syndrome like
5 DiGeorge, where there's immune defect, there
6 have been case reports of fatal hepatic
7 necrosis.

8 In the realm of solid organ
9 transplant, adenovirus infection of the
10 transplanted organ has been recognized as a
11 problem and perhaps been tied, recently, to
12 rejection of cardiac transplants. The
13 source of that could be reactivation in the
14 recipient or the donor.

15 Then in AIDS patients, there's
16 been some recognition that adenovirus can
17 cause some problems.

18 So in contrast to that, there has
19 been a lot of clinical experience with
20 adenovirus and we'll hear about that, as
21 well, or with adenovirus gene therapy
22 products with relatively good safety

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 profile.

2 So, with those kinds of
3 considerations in mind, we're going to later
4 discuss whether or not recommendations
5 regarding acceptable levels of RCA should be
6 the same for all different clinical uses. I
7 explained these two different ways of
8 looking at risk, either minimizing it to the
9 greatest extent possibility or look at
10 risk-based. And then, I think, I pointed
11 out that the status of the patient in terms
12 of their immunity is an important
13 consideration.

14 The other thing that's not on
15 here, but I think is important, will be
16 discussed later is route of administration.
17 With the exception that we will discuss ex
18 vivo transduce cells and whether or not RCA
19 measurements should be done on those as
20 well.

21 And then if we would like to move
22 towards a different recommendation for RCA

1 exposure, what kind of information should be
2 gathering. And I think having a standard
3 will help us look retrospectively at what's
4 been given and correlate clinical outcomes
5 there and we'll also be able to increase out
6 precision and accuracy of future lots. So I
7 don't think we necessarily have all the data
8 that we want to do this now. That's a point
9 of discussion, but I think we could think in
10 terms of, you know, gathering it and what we
11 need to do that.

12 An with that, I'll conclude, thank
13 you for your attention and I'll take any
14 questions.

15 DR. SALOMON: Thank you, Steve. I
16 had a couple questions and there may be some
17 questions from the rest of the group. The
18 first one is, are we going to come -- are we
19 going to get some more information a little
20 later in the day about going back to the
21 standard reference set and exactly how
22 that's going to be used? Because, if not, I

1 think that would be something important to
2 just to give a little more detail on.

3 DR. BAUER: Well, Dr. Aguilar will
4 talk a little bit more about that in his
5 discussion, so, if you haven't gotten the
6 answer to whatever questions you have at
7 that point, you know, bring it back up.

8 DR. SALOMON: A second thing is,
9 are our speakers today going to also talk
10 about -- there are some developments in the
11 vectorology of the adeno, as well as some
12 new strategies for producer cell lines, and
13 other production techniques that could
14 significantly alter, for example, the ration
15 of replication-competent virus and also,
16 hopefully, increase the efficiency of
17 production of infectious viral units. And
18 that also could have, I think, significant
19 bearing on our --

20 DR. BAUER: Absolutely --

21 DR. SALOMON: Discussions.

22 DR. BAUER: Absolutely, there are

1 developed and developing alternative ways to
2 produce the vector that are much less prone
3 to this recombination event, that ends up in
4 RCA. And you'll hear from our speakers what
5 that kind of recombination event is and how
6 to avoid it. So, we'll get some information
7 on that later.

8 DR. SALOMON: And the last
9 question, I'm sort of playing a role now, as
10 chair, but, you know, clearly the field has
11 been under the shadow of the Gelsinger case,
12 publicly, if not also within the experts
13 involved. The obvious question here, of
14 course, is when that's driven so much of the
15 concern over the safety of the adenovirus in
16 the last two years, until recently, I
17 understand, that for legal reasons there
18 were -- there's been not a lot of
19 information that's been able to have been
20 shared. At this point, are we going to have
21 any information on the replication competent
22 retroviral titer and particle titer, et

1 cetera, of those preparations that were used
2 in that case?

3 DR. BAUER: To my knowledge, the
4 status hasn't changed and we're not able to
5 disclose everything -- I don't know
6 they'll -- any of my FDA colleagues have a
7 different answer for that.

8 DR. SALOMON: Well, that may be
9 something that other members on the
10 committee may want to comment later but,
11 again, sort of just playing a role his as
12 chair, I would say that the discussions and
13 the recommendations of the committee will
14 all have to officially be taken in the
15 context of if we don't know what exactly
16 happened in that case, and I'm not, you
17 know, saying that right now there aren't
18 good reasons why we're not getting full
19 disclosure on it -- I was just saying,
20 without knowing that, at the same time all
21 of us around the table knowing that, you
22 know, there was a patient death involved, I

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 think we have to make sure that officially,
2 at least my view of this is, that that has
3 to modify anything that we come to
4 conclusion today. Any questions from anyone
5 else, Mahendra?

6 DR. RAO: I had a quick question
7 about the monitoring on the patient side.
8 How do you distinguish between the activated
9 virus versus donor virus that you've put in
10 to the patient if the serotype is the same,
11 I mean, from wild-type?

12 DR. BAUER: Yes, that's a good
13 question. There are hallmarks, molecular
14 hallmarks of recombinant virus that you can
15 look at. And we have asked people if they
16 have indication of a replication competent
17 adenovirus arising in a patient to look at
18 the molecular structure of that. So you
19 could distinguish that between a
20 reactivation of a latent virus that had
21 already been there and a replication
22 competent adenovirus.

1 DR. SAUSVILLE: So, there are two
2 potential, as I see it, components to the
3 issues that we will be discussing. One is a
4 set of problems, such as the generation of
5 replication competent that may occur at a
6 given frequency, but affect a very special
7 patient subset. And then there's the more
8 general types of toxicity that may reflect
9 the range of normal, quote/unquote "host
10 responses" to adenovirus co- proteins, et
11 cetera.

12 Does the agency have a perspective
13 as to which of these components is most
14 important or should dominant the discussion,
15 as it were, because I think they lead us in
16 somewhat different directions.

17 DR. BAUER: I think they're hard
18 to separate those out, and I think that both
19 are important, but I hadn't really thought
20 this through before, but thinking about the
21 replication competent adenovirus as an
22 established infection is probably the area

1 that I would like most feedback on at this
2 point. I think it is unclear and there, you
3 know, there is some information on adverse
4 events with -- in preclinical models saying
5 that innate immunity and an immediate or
6 very quick response to the adenovirus co-
7 proteins is an important toxicity. But the
8 relationship between that and the adverse
9 events in the Gelsinger case are probably --
10 that -- since that was a very quick
11 relatively fast event, that probably
12 reflects the kind of response that would be
13 innate immunity and not so much an
14 infectious event, but I think we do want to
15 discuss infectivity of adenovirus products.
16 Does that help.

17 DR. SALOMON: Abbey? I'm just
18 going to let Dr. Mulligan respond, because I
19 think he's going to respond specifically on
20 this, and then go to you.

21 DR. MULLIGAN: I would echo the
22 importance of Ed's point. If you look at

1 the Gelsinger case, I would think that it's
2 not going to be because there is replication
3 competent adenovirus that there is
4 difficulties. But it may be there is just
5 more adenovirus than a human has ever seen.
6 And so, I'm struck by the issue on the focus
7 on the replication competents as opposed to
8 other things that track with real
9 recombinant adenovirus particle. So, for
10 instance, if there was proteins that are
11 associated with the virus particle because
12 of the cell type in which you propagated the
13 vector, could that account for differences
14 between the toxicities that you might see.
15 This is exactly the kind of thing I would
16 think in the Gelsinger case could be an
17 issue. That is, you're not only putting in
18 many, many particles but, you know, could it
19 be that there's something in those
20 particles, some contaminating host protein?

21 Certainly, I know about
22 retroviruses and in the case of

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 retroviruses, it's very, very clearcut
2 depending on how you grow the retroviruses,
3 you get different host proteins that
4 incorporate in the code. Now it's a very
5 different system than adeno, but I think
6 that's an issue, perhaps, not for, you know,
7 this conversation, but I think it's key.

8 While we're on the replication
9 competent question, I'm curious whether you
10 went into the very old literature that looks
11 at the helper, the e1-like capacities of
12 different cells, too? One of the questions
13 I was thinking, as you were talking about
14 how you calculate the amount of RCA is, you
15 know, is there a possibility that there are
16 human tissues uniquely, a pocket of
17 pituitary cells or something, that actually
18 have e1-like function and, therefore, you
19 would have replication competent adenovirus
20 vector in vivo, uniquely in those cells?
21 And Marshall, you know, might have something
22 to say about this. But that would be

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 another way to look at this where all of a
2 sudden the issue's not an issue of having a
3 hundred or a thousand replication competent
4 adenos, but 10 to the 6th or 10 to the 7th
5 depending on what tissue you hit. So, I'd
6 like to hear if there is something, you
7 know, do we really know that that can't
8 happen is there any precedent for it?

9 DR. KETNER: Yes, there is data.
10 In the teratoma cell line, there are
11 complementing ela functions that allow the
12 virus to grow. That's old data from -- it's
13 quite old data -- state of the cells and I
14 don't remember exactly which way it went,
15 but one of the two states of differentiation
16 of teratoma, human teratoma, did complement
17 the ela functions.

18 DR. MULLIGAN: That's interesting,
19 from the tumor application, of course, you
20 know, where, you know, if you had some
21 undifferentiated strange tumor type and you
22 were trying to direct infection, would it be

1 possible that you would have replication, so
2 it just calls into question, you know, how
3 you'll actually measure and whether it is
4 relevant to try to see whether there's
5 pockets of tissues where you'll get
6 replication competent.

7 DR. SALOMON: Well, I think that's
8 very interesting. Part of what you're
9 saying, though is not that replication
10 competent adenovirus wouldn't be an
11 important thing to measure, but you're
12 actually suggesting it ought to be measured
13 also after infusion of the viral vector,
14 perhaps at several different times. And
15 that's not something that was mentioned.

16 DR. MULLIGAN: More just the
17 appreciation that there might be an interest
18 in trying to push towards looking at this
19 question of compensation. And the other
20 issue is, whether or not, unfortunately that
21 complicates potential regulatory guidelines
22 where, maybe the status of the vector

1 becomes much more important. Is it doubly,
2 you know, how many different complementing
3 events in the cell would you have to have to
4 actually give something that would look like
5 replication compromise.

6 DR. BAUER: Well, one thing, I can
7 say is that you'll hear some data later
8 about monitoring for virus shedding. Or in
9 the peripheral blood and looking at what is
10 there and how long it persists. So, the
11 model that you're putting forth that you
12 have complementation by e1-like activity in
13 the cells, I think we would probably see
14 that by that kind of monitoring. If you
15 look at a virus that is increasing in titer
16 or that there's a lot of shedding or unusual
17 persistence, and you say that, then you
18 could look at what is the structure of that
19 virus. Is it what you put in that
20 replicating for some reason? To my
21 knowledge, there isn't any evidence that
22 that sort of thing's going on, but I think

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 that is something to keep in mind, and it
2 might be affected by, as Dr. Horowitz said,
3 different kinds of cancer.

4 DR. MULLIGAN: The other thing is
5 that in the simplest case, the virus that is
6 essentially replicating in particular
7 tissue, when assayed in culture, would not
8 be replication competent, so --

9 DR. BAUER: Right.

10 DR. MULLIGAN: You might have a
11 local effect of having replication
12 competents, but you'd never really see it as
13 such.

14 DR. BAUER: Right.

15 DR. SALOMON: Abbey, you've been
16 put off a little bit, I'm sorry.

17 MS. MEYERS: Patient. If there
18 were something that happened in the
19 Gelsinger case that is important for the
20 purpose of discussion today, and especially
21 would help us in the public health mission
22 that we're supposed to have, I don't

1 understand why we can't talk about it. Now,
2 if there's proprietary information, can we
3 just meet in private without the public to
4 discuss what we know? And if it's not
5 proprietary and there's some other reason,
6 what is the reason?

7 DR. NOGUCHI: Well, it is a good
8 question, I think on a practical basis,
9 Dr. Wilson has actually recently published
10 or is in press a couple of more- detailed
11 analyses of the events surrounding
12 Mr. Gelsinger's death. In fairness, none of
13 that really seems to shed any great light or
14 insight as to what to really look for in
15 terms of questions of whether it's RCA,
16 whether it's an immune function, whether any
17 of those are absolutely critical. I think
18 the available data would suggest it's really
19 a set of immune, cascading immune responses
20 to the massive viral load that really is
21 most closely associated with his death and
22 the spiraling events that we saw. But

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 that's really not anything that I think is
2 any different than what was discussed almost
3 a year and a- half ago.

4 So I, your point is well taken,
5 but I don't think we have anything that we
6 feel would add substantially to our
7 discussion here on that. That's part of the
8 issue. The numbers of adverse events that
9 are critical in terms of being much more
10 than expected are relatively rare, and
11 they're in a few different cases. And
12 that's part of the difficulty that we're
13 facing here in that we don't have enough
14 information, even on those cases, in order
15 to be able to compare.

16 I think that's one of the reasons
17 that we have been focusing on creating a
18 standard, which should have been available,
19 probably, earlier, but now, by focusing on
20 the standards, by focusing on all the
21 different events that can occur and all the
22 considerations, such as packaging of novel

1 proteins, I think we can do a better job in
2 the future. But right now, we just,
3 unfortunately, don't have a lot more that
4 either privately or publicly we can add on
5 the Jesse Gelsinger case, vis-a-vis leading
6 us to a new approach or a better approach.

7 MS. MEYERS: So, for the purpose
8 of today's discussion, then, the Gelsinger
9 case, there's nothing being held back that
10 we don't know about?

11 DR. NOGUCHI: That's correct.

12 DR. SALOMON: Phil, one of the
13 concerns I have is, if we go forward, let's
14 say, and at the end and get into discussions
15 of sort of a maximal viral particle load
16 that could be administered in a clinical
17 trial -- which is one of the questions,
18 indirectly here -- do we know what Jesse
19 Gelsinger got, in terms of number of
20 particles? I know -- I've -- do we know
21 what -- did we really know what he got? In
22 other words, was there an error? Did he get

1 more than we think? I mean, that's the kind
2 of thing, I guess, that my initial comments
3 were aimed at, that I just see that as
4 coloring our discussions somewhat. Not
5 necessarily implying that anyone's holding
6 anything back, but just not know exactly
7 what happened there is an issue for me.

8 DR. NOGUCHI: Well, that point is
9 well taken and I don't have those figures
10 right on hand, but it's clearly of the
11 nature of close to 10 to the 13th particles,
12 that's total particles and the transducing
13 units was -- Steve, do you remember exactly
14 what that way? It's an -- it's an excellent
15 question, we just didn't prepare for the --

16 DR. SALOMON: Right.

17 DR. NOGUCHI: I would like to
18 suggest that a lot of these questions are of
19 a more general nature and we'd like to make
20 sure that we get all the prepared talks in
21 here, some of the questions will be
22 answered, many will not be, but that's part

1 of what the more general discussion for the
2 afternoon is about.

3 DR. BAUER: I just had one more
4 comment in response to Dr. Sausville's
5 earlier question and thinking about --
6 seeing the discussion that's going on now
7 and where do we want to go? I would like to
8 focus more on the relationship between, you
9 know, the risk for RCA infectivity and
10 exposure in patients, rather than toxicity.

11 I think the story that the viral
12 proteins have toxicity, you know, is pretty
13 well acknowledged and that the acute -- very
14 acute toxicity is related to that dose.
15 There might be a range of responses that we
16 don't appreciate how to, you know, predict
17 that when we first, you know, administer the
18 product. But I think if we could focus --
19 it's -- it's hard to say these are totally
20 separate, of course, but we can focus more
21 on the infectivity risks.

22 DR. SALOMON: Last question,

1 Dr. Kurtzberg.

2 DR. KURTZBERG: Is there any data
3 regarding the state of the patient whose
4 receiving the adenoviral vector, in terms of
5 their innate immunity or previous exposure
6 to wild-type adenovirus or whether or not
7 they're shedding adenovirus at the time of
8 the gene therapy?

9 DR. BAUER: When patients are
10 enrolled, they're -- most of them, in the
11 past, have been assessed for their immune
12 status with regard to antibodies and to
13 adenovirus and they're also looked at in
14 terms of their -- whether or not they have a
15 current infection, by adenovirus or other
16 viruses, so those patients aren't -- these
17 products aren't administered to those
18 patients. So you don't want to give
19 something to a patient where there's a
20 helper virus already in the patient, for
21 instance, so.

22 And you'll hear some data later

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 about what was immune status and what was
2 the outcome of the immune system response in
3 certain patient populations, to the vectors.

4 DR. SALOMON: Thank you very much,
5 Steve.

6 DR. BAUER: Mm-hmm.

7 DR. SALOMON: So just to put this
8 into context, then, our first obligation as
9 a committee by the end of this afternoon is
10 going to be to respond to the FDA's staff's
11 specific questions. And those are outlined
12 in your book -- your panel. And that's --
13 that, at some time we're going to have to
14 just focus on answering those questions
15 because that's what the job of this
16 committee is to do.

17 However, as is evident from the
18 discussions that we just had, there are
19 other issues and it's not necessarily meant
20 to imply that those issues aren't as
21 important in thinking about adenovirus. So,
22 to the extent that we can separate questions

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 that we specifically have to answer from
2 more general discussions of topics that are
3 not on the docket today, then that would be
4 extra value for the -- for the FDA staff.

5 So, at some point here, I don't
6 find any particular problem with the idea
7 that there may be alternative things to talk
8 about. If you'll forgive me, ever-so-often
9 focusing us down on that. I think we'll
10 have a fairly reasonable day, in terms of
11 time, but that's maybe famous last words,
12 so --

13 MR. SIEGEL: I just want to
14 clarify on that, actually, we would much
15 appreciate, and will benefit, time
16 permitting from any discussions on any
17 topics related to adenoviral safety. And I
18 think what Phil was talking about in terms
19 of general questions was, simply, that we
20 think it might be useful to have questions
21 for clarifications to the speaker-specific
22 points after each speaker. But in terms of

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 some of the general discussions we're
2 getting into, it might be best to have all
3 the speakers first, because I think they'll
4 inform of those discussions.

5 DR. SALOMON: Yes, absolutely.
6 Okay. Well, then, it's my pleasure to
7 introduce a new friend. Dr. Estuardo
8 Aguilar-Cordova from the Harvard Gene
9 Therapy Initiative, whose going to talk to
10 us about history and overview of adenoviral
11 vectors.

12 DR. AGUILAR-CORDOVA: So, I was
13 asked to just give a general overview of
14 some of the adenoviral characteristics,
15 which I think will be pertinent to the
16 discussions and understanding. I know it
17 will be very repetitious for some of you.

18 Also, then, to give a little bit
19 of the nuts and bolts of what it means when
20 people talk about titers for replication
21 competent detection. And, finally, to give
22 you a little bit of background on the

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 standard that is being developed by a
2 working group with great participation by
3 Beth Hutchins and Steve and Stephanie and
4 others.

5 So, historically, the adenoviruses
6 were identified in the early 50s from an
7 adenoid and they're an etiological agent for
8 some of common cold- like symptoms and other
9 inflammatory responses in various tissues,
10 depending on the seratype, but they have not
11 been identified as an agent of any
12 tumerogenic potential in human tissues.

13 They are a linear double-stranded
14 DNA encapsulated in a protein shell.
15 There's over 100 in the adenoviral group,
16 two different characterized adenoviruses.
17 And the wild-type has been used as a vaccine
18 in military recruits, actually, of seratype
19 4N7 (?), and I think we'll hear a little bit
20 more of that indicating some of the safety
21 profile that is known in this type of
22 viruses, at least when interaclly (?)

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 delivered. It's important to understand a
2 little bit about the characterization --
3 physical characterization of the
4 adenoviruses and it's an icosahedral. And
5 this is really quite critical: 13 percent
6 DNA and 87 percent protein and that's how,
7 back in the early sixties it was determined
8 that 1-OD (?) of adenovirus is roughly
9 equivalent to 1.1 times 10 to the 12th viral
10 particles, by comparing the protein
11 characterization and then the OD readings
12 and so, and it has been borne out by other
13 types of analysis in the future that in fact
14 that does hold true and that's a fairly
15 accurate quantitative measurement. The gene
16 structure and organization of adenoviruses
17 are -- has two ITRs and two origins of
18 replication. The transcriptional units are
19 5 "early" genes; 2 "delayed early" genes and
20 one major late transcript. What's important
21 is that these are what has been primarily
22 lated in the vector constructions and I'll

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 go through that a little bit in a minute.

2 They were able to be manipulated
3 with vectors with two critical
4 characteristics of the virus: That it can
5 package up to 105 percent of it's genome
6 size, so if one can take a little bit off,
7 one can also put a little bit back in. And,
8 also very important, it can be manipulated
9 as a circular form, put back into the cell
10 and it'll go into the linear transcriptional
11 unit.

12 So, the early antiviral vectors
13 were considered replication deficient. One
14 could package up to about 8 kilobases (?) of
15 foreign DNA by doing E3 deletions and E1
16 deletions. It's relatively easy to produce
17 it in high titer, it can infect a wide
18 variety of tissues and it has high
19 expression in non-replicating tissues.

20 So there's been an evolution of
21 adenoviral vectors and, again, this will be,
22 perhaps, important in our discussion of what

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 the importance may be of RCAs and such.
2 Original vectors, one would stick a gene of
3 interest in the E1 region and that would be
4 replaced, and it could have various
5 deletions in the E3 region. The E1 region,
6 as I mentioned earlier, is a transcriptional
7 activator that would then lead to expression
8 of E3 for the other early transcripts and
9 then, ultimately, to a cascade that would
10 start the transcription of the late
11 transcripts, most of which are structural
12 proteins that would serve towards creating
13 new viriants (?).

14 However, as mentioned by
15 Dr. Mulligan a little bit ago, there are
16 multiple cellular proteins that have been
17 described that are able to basically pinch
18 hit for the E1 functions, including IL6 is
19 one of the critical ones. And that can, in
20 fact, transactivate some of these early
21 genes, which could then be toxic to the
22 cell. We know that the E2 product and the

1 E4 products, some of the E2 and some of the
2 E4 products, are toxic to the cells and, in
3 fact, there's an E3 product, which would be
4 transactivatable because there are enough
5 papa B and AP1 sites in that promoter, which
6 could be upregulated and in different cell
7 types. And there's a protein that are
8 called the adenovirus death protein, which
9 serves towards lysis.

10 So there are multiple ways in
11 which a vector not being replication
12 competent can still cause significant tissue
13 damage and it can be observed in almost all
14 cell types in vitro, where, if we put
15 sufficient concentrations of replication
16 deficient vectors, we will observe
17 cytopathic effect.

18 Now, the first-generation vectors
19 that I've been mentioning here are E1
20 deleted and they can be either E3 deleted,
21 partially deleted or not deleted at all.
22 So-called second-generation vectors, went

1 farther and deleted either the E2 or the E4
2 regions and transcomplimented in the
3 production cell. I will show you some data
4 that may or may not have any effect on their
5 toxicity.

6 And more interesting, we get in
7 the gray area which, what I'm calling here
8 Generation X, I just -- because it doesn't
9 really matter, but these are prototyped by
10 the Onyx virus, which is, in fact an E1A
11 positive cell, E1B minus, and that
12 supposedly is effective only in P53 negative
13 cells because the E1B would, then, bind the
14 P53 under normal circumstances and absorb it
15 out, so letting the cell go through the
16 replication cycle. So, putatively, when
17 there's a P53 minus cell, the E1B would not
18 be necessary in that case and this virus
19 would then replicate more efficiently in
20 those cells and it can, again, be E3 plus or
21 minus.

22 This is very close to a wild-type

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 virus and this is what's been used in all
2 the Onyx trials. There are Generation X.1,
3 which are where they put either the E1A or
4 the E1B with tissue-specific promoters and
5 then, theoretically, this would only
6 replicate in the tissue where the promoter
7 is active. But as we all know, many of
8 these promoters can be leaky, especially
9 when they are outside of the genome context.
10 So, again, very gray zone as to whether
11 these products are, in fact, replication
12 competent adenoviruses and their measurement
13 of what is an RCA in these would be a
14 complicated issue.

15 And this, perhaps, should have
16 been called Generation Y, because it's
17 completely different. And these are the
18 helper dependent vectors and the helper
19 dependent vectors are those in which,
20 basically all of the genome of the
21 adenovirus has been taken out and only the
22 ITRs and the packaging sequence remain.

1 So, as I was mentioning in the
2 sort of Second-generation vectors, some data
3 that was by O'Neal, et al., in human gene
4 therapy back in '98, and what we can see
5 here, this is platelet count and it's been a
6 fairly repetitive observation in vivo, in
7 humans that there is at least a transient
8 thrombocytopenia that's observed and in some
9 cases a consumptive thrombocytopenia after
10 adenoviral vector delivery. And we can see
11 here that the deletion of an E4 or an E2
12 doesn't seem to generate much of an
13 advantage with regard to the causation of
14 thrombocytopenias.

15 Also, when we see here the
16 elevation of ALT, what we can see is this is
17 1 times 10 to the 11th, 1 times 10 to the
18 12th, all three of these experiments were
19 done at 3 times 10 to the 12th and this is 1
20 times 10 to the 13th. Down at the bottom
21 are doses, the effects were not noticeable,
22 either with a first- generation or

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 second-generation, only in the 3 times 10 to
2 the 12th window was there a differential of
3 any statistical significance, but once one
4 gets to the 1 times 10 to the 13th, again,
5 that significance is gone.

6 And what's also of interest to
7 note is that the difference between almost
8 no effect to a complete, very significant
9 rise is only a ten-fold difference in as far
10 as the quantity that was delivered to the --
11 to the animals.

12 That's not to say that all of
13 these things make absolutely no difference.
14 Here we have what I call the Generation X.2,
15 or the helper dependent vectors and what we
16 see in the liver enzymes in this case. This
17 is the first-generation vector, one can see
18 as the dose increase, there's an increase in
19 the liver enzymes that then drops down, so
20 it's self-limiting. And that was not seen
21 with this helper dependence, even at the
22 same number of particles.

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 So these are all equivalent
2 particles, you can see the level of -- the
3 duration of expression from this
4 first-generation vectors was very low,
5 whereas the duration of expression from this
6 later helper dependent vectors was much
7 longer lasting, this is 8 weeks in this
8 case. And -- but, also, that's not to say
9 that this is always the case. We have seen,
10 in some of our monkey studies, where
11 occasionally a monkey would develop immunity
12 against the transgene and limit the effect
13 at all. But this does start to address a
14 little bit on the -- at least at these low
15 doses of incoming viral proteins that maybe
16 it's not the low-dose of incoming viral
17 proteins that's causing that acute increase
18 in liver enzymes, as here we have the same
19 number of viral particles and we can see
20 with the first-generation there is some more
21 acute toxicity. It may have to do with the
22 early gene expression that we see from these

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 vectors.

2 So, from this first part of it, we
3 can see that adenoviruses can be converted
4 into efficient transfer vehicles. They're
5 not inherently dangerous as a class of
6 vectors or vehicles. Not all adenoviral
7 vectors have equivalent toxicity profiles,
8 but some of the safety mechanisms that we
9 might have heard or read about may not be
10 that significant. The dose of vector is
11 related to the toxicity of serve, that is --
12 that's very clear and reproducible, the more
13 we give, the more toxicity we observe.

14 And I will now show you that
15 standardization of dose specification is
16 necessary and that it hasn't been there to
17 date.

18 So, characterization of viral
19 vectors are two things, generally speaking:
20 Purity and strength. And lack of
21 contamination by advantageous agents,
22 including replication competent virus as

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 part of the purity issue. And the strength
2 is the active concentration for toxicity and
3 efficacy, just very loosely defined. And
4 I'll go through some of the things that we
5 do here.

6 Physical determination, as I've
7 mentioned is the most common method utilized
8 at this point, is absorption measurements
9 and, as I said, from the early 60s it's been
10 known that 1OD 260 is about equivalent to
11 1.1 times 10 to the 12th viral particles.
12 This has been confirmed by other
13 methodologies, as well. So, that's a very
14 quantitative measurement.

15 The next is the biological
16 determination, that is the infectious units.
17 And we've heard a little bit from Dr. Bauer
18 here about how, right now, we're content to
19 get 3.3 percent activity, that's -- the
20 other way of looking at that is that there's
21 96.7 percent nonactive in each one of these
22 preps.

1 And how we determined this -- it
2 has some physical characteristics and I'll
3 go through some of these. But the key issue
4 here is the likelihood of the vector and
5 cell ever meeting in your detection system,
6 so that you could ever see it. And there's
7 some functional characteristics of the
8 system whether the cell that you're testing
9 it on has good receptors for the adenovirus
10 and it's detected. Most people are using
11 either HeLa A549s or 293 cells for detection
12 systems, so I won't go through these. All
13 of these are highly susceptible to
14 adenoviral transduction. But I will go
15 through some of the physical
16 characteristics.

17 Typical titer set up has a culture
18 dish, some cells at the bottom, adherent
19 cells, and then one puts a mixture of virus
20 on top of those cells. The collision
21 between the virus and the detectors cells
22 here is mandated by Brownian motion of the

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 virus, a concentration gradient towards the
2 cells or external forces, and I'll go
3 through each of these.

4 But Brownian motion is random and
5 for small molecules within a liquid at this
6 temperature, it's basically negligible.
7 What that means is that, by Brownian motion,
8 those viruses will never move from where
9 they are, and these cells will mostly just
10 see the viruses that are right here next to
11 them, but they'll never see anything that's
12 up here.

13 And with the -- four or five years
14 ago some experiments to show this and that
15 is by putting different volumes of the same
16 virus dilution onto some plates, we could
17 observe the number of positive -- this is
18 betagal, so we could see little blue cells,
19 and these are averages over many, many
20 wells. And what you can see is that it
21 doesn't matter how much volume we put on top
22 of it, the number of positives of serve was

1 the same, which is what we predicted by just
2 physical characteristics of the system,
3 which, if we translate that to titers, we
4 can see there's a significant difference in
5 calculated titer, so how one sets up the
6 assay will have a significant impact of what
7 titer is calculated.

8 We then did some centrifugation
9 experiments calculating the displacement of
10 the virus, if we were to centrifuge the
11 plate, and that was just calculated as a
12 distance between the pressures, RCFs put in,
13 the time and the sedimentation coefficient.
14 And by doing that, what we can see is that
15 now we've brought down by spinning at 90
16 minutes for 1,000 RCF, we calculated that
17 the distance traveled by any viriant would
18 be .4 centimeters and we knew the depth of
19 each one of these wells.

20 So, at 50 microliters per well,
21 all of the vectors should have come down and
22 the same is true at 100 microliters per

1 well. But at 200 microliters per well, the
2 depth was greater than the distance
3 traveled. So we didn't expect all of the
4 vectors to come down. And, in fact, that's
5 what we saw. These are the static
6 conditions and the vector particle-to-
7 infectious unit ratio went from 22 to 82
8 under static conditions.

9 When we centrifuged the vectors,
10 we observed that there was exactly a
11 two-fold difference between 50 and 100
12 microliters, as expected and not a two-fold
13 between 100 and 200, again, as predicted,
14 the titers are much higher, as you can see,
15 we're now at 1.5 times 10^{12} versus
16 a low of 9.8 times 10^{10} , and if
17 you remember where the toxicity thresholds,
18 a ten-fold difference is very significant in
19 whether there will be toxicity or not. And
20 the viral particle-to-infectious unit now
21 are between 5 and 9.

22 And I won't go through what all

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 these calculations mean, but this is using
2 the fixed law of diffusion and Stokes'
3 equations and by that we can then calculate
4 what is the maximum expected observed hits
5 and what would be the true value behind
6 that. And by doing that we come up with
7 what we call the normalized and standard
8 titer.

9 And if we calculate it whether
10 using the static conditions or using the
11 centrifuge conditions, we come to very much
12 the same number of titers and we see that
13 the true value of the viral
14 particle-to-infectious unit ratio in that
15 preparation was really closer to 1.3 to 2.4
16 and 1.3 to 1.9, very, very tight numbers.

17 And based on that, we conclude
18 that the majority of the particles that are
19 being produced in these high-quality
20 productions are, in fact, infectious. And
21 it is only a consequence of the detection
22 system that we come up with the empty viral

1 particles or non-infectious viral particles
2 that are often talked about.

3 There's one other little bit of
4 information that's critical within this and
5 that is that there's an original titer and
6 there's a clinical titer. And it's
7 important for people to keep track of the
8 titer, not only at the point of production
9 but, also, at the point of the clinical
10 distribution. This was a little experiment,
11 it was published back in "Nature Medicine,"
12 back in 1999. And what it showed was that
13 when these vectors were being transported to
14 the clinic in dry ice, even during a short
15 period of time, the PH of the buffer that
16 they were being transported in would drop,
17 the virus would precipitate and one could
18 lose seven logs of titer in a -- in a very
19 short period of time, within hours.

20 So that shows some of the problems
21 that may be attached to quantifying these
22 viruses, and also some -- why it might be

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 very important and now then, why do we need
2 to standardize and what is being done for
3 the standardization?

4 We need to standardize so that we
5 have something to measure it against and we
6 can all be talking about the same things.
7 People talk about platforming units, CPE
8 units, all kinds of different units and we
9 in our laboratory, when I was back at
10 Baylor, we had made a production and sent it
11 to six antiviral laboratories to be titered,
12 and there was a two-log differential between
13 the lowest and the highest titer that we
14 received back from the people that had
15 experience in titering adenoviruses.

16 It's very important to have
17 something that really -- is meaningful. And
18 when there's a threshold of toxicity between
19 patients, and one group may base their
20 starting dose or their continuing dose,
21 based on another group's toxicity observed,
22 if that has no correlation to each other's

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 titer, then it really, it's very
2 meaningless. So, it's crucial for managing
3 the manufacturing process but, also crucial
4 for maintaining consistent, quality controls
5 and dose escalation studies. And,
6 ultimately, of course, from the producer's
7 standpoint, it will be critical for ever
8 having a true product.

9 And, lastly, I'd like to say that
10 the standard doesn't need to be perfect at
11 this point. Since I've already pointed out
12 to you how there is a lot of difficulties in
13 where and how to measure these things. And
14 that, hopefully, will continue to evolve,
15 but if we have a fixed point, then
16 everything else that's around it can be
17 related to that fixed point. And if that
18 fixed point is there as we talk about this
19 square, we know that it's one down and one
20 to the left, when we talk about this square,
21 it's two right and two to the -- two down
22 and two right. That, also let's us measure

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 what's the difference between this point and
2 this point. So that will be an equivalence
3 so that we can all be talking about at least
4 comparable units.

5 This is the last slide and,
6 unfortunately, one can't tell very much.
7 But there's a moral in here. And this is
8 just a quick note about talking of
9 multiplicity of infection. It's a fairly
10 meaningless term and we're all very used to
11 it. It's a classic virology term, but as
12 you can see here in these two diagrammatic
13 wells, they're both a multiplicity of
14 infection of one, we have the viruses here.
15 I guess it would be .3, but this is much
16 more likely to reach the cells than this one
17 is. And, yet, they're the same MOI. And
18 when one goes fishing, one numbers the
19 number of Marlins probably a multiplicity of
20 infection of 10 to the 16th and 1 and
21 there's 10 to the 16th of them, it's very
22 hard for me to ever find one of those so, I

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 would say that using the terminology of
2 multiplicity of infection is not very useful
3 in characterizing these viruses. Thank you.

4 (Applause)

5 DR. SALOMON: That was excellent,
6 Estuardo. So, one of the things that just
7 occurs to me is that I found it very
8 striking that there was such a difference in
9 the titer when one did something as simple
10 as move the virus from a production facility
11 to the bedside. And that's a concern,
12 actually, we've had in our own laboratory
13 with retroviral vectors, that many of them
14 fall apart at rather small PH changes, and
15 it sounds like the adenovirus is prone to
16 the same thing.

17 So how do we -- number one, have
18 they figured out a way to address that
19 issue, since that would be dramatic? And,
20 secondly, related to that, how does that fit
21 into how one would manage the standard,
22 because it could be quite a problem, if at

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 different times, you standard was changing
2 because of the conditions.

3 DR. AGUILAR-CORDOVA: Yes, and so,
4 the first answer is, yes, that the way to
5 manage that has been figured out. And it's
6 fairly simple, you know, one just doesn't --
7 one protects it from dropping the PH in this
8 case, either by a physical barrier or
9 different packaging, formulation makes a
10 difference and such. And, you know, our
11 industrial colleagues, in industry they have
12 a lot of experience in this. And what they
13 do is, they usually validate shipping
14 conditions and they validate that the
15 product at the end has the same
16 characteristics as the product in the
17 beginning. A lot of us in academia had that
18 kind of experience in the past, so we
19 weren't quite as aware of these things.

20 And for the standard, it has been
21 done vialing and it was an issue that's come
22 up in our many discussions -- the vialing

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 system, the formulation of it and the
2 shipping -- storage and shipping, to
3 validate that it has the same
4 characteristics.

5 DR. SALOMON: Dr. Mulligan.

6 DR. MULLIGAN: Could you walk us
7 through the exact context where the
8 standardization would be helpful? I can
9 definitely see for detection of RCA how
10 helpful having this would be, because you're
11 looking at truly presumably the same thing,
12 wild-type versus a wild-type. In the case
13 where you have -- people have vectors that
14 are different -- that are not growable on,
15 you know, 293 cells, so doubly deleted or
16 more sophisticated things.

17 I'm not sure I see how this would
18 help guide standardizing the dose given to
19 patients because, you know, the
20 characteristics of the growth of these
21 things in different cells is different. How
22 would you see that this would be helpful for

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 that application, which is a very important
2 application to make sure that some people
3 aren't giving, you know, 1,000 times more
4 virus than we know to be safe?

5 DR. AGUILAR-CORDOVA: So, from the
6 standard of particles, if one is working
7 with an antiviral particle, a particle is a
8 particle, so it doesn't matter how it grows
9 within a cell. So it still will standardize
10 the measurement of viral particles. In
11 addition, to that, if one is working with
12 what the majority of vectors are today,
13 which are simple, that will grow in 293
14 cells and such, or whatever production cell
15 line one is using, they will usually call a
16 CPEF, a cytopathic effect on those cells.
17 And so one does not need to be measuring,
18 necessarily, whatever the transgene is but,
19 rather, the physical effect on those cells.

20 And at least one has -- and the
21 replication competent will cause the same
22 issue. So, at least one has the ability to

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 quantitate it with regards to something
2 else. It's unlikely that one would have a
3 vector that would be that much more
4 efficient than a wild-type in causing the
5 cytopathic effect.

6 Now, if you get farther towards,
7 say, a helper dependent vector, in that
8 case, the standard would probably only be
9 useful in as far as the particle number.

10 DR. SALOMON: So, getting back --
11 the standard is going to be wild-type adeno?

12 DR. AGUILAR-CORDOVA: This first
13 standard is going to be wild-type adeno.

14 DR. SALOMON: And it's going to be
15 adeno-5?

16 DR. AGUILAR-CORDOVA: It is an
17 adeno-5, yes.

18 DR. SALOMON: Right. So, how big
19 a problem will that be for vectors based on
20 adeno-2 or 4?

21 DR. AGUILAR-CORDOVA: For vectors
22 based on adeno-2, it'll be almost no problem

1 at all because they're very similar viruses.

2 DR. SALOMON: Right now, are the
3 criteria for the clinical trials that are
4 ongoing as specific as would be suggested by
5 some of your discussions? Such as, when you
6 do the titer counts, you have to centrifuge
7 at so many RCF for so long in such a volume
8 to do the sort of particle counts? I mean,
9 how much standardization do we have today on
10 that? And that may not be -- let me direct
11 it first to you Estuardo, but then, perhaps,
12 to the FDA staff.

13 DR. AGUILAR-CORDOVA: To my
14 knowledge, there is no standard protocol
15 and, in fact, there is this system,
16 including the physical calculation which we
17 term NAS titer, or normalized adjusted
18 standardized titer, that was proposed a few
19 years ago, but, at this point, I think that
20 there is no standardized protocol, and
21 that's why a lot of the numbers, even that
22 were received in the March 6 letter, which

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 is now, one has to do the best that one can
2 to interpret those, but a lot of those
3 numbers are just not comparable.

4 DR. SALOMON: Steve, Joyce, do you
5 have comments?

6 DR. BAUER: Yes, I have a comment
7 about that. It might be true that if you
8 looked at different vectors from different
9 people and, you know, gave each other your
10 vectors, you'd get different titers, but
11 we're also looking at the fact that it's one
12 manufacturer whose done one set of
13 preclinical studies and they're dosing -- in
14 their preclinical and clinical studies based
15 on their own measurements. So, at least
16 within their study, they are, you know,
17 achieving a fair degree of reproducibility.

18 The question is, how would that
19 compare across studies and that's an
20 important thing for us to make some of these
21 recommendations and considerations about
22 toxicity but also, I think that the dose

1 that you start with in the clinic is always
2 based on a preclinical study with your
3 particular vector preparation, so that the
4 comment that Estuardo made about basing
5 doses, I think that's true to the extent
6 that you might look at other studies to base
7 your starting dose in the preclinical model,
8 but then you would use that dose and your
9 own experience in a preclinical with your
10 own product to determine what your dose
11 range in the patients will be.

12 DR. SALOMON: And we won't get
13 into it today but, of course, preclinical
14 models are either small rodents or large
15 animal models. And I don't want to say the
16 obvious, but for the record, you know, going
17 from a dose in a nonhuman model to a human
18 model has got it's difficulties. And most
19 clinical trial designs are done as
20 dose-escalation studies, particularly at
21 this stage in gene delivery, so, you know,
22 at some point here this dose issue is

1 really -- is going to be critical.

2 DR. BAUER: I think, also, one of
3 the benefits of having the standard is it
4 will, in a way, bring people to use the same
5 methodology, you were asking about that, but
6 I think that's going to be an important
7 outcome.

8 DR. SALOMON: I think that's
9 great, yeah. That's why I wanted to make
10 sure that we got enough discussion of that.
11 Dr. Lawton and then Dr. Mulligan.

12 DR. LAWTON: I was actually
13 following up on the comment that you just
14 made and one of my questions was, from a
15 practical perspective in the future, once
16 the standard has been set and the methods
17 have been set, are you assuming that
18 everybody will, indeed, be working to that
19 so that you have that measure, and that that
20 will be a requirement that those methods are
21 used so that you understand it across all of
22 the different companies that are doing the

1 work?

2 DR. BAUER: I think we haven't
3 really seen what the outcome will be once we
4 have a standard or -- let me just say one
5 thing, we refer to it as a reference
6 material, because the word standard in
7 regulatory parlance has a specific meaning,
8 so -- when the reference material is
9 available, we haven't said that we're going
10 to require everybody to do the assay the
11 same way, but my belief is that, in effect,
12 that's what will happen because there will
13 be a titer assigned by a consortium of
14 laboratories an agreed-upon standard and I
15 think, given the kinds of consideration that
16 have just been pointed out. I think people
17 are going to have to use the same
18 methodology, but if it -- if that doesn't
19 work out, you know, we might go to saying,
20 well, you need to do it this way so we have
21 confidence that we can compare titers.

22 DR. SALOMON: Dr. Mulligan, do you

1 have a comment?

2 DR. MULLIGAN: Yes, you raised
3 this interesting question of the E1A plus
4 E1B deficient vectors. How has the FDA
5 looked at those in terms of RCA? I would
6 have thought from what you see in papers
7 that, you know, you can get virus titer, you
8 know, in normal 293 cells in some of these.
9 There's certainly some controversy about
10 this but there's certainly a number of
11 conditional adenovirus vectors where you
12 will see, although it's reduced -- that is,
13 things that have a preference for tumor
14 cells or whatever, you will see some growth.
15 How would you possibly get virus that passes
16 even the old tests?

17 DR. BAUER: I think that's a good
18 question. The approach has been that we
19 realize these replication selective viruses,
20 in fact, it's a selective, it's not an
21 absolute barrier, as you pointed out, but
22 the approach is to say we asked to develop

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 better assays to measure the degree of
2 selectivity. And the other thing is from
3 the preclinical and clinical studies, we do
4 keep in mind that these vectors are a
5 different class, in effect, and, therefore,
6 ask for increased clinical monitoring and
7 that sort of thing. So --

8 DR. MULLIGAN: That's interesting
9 because depending on how much you believe in
10 how well they work, you might have a lot
11 of --

12 You know, they're shooting in a
13 lot of these virus particles. So, I mean,
14 basically, they're as exempt from the normal
15 standards for traditional vectors, is that
16 right, in terms of helper.

17 DR. BAUER: We don't have a good
18 handle on ways to measure it, that's true,
19 but we respond to that by different clinical
20 monitorings and different considerations for
21 the preclinical studies.

22 DR. SALOMON: Jay, did you have a

1 comment?

2 MR. SIEGEL: I have a question for
3 Dr. Aguilar-Cordova. So, you show data
4 suggesting that the sensitivity of an assay
5 to infectious particles can vary vastly,
6 depending on how the assay is done. As you
7 know, we've set a limit at that 3 percent --
8 3.3 percent of -- at least, of particles
9 should be infectious, I guess that was
10 tightened up from 1 percent. And that was
11 based on what seems achievable in current
12 experience.

13 Is it your conception that,
14 actually, a much higher level is typically
15 being achieved and that those numbers
16 reflect insensitive assays for infectious
17 particles?

18 DR. AGUILAR-CORDOVA: I can only
19 speak to some of the data from our own
20 productions and, in those cases, the
21 majority of them are achieving that and
22 better. Now, having said that --

1 DR. SALOMON: The majority are
2 achieving 3.3 percent or are you saying
3 you're achieving -- because in one of your
4 numbers, it looked like you were getting
5 over 50 percent in one case?

6 DR. AGUILAR-CORDOVA: Well, not,
7 let's see, I think I showed data that showed
8 that the Vp-to-iu ratio was in the
9 neighborhood of 1.9, 1.3 so up close to
10 that, I think. And, again, I believe that
11 some of that is just due to detection
12 ability. But having said that, there are
13 some constructs in which -- even with our
14 own system and, as Steve mentioned, very
15 reproducible system within house. Some
16 constructs have a little higher Vp-to-iu
17 ratio than others and we haven't quite
18 figured out why yet?

19 DR. SALOMON: But, Estuardo, I
20 also was confused by that, only in the
21 context of what Steve had presented and what
22 you presented. It's a ratio of 1.3 -- I

1 ---- mess up the math, particularly, when
2 I'm up here not thinking straight, but 1.3
3 would be almost 75 percent, wouldn't it?

4 DR. AGUILAR-CORDOVA: It would be
5 about 50 percent -- close to 50 percent.

6 DR. SALOMON: Yes, so --

7 DR. AGUILAR-CORDOVA: 1-to-1 would
8 be 50.

9 DR. SALOMON: So, that's a whole
10 lot more than 3 percent.

11 DR. AGUILAR-CORDOVA: Right.

12 DR. SALOMON: So, I'm having
13 trouble with --

14 DR. AGUILAR-CORDOVA: Remember,
15 though, the data that I showed you -- what
16 it also described is that from the very same
17 virus vector preparation, we were able to
18 have titers, I believe it was from 9 times
19 10 to the 12th to -- I mean, 9 times 10 to
20 the 10th, to 6 times 10 to the 12th,
21 depending on how we set up the titer assay.
22 And so, in one instance we're almost

1 100-to-1 and in the other we're at 1.3-to-1.
2 It's the same vector preparation, it's just
3 detected in a different way. And so, that
4 just emphasizes the actual point, that it
5 may not be an intrinsic characteristic of
6 the vector preparation, but rather a
7 consequence of your detection system.

8 DR. SALOMON: I can't think of any
9 better way of articulating why were here
10 today. Marshall.

11 DR. HOROWITZ: Do you take off the
12 input virus after a period of absorption?

13 DR. AGUILAR-CORDOVA: We have
14 tried that, yes. And under static
15 conditions, we basically see no difference
16 in titer, if the virus is taken out of the
17 well and placed on a different set of wells
18 12 hours later and, I think it was 24 hours
19 later, as well, and the titer did not
20 change. Indicating that the majority of the
21 virus was still in the supernatant and also
22 indicating something about the stability of

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 that virus.

2 DR. HOROWITZ: Yes, so what you
3 did is the supernatant, replated, has the
4 same titer the second time around as the
5 first time around?

6 DR. AGUILAR-CORDOVA: Correct.

7 DR. HOROWITZ: Saying that the
8 extraction is relatively low, because that's
9 what I was going to suggest. And that's
10 another way, although more tedious to really
11 see what's there, until titer and exhaust, I
12 should say, the supernatant. By repeating
13 titers, you obviously realize there's more
14 virus there than you're scoring the first
15 time?

16 DR. AGUILAR-CORDOVA: Right.

17 DR. SALOMON: Dr. Sausville?

18 DR. SAUSVILLE: So, I think this
19 discussion, though, illustrates a point that
20 Alison Lawton was leading us to in the sense
21 that, it's one thing to describe a reference
22 standard, but it's -- but I think we're

1 going to have to go a lot farther than that.
2 There's going to have to be a, I think, a
3 consensus, potentially, at some point as to
4 how the assay's done, what the cell types,
5 et cetera, so it's more than just a
6 standard, because what this discussion is
7 showing is that the concept of titer is
8 really very context and assay dependent, and
9 so it seems that without defining those
10 things, you know that a standard is going to
11 be relatively meaningless, actually.

12 MR. SIEGEL: On the other hand,
13 it's clear that a standard is the first step
14 toward evaluating what's the best assay.
15 And, often, standards are sent to multiple
16 labs for evaluation is where you determine
17 what assays are sensitive and what are not.

18 DR. SAUSVILLE: Right, so I
19 certainly take your point. And the standard
20 is the first step. I just would certainly
21 hope that we don't regard it as the last
22 step.

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 DR. SALOMON: Yes, that's a key
2 point, I think a couple of us have made it
3 in different ways, so, that's great. I
4 mean, we owe that to Estuardo for focusing
5 us on that. Any other comments or questions
6 at this point? I always, and I apologize to
7 the audience, not having earlier said that
8 we -- you're part of this discussion, as
9 well, that's the purpose of these public
10 meetings.

11 So there is a microphone there.
12 All I ask is that you identify yourself for
13 the purposes of the record, but I would
14 welcome any of you at anytime during this
15 place to get up and make a comment.

16 DR. HUTCHINS: Hi, Beth Hutchins
17 of Canji. As far as the reference material
18 goes, the real purpose of this reference
19 material is actually to define a unit.
20 We're actually going to put the markings on
21 the ruler and then later we'll figure out
22 what's the best way to measure those --