

1 that's dominant in New Zealand.

2 And in these isolated island populations,  
3 they'll probably work. But we yet have no real answer  
4 for continental populations.

5 MEMBER MARKOVITZ: Are there problems with  
6 side effects also if it's so close to neural proteins?

7 DR. DECKER: Well, one simply doesn't do  
8 it. There are no polysaccharide-based vaccines for B  
9 because of this.

10 CHAIRMAN OVERTURF: Dr. Stephens?

11 MEMBER STEPHENS: As you suggest, one  
12 strategy may be to give this vaccine to 11 or early  
13 adolescents with Td. And I was interested that there  
14 looked like there was some significant difference  
15 between the immune response with concomitant Td and  
16 Menactra.

17 Can you comment on those data?

18 DR. DECKER: Different response in what  
19 way?

20 MEMBER STEPHENS: It was an enhanced  
21 immune response. When you gave Td with Menactra, the  
22 geometric mean titers were significantly or at least

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 appeared to be significantly elevated as opposed to  
2 Menactra without Td.

3 And it looked like you were getting some  
4 additional booster effect of Td in that kind of  
5 setting.

6 DR. DECKER: Well, remember Menactra is a  
7 diphtheria conjugate vaccine so when one administers  
8 Td, in essence one is administering an additional dose  
9 of the carrier in the other arm. And I think what  
10 we're seeing is carrier enhancement here. And,  
11 therefore, an increased antibody response.

12 And it goes both ways. The concomitant  
13 administration probably augments the antibody response  
14 to each vaccine.

15 DR. OVERTURF: We'd like the members of  
16 the committee to have their seats again so that we can  
17 resume, please. At this time I'd like to invite Dr.  
18 Lee to the podium to begin the review of the Clinical  
19 Safety and Efficacy for the FDA.

20 DR. LEE: Good morning. I'll be  
21 presenting FDA's Clinical Review of Aventis Pasteuris  
22 tetravalent meningococcal conjugate vaccine. I will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 first present the proposed basis for licensure in ages  
2 11 to 55 years old. Next, I will focus on four  
3 studies presented earlier today, MT02, MT09, MT04, and  
4 12, and the primary data submitted in support of  
5 efficacy, safety, and concomitant vaccine  
6 administration with Td. I will then present the  
7 questions and the discussion points for the committee.

8 The approach to licensure of Menactra was  
9 based on the demonstration of immunogenicity and  
10 safety through non-inferiority comparisons to  
11 Menomune, a U.S. licensed meningococcal polysaccharide  
12 vaccine. These non-inferiority comparisons were a  
13 means of demonstrating immunologic and safety  
14 equivalents to Menomune. Licensure was also based on  
15 the demonstration of lot consistency.

16 Efficacy was inferred from an immune  
17 correlate rather than directly measured from a  
18 clinical disease end-point. As reviewed by Dr.  
19 Frasch, induction of bactericidal antibody following  
20 meningococcal vaccination has been shown to be  
21 protective, and thus this immune measure is considered  
22 a useful predictor of vaccine effectiveness.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1                   Clinical efficacy of meningococcal A and  
2                   C monovalent and AC combined polysaccharide vaccines  
3                   have been confirmed in large scale field trials. In  
4                   studies MT02 and MT09, bactericidal antibody response  
5                   was measured with an assay using baby rabbit  
6                   complement. Group C meningococcal antibody titers,  
7                   however, reported from recent studies in the United  
8                   Kingdom were found to be elevated when baby rabbit  
9                   complement was used in the bactericidal assay relative  
10                  to results using human complement.

11                  Historically, bactericidal antibody  
12                  results generated with an assay using human complement  
13                  are most closely linked to individual susceptibility  
14                  to meningococcal disease, but large volumes of human  
15                  sera that are a suitable source of exogenous  
16                  complement are not readily available today.

17                  The sponsor was thus asked to test sera in  
18                  a subset of study participants to determine the  
19                  similarity of Menactra bactericidal antibody responses  
20                  compared to Menomune when each of the complement  
21                  sources was used in the assay. A similar  
22                  immunogenicity profile with the two vaccines would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 provide reassurance that efficacy estimates would also  
2 be similar.

3 In MT02, pre and post vaccination sera was  
4 obtained from 84 Menactra participants, and 81  
5 Menomune participants. Sera was also obtained in MT09  
6 from 50 participants in each group. Data generated  
7 from each assay was provided for sera groups C, Y, and  
8 W135 from MT02 participants from whom sufficient sera  
9 was available. And likewise, for W135 and Y in study  
10 MT09. Sera from a separate subset of 102 MT02  
11 participants was used for Sera Group A analysis.

12 The antibody response was assessed by  
13 reverse cumulative distribution curves, seroresponse,  
14 and seroconversion rates. These reverse cumulative  
15 distribution curves represent post vaccination  
16 antibody results from a subset of Menactra and  
17 Menomune participants 11 to 18 years old with the  
18 serum bactericidal assay using baby rabbit complement.

19 For Serum Group C, 100 percent of Menactra  
20 participants achieved a titer of at least 1 to 8, and  
21 92 percent achieved a titer of at least 256, compared  
22 with 100 percent and 95 percent respectively in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Menomune group. Likewise, for Sera Groups A, Y and  
2 W135 the reverse cumulative distribution curves for  
3 the two vaccines were overlapping.

4 When the same sera was tested using human  
5 complement in the assay, the reverse cumulative  
6 distribution curves for each sera group were again  
7 overlapping. The sera response rate using baby rabbit  
8 complement was defined as four-fold or greater  
9 increase in antibody titer post vaccination compared  
10 with baseline. The proportion of sera responders was  
11 the primary endpoint in the two main immunogenicity  
12 studies. Here the sera response rate in the Menactra  
13 subset showed general agreement for each sera group  
14 except for Sera Group Y. For Sera Group Y, the 95  
15 percent confidence interval for the difference in the  
16 two proportions do not include zero. However, the  
17 sample size was not large enough to draw definite  
18 conclusions.

19 The sera response rate using human  
20 complement also showed general agreement for the  
21 Menactra and Menomune groups. For each subgroup, the  
22 rate was 90 percent or greater in both vaccine groups,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       except for Sera Group C in the Menomune group, which  
2       was 86 percent.

3               The seraconversion rates in adolescents  
4       using a baby rabbit or human complement source was  
5       also similar. And likewise, the immune response in  
6       adults using the same immunogenicity parameters was  
7       also similar.

8               Menatra and Menomune bactericidal antibody  
9       response with each complement source supported the  
10       same conclusion. The reverse cumulative distribution  
11       curves representing post vaccination titers in the two  
12       vaccine groups overlapped when either baby rabbit or  
13       human complement was used. Sera response and  
14       seraconversion rates were also similar, as well as the  
15       immunogenicity profile in adults.

16              Similarity of the immune response for the  
17       two vaccines with each source of complement, thus  
18       supported analyses of antibody response by baby rabbit  
19       complement in the larger immunogenicity cohort.

20              I will now discuss the two immunogenicity  
21       studies in greater detail. Studies MT02 and MT09 were  
22       designed as randomized modified double blinds due to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the different routes of administrations, multi-  
2 centered active controlled trials. Enrollment in MT02  
3 included participants 11 to 18 years old, and in MT09,  
4 18 to 55 years old. A single dose of Menactra or  
5 Menomune was given and serum samples were obtained at  
6 baseline and 28 days after vaccination.

7 The primary end point was the proportion  
8 of sera responders defined as participants with a  
9 four-fold or greater increase in bactericidal antibody  
10 titer 28 days after vaccination compared with baseline  
11 for each sera group. Other measures of immune  
12 response included bactericidal geometric mean titer,  
13 seroconversion rate, and group-specific igG and igM  
14 measured by ELISA.

15 The primary hypothesis was to demonstrate  
16 that 28 days after vaccination Menactra was non-  
17 inferior to Menomune. In MT02, the hypothesis would  
18 be supported if the upper limit of the one-sided 95  
19 percent confidence interval of the difference in the  
20 proportion of sera responders was less than .1, which  
21 was equivalent to a 10 percent difference.

22 Subsequent to the conduct of study MT02,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1        CBER preferences for using a two-sided 95 percent  
2        confidence interval in non-inferiority hypothesis  
3        testing evolved to be consistent with the FDA's Center  
4        for Drugs and the European Union. This change was  
5        reflected in the primary hypothesis for study MT09.

6                The results of the primary immunogenicity  
7        analysis for MT02 are shown here. For Sera Group Y,  
8        the sera response rate in participants 11 to 18 years  
9        old was greater than 80 percent in both vaccine  
10       groups. And the rate was greater than 88 percent for  
11       C, A, and W135. For the difference in the two  
12       proportions, a negative value indicated that the sera  
13       response rate was higher in the Menactra participants  
14       than in Menomune participants for any of the four Sera  
15       Groups. The upper limit of the one-sided 95 percent  
16       confidence interval for the difference in the two  
17       proportions was less than .1, which was equivalent to  
18       less than a 10 percent difference for each Sera Group.  
19       Likewise, the upper limit of the two-sided 95 percent  
20       confidence interval was also less than .1. The  
21       primary immunogenicity hypothesis was thus achieved  
22       even by the more stringent of the two statistical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 criteria.

2 In study MTA09, the prevalence of baseline  
3 antibody titer increased gradually with age. For Sera  
4 Group Y, the response rate in participants 18 to 55  
5 years old was greater than 74 percent in both vaccine  
6 groups, and the rate was greater than 85 percent for  
7 the remaining Sera Groups.

8 The proportion of sera responders was  
9 higher after Menomune vaccination than after Menactra  
10 vaccination, resulting in positive values for the  
11 difference in the two proportions. The primary  
12 immunogenicity hypothesis was still achieved since the  
13 upper limit of the two-sided 95 percent confidence  
14 interval for the difference in the two proportions was  
15 less than .1 for each Sera Group.

16 I will now move on to the studies  
17 evaluating safety of Menactra. Safety information  
18 from six main studies and one supporting study were  
19 submitted in the license application. In total, these  
20 studies were comprised of over 7,000 Menactra  
21 participants, and over 3,000 Menomune participants.  
22 Characterization of the safety profile in ages 15 to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 25 years old was felt to be important since the  
2 epidemiology of meningococcal disease in the U.S. and  
3 current ACIP recommendations for the prevention of  
4 meningococcal disease in college freshmen projected  
5 frequent use of this vaccine in adolescents and young  
6 adults.

7 For all Menactra and Menomune  
8 participants, detailed safety information was obtained  
9 which consisted of local and systemic adverse events  
10 and unsolicited adverse events within 28 days  
11 following immunization. Planned safety assessment  
12 after vaccination was included for four studies. At  
13 this evaluation, the participant was asked about  
14 visits to an emergency room, unexpected visits to an  
15 office physician, and the occurrence of serious  
16 adverse events. Ninety-six percent of participants  
17 from the four studies combined completed the follow-up  
18 evaluation.

19 In studies MT04 and MT09, these were  
20 studies that included a primary safety hypothesis.  
21 Both studies were randomized, blinded, multi-centered,  
22 active controlled trials. In MT04, 75 percent of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 enrolled participants in each group were 15 to 18  
2 years old, and 60 percent of MT09 participants  
3 enrolled in each group were 18 to 25 years old.  
4 Enrollment was stratified by age group to ensure  
5 adequate representation of adolescents and young  
6 adults.

7 The primary objective was to compare the  
8 relative frequency of a solicited severe systemic  
9 reaction among Menactra and Menomune recipients.  
10 Menactra was given intramuscularly, and Menomune  
11 subcutaneously. Since the routes of administration  
12 differed, study personnel administering the vaccine  
13 differed from personnel collecting the safety data.

14 Local and systemic adverse reactions were  
15 assessed daily for seven days following the  
16 vaccination, and the information was obtained by diary  
17 card and periodic telephone interview. The primary  
18 hypothesis was to demonstrate that Menactra was not  
19 inferior to Menomune in the proportion of participants  
20 with at least one severe systemic reaction during the  
21 seven-day period following vaccination.

22 The sample size supported the hypothesis

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 if the upper limit of the two-sided 90 percent  
2 confidence interval for the ratio of the two  
3 proportions was less than 3. The sponsor also  
4 included an analysis according to current CBER  
5 recommendations, which is based on the upper limit of  
6 the two-sided 95 percent confidence interval.

7 Hypothesis testing was based on the  
8 assumption that the expected proportion of Menomune  
9 participants with at least one severe systemic  
10 reaction was .01, meaning 1 percent.

11 The criteria that constituted a severe  
12 systemic reaction is shown here. Of note, headache,  
13 fatigue, chills, and arthralgia were considered to be  
14 severe if the participant felt that the symptom was  
15 disabling, required bed rest or analgesics. Any  
16 seizure was considered as severe, as was any rash  
17 occurring during the seven-day post-vaccination  
18 period. Rashes of interest in this category were  
19 lesions such as hives, purpura, or petechiae, since  
20 these rashes would be clinically significant, and rash  
21 had been included in post marketing surveillance  
22 reports for other meningococcal vaccines.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1           Since these rash descriptions were  
2 difficult to characterize for the vaccine recipient,  
3 all rashes were designated as severe in an effort by  
4 the sponsor to prompt the investigator for additional  
5 details regarding the rash, such as color, blanching  
6 or non-blanching, presence or absence of pruritus, and  
7 duration of symptoms.

8           The intent-to-treat population for safety  
9 included randomized participants who received one dose  
10 of vaccine, for whom safety information was available,  
11 and analyses were performed according to the vaccine  
12 received.

13           In MTO4 participants 11 to 18 years old,  
14 the frequency of pain and duration, redness and  
15 swelling was reported two to three times more  
16 frequently in the Menactra group compared with the  
17 Menomune group. The 95 percent confidence intervals  
18 between the two vaccine groups for each of these  
19 adverse events were not overlapping. Moderate  
20 reactions, including moderate pain, was also more  
21 common among individuals receiving Menactra. The rate  
22 of each severe local reaction, although more frequent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in the Menactra group, were all less than 1 percent.

2 In both groups, headache and fatigue were  
3 reported most often, and the rates were somewhat  
4 similar. Chills and arthralgia, however, were  
5 reported more frequently by Menactra participants.  
6 And for these two adverse events, the 95 percent  
7 confidence intervals between the two vaccine groups  
8 were not overlapping.

9 Fever defined as an oral temperature, 39.5  
10 degrees Celsius or higher, was not a prominent feature  
11 in either group. And also, no seizures occurred in  
12 either group. Rash occurring during the seven-day  
13 post-vaccination period was reported by 51  
14 participants. Fourteen participants reported  
15 localized rash either at or near the injection site,  
16 and 34 participants described the rash as non-  
17 specific, located on the extremities more often than  
18 the trunk, neck, or face. These rashes lasted a  
19 median of two days. Three participants reported  
20 generalized rash. One participant in each group  
21 described the rash as itchy, blanching, and which  
22 responded to Benadryl. A third participant received

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Menactra and reported a generalized non-blanching red,  
2 raised rash that occurred two days post-vaccination  
3 and lasted four days.

4 In the primary analysis for MT04, all  
5 rashes were counted as severe, and for each reaction  
6 each participant was counted no more than once. The  
7 rate of severe systemic reactions in the Menactra  
8 group was .043, which was equivalent to 4.3 percent,  
9 and in the Menactra group and in the Menomune group  
10 the rate was .026 or 2.6 percent. The ratio of the  
11 two proportions was 1.7.

12 The primary safety hypothesis was achieved  
13 by the proposed criteria, which was the upper limit of  
14 the two-sided 90 percent confidence interval, and was  
15 achieved since the ratio was less than 3. The  
16 hypothesis was also achieved by current CBER criteria  
17 since the upper limit using the two-sided 95 percent  
18 confidence interval was also less than 3.

19 When rashes were excluded from the  
20 analysis, the percentage of participants with at least  
21 one severe systemic reaction was 2.7 percent in the  
22 Menactra group, and 1.2 percent in the Menomune group.



1 The ratio of the two proportions was 2.2. Of the  
2 study population which excluded rash, 1.1 percent of  
3 Menactra participants and .3 percent of Menomune  
4 participants reported two or more severe systemic  
5 reactions.

6 In both groups severe headache, malaise  
7 were most frequent. Although a higher percentage of  
8 Menactra participants had multiple severe systemic  
9 reactions, the difference was not statistically  
10 significant.

11 In MT09 participants 18 to 55 years old,  
12 the rate of pain in the study was similar in the two  
13 vaccine groups due to increased frequency of reported  
14 pain in the Menomune group, and duration and swelling  
15 were reported 1.5 times and 1.7 times more frequently  
16 in the Menactra group compared with the Menomune group  
17 respectively. The differences in these rates were  
18 statistically significant.

19 The rate of each severe local reaction,  
20 although more frequent in the Menactra group, were all  
21 less than or equal to 1.1 percent. Moderate pain was  
22 about three times more common among individuals

1 receiving Menactra when the study population was  
2 considered as a whole.

3 When the study population was divided into  
4 two age groups, pain was more discordant in the  
5 younger age group. Within the 18 to 25 year old  
6 cohort, moderate pain was reported four times as often  
7 in the Menactra group than in the Menomune group. And  
8 in participants 26 years and older, moderate pain was  
9 reported twice as often in the Menactra group. The  
10 rate of severe systemic reactions overall in  
11 participants 18 to 55 years old is more similar in the  
12 two vaccine groups compared with MT04. The rate of  
13 severe systemic reactions in the Menactra group was  
14 .038, and in the Menomune the rate was .026. The  
15 ratio of the two proportions was 1.5.

16 The primary safety hypothesis by the upper  
17 limit of the two-sided 90 percent and 95 percent  
18 confidence intervals for the ratio were again achieved  
19 by both statistical criteria. The percentage of  
20 participants with at least one severe systemic  
21 reaction when rash was excluded from the analysis was  
22 2.6 percent in the Menactra group, and 1.9 percent in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the Menomune group. The ratio of the two proportions  
2 was 1.3.

3 Similar to MTO4 results, the percentage of  
4 participants with two or more severe systemic  
5 reactions was higher in the Menactra group than in the  
6 Menomune group, but the difference in the two groups  
7 was not statistically significant.

8 For the seven studies combined submitted  
9 in the license application combined, the overall rate  
10 of serious adverse events was 1 percent in the  
11 Menactra group, and 1.3 percent in the Menomune group.  
12 Pertinent events included two deaths, one death was  
13 reported in a 25-year old woman in a motor vehicle  
14 accident after Menactra vaccination, and the other in  
15 a 35-year old man who experienced cardiopulmonary  
16 arrest following drug overdose after Menomune  
17 vaccination. One event was reported by the  
18 investigator as possibly related to vaccination. This  
19 was a 17-year old Menactra participant with severe  
20 esophagitis who was hospitalized six days after  
21 vaccination. A plausible cause for the event,  
22 however, included a history of a sports-related back

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 injury four weeks prior to enrollment, and extensive  
2 and safe use thereafter.

3 Reports of anaphylactic and allergic  
4 reactions were uncommon. One Menactra participant had  
5 a prior history of a peanut allergy, and the other had  
6 a prior reaction to an antibiotic. Both participants  
7 reported symptoms after exposure to the same  
8 participants. The third Menactra participant an  
9 anaphylactic reaction after multiple bee stings. The  
10 recovery of all three individuals was uneventful.  
11 Reports of meningitis and pneumonia were also rare.

12 I will now move on to the study evaluating  
13 the concomitant vaccine administration of Menactra  
14 with Td. Study MTA12 included two groups, Study Group  
15 A received Menactra and Td concomitantly, then a  
16 saline placebo 28 days later. Study Group B received  
17 Td first, then Menactra. Enrollment included  
18 participants 11 to 17 years old. Antibody response to  
19 the meningococcal components was evaluated by the  
20 proportion of sera responders to each sera group.

21 The proportion of sera responders are  
22 shown in this slide as a percentage. In Study Group

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 A, when Menactra was given concomitantly with Td, the  
2 percentage of sera responders was greater than 85  
3 percent for Sera Group Y, greater than 90 percent for  
4 C, and greater than 95 percent for W135. In Study  
5 Group B, however, when Td was given 28 days prior to  
6 Menactra, the percentage of sera responders for each  
7 of these sera groups was lower. The difference in the  
8 two proportions was 8.8, 20.7, and 8.7 percent  
9 respectively.

10 Similar to the percentage of sera  
11 responders, the meningococcal geometric mean titer 28  
12 days after Menactra vaccination also showed  
13 differences in antibody response for Sera Groups C, Y,  
14 and W135. When an analysis was done to adjust for  
15 disparities in baseline titers, the difference in  
16 antibody response in the two vaccine groups was still  
17 noted. The effect of vaccine regime on antibody  
18 response was not easily interpretable without a direct  
19 comparison of each study group of adolescents to a  
20 group of adolescents receiving Menactra alone.

21 In the absence of this control group in  
22 Study MTA12, without making cross-study comparisons

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and acknowledging the differences in study  
2 characteristics across studies could be different,  
3 definite conclusions could not be drawn about whether  
4 increased meningococcal antibody responses alone  
5 occurred when the two vaccines were given together, or  
6 if suppressed antibody responses also occurred, in the  
7 group given Td prior to Menactra.

8 In the context of Menactra and Td  
9 vaccination, the safety of this vaccine regime was  
10 assessed from the perspectives of local pain rates,  
11 and any relationship between the frequency of adverse  
12 events to pre-existing antibody levels to diphtheria.  
13 The frequency of local pain at the Menactra and Td  
14 injection sites during the seven days following  
15 concomitant vaccine administration is shown here.  
16 Pain at the Menactra injection site was reported by  
17 52.9 percent of participants, whereas pain at the Td  
18 injection site was reported by 70.9 of the same  
19 participants. Redness, swelling, and duration and  
20 pain was noted to be similar whether Menactra was  
21 given concomitantly, or in a sequential fashion.

22 From an alternative viewpoint, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 frequency of Menactra adverse events appeared  
2 unchanged by diphtheria antibody levels when Td was  
3 given with or prior to Menactra.

4 In both groups, the diphtheria GMT pre-  
5 vaccination was the same. However, 28 days after Td  
6 vaccination, the diphtheria GMT was 20.9 international  
7 unit per mil with the concomitant vaccine group, and  
8 8.4 international units per mil when Td was given  
9 prior to Menactra. The diphtheria antibody level in  
10 the sequential vaccine group was consistent with  
11 diphtheria levels following routine TD vaccination in  
12 adolescents.

13 Hence, similar Menactra adverse event  
14 profiles, whether Td was given with or 28 days prior  
15 to Menactra, suggests that the frequency of adverse  
16 reactions are more related to the amount of diphtheria  
17 contained in Menactra than to the level of pre-  
18 existing diphtheria antibody.

19 In summary, the primary immunogenicity  
20 hypothesis to demonstrate non-inferiority of Menactra  
21 compared to Menomune were achieved for each sera  
22 group. The proportion of sera responders with a four-

1 fold or greater increase in bactericidal antibody  
2 titer 28 days after vaccination compared with  
3 baseline.

4 In MTA12, a difference in the antibody  
5 response to meningococcal components was noted in the  
6 group receiving Td prior to Menactra, and the group  
7 receiving Menactra and Td concomitantly. In the  
8 absence of a group receiving Menactra alone, these  
9 results were less easily interpretable.

10 Increased frequency of local and systemic  
11 reactions were observed in Menactra participants  
12 compared to Menomune. Although the percentage of  
13 Menactra participants with two or more severe systemic  
14 reactions was higher, the difference was not  
15 statistically significant, and the safety hypothesis  
16 to demonstrate non-inferiority of Menactra to Menomune  
17 were achieved.

18 I will now present the questions and  
19 discussion points to the committee. Question one -  
20 are the available data adequate to support the  
21 efficacy of Menactra, i.e., non-inferiority of the  
22 antibody response to Menactra compared to the licensed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 polysaccharide vaccine Menomune when administered to  
2 individuals 11 to 55 years of age? If not, what  
3 additional data are necessary.

4 Question two - are the available data  
5 adequate to support the safety of Menactra when  
6 administered to individuals 11 to 55 years of age? If  
7 not, what additional data are necessary.

8 Discussion Point One - please discuss the  
9 adequacy of the data regarding the use of Menactra and  
10 other vaccines likely to be concurrently administered,  
11 e.g., Td. Discussion Point Two - please identify any  
12 issues that should be addressed in post licensure  
13 studies. Thank you.

14 DR. OVERTURF: Thank you, Dr. Lee. We  
15 will address the questions this afternoon, but at this  
16 time if there are questions of the committee for Dr.  
17 Lee on clarification of the data that she presented,  
18 I'll entertain them now. If there are no questions  
19 now --

20 DR. FARLEY: Monica Farley. You didn't  
21 mention, I don't believe, the lot-to-lot variation  
22 study, and I wonder if you -- there apparently was a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 review of that, and do you have any comments on the --  
2 did they achieve the goals there?

3 DR. LEE: As the sponsor mentioned this  
4 morning, there were -- the primary endpoint for a few  
5 of the sera groups was not achieved, and although the  
6 endpoint was not achieved, all the ratios of the GMT  
7 of the bactericidal GMT were all less than a ratio of  
8 2. And so that the differences, while they were  
9 apparent, were thought to be less clinically  
10 significant.

11 DR. OVERTURF: Other questions? I think  
12 if there are no other questions, we will adjourn now,  
13 and plan to reconvene at 2:00 following lunch. Thank  
14 you very much.

15 (Whereupon, the proceedings in the above-  
16 entitled matter went off the record at 12:58:04 p.m.  
17 and went back on the record at 2:03:08 p.m.)

18 DR. OVERTURF: The afternoon session is  
19 beginning. Thank you. Before we begin the afternoon  
20 session, I'd like to introduce Dr. Karen Midthun who  
21 has an announcement she'd like to make for the FDA.

22 DR. MIDTHUN: Hello and good afternoon.

1 I just wanted to say that, as was mentioned earlier,  
2 this is the 100<sup>th</sup> VRBPAC Meeting, and as such, I'd  
3 just like to take a few moments to thank all of our  
4 members of the Advisory Committee, the public and all  
5 of the staff who have helped make this be a really  
6 good meeting time after time. And because of this, we  
7 actually have a cake that we ordered for this  
8 occasion, and at the break, please help yourself to a  
9 piece. So without further ado, back to serious  
10 business.

11 DR. OVERTURF: Next on the agenda is the  
12 open public hearing.

13 MS. WALSH: As part of the FDA Advisory  
14 Committee meeting procedure, we are required to hold  
15 an open public hearing for those members of the public  
16 who are not on the agenda and would like to make a  
17 statement concerning matters pending before the  
18 committee. Dr. Overturf.

19 DR. OVERTURF: I'm required to read into  
20 the record a statement regarding open public hearing  
21 announcements. Both the Food and Drug Administration  
22 and the public believe in the transparent process for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 information-gathering and decision making. To assure  
2 such transparency, the Open Public Hearing Session of  
3 the Advisory Committee Meeting, FDA believes that it's  
4 important to understand the context of an individual's  
5 presentation. For this reason, the FDA encourages  
6 you, the open public hearing speaker at the beginning  
7 of your written or oral statement to advise the  
8 committee of any financial relationships you may have  
9 with the sponsor, his products, and if known, its  
10 direct competitors.

11 For example, this financial information  
12 may include the sponsor's payment of your travel,  
13 lodging, or other expenses in connection with your  
14 attendance at the meeting. Likewise, FDA encourages  
15 you at the beginning of your statement to advise the  
16 committee if you do not have any other such financial  
17 relationships. If you choose not to address this  
18 issue of financial relationship at the beginning of  
19 your statement, it will not preclude you from  
20 speaking.

21 Our first speaker is Dr. David King, who  
22 is speaking as a New Jersey representative for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Coalition for Mercury-Free Drugs.

2 DR. KING: First of all, my name is Dr.  
3 Paul G. King. I don't know who this other guy is, but  
4 that's who I am. And I'm speaking today on behalf of  
5 the American public and CoMed. I have prepared a set  
6 of notes which I will diverge from fairly drastically,  
7 not because I wanted to, but because different  
8 information was revealed here than was in the packet  
9 that they provided, that the applicant provided.

10 I am neither affiliated with the  
11 government, nor any pharmaceutical manufacturer. My  
12 background is the area of CGMP regulatory compliance  
13 and sound science. I am a Ph.D. chemist, with a  
14 Master's Degree in inorganic chemistry, and I am  
15 definitely not a vaccinologist. If you're interested  
16 in finding out about my credentials, my website is in  
17 the handout.

18 In general, my oral presentation will  
19 discuss Aventis proposed new vaccine from the  
20 viewpoint of a vaccine, and also the risk of reducing  
21 Mercury poisoning. Now one of the things I found out  
22 was that they did the study. They didn't compare it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to their vaccine they got approved, the approved  
2 Menomune vaccine, is a preserved vaccine, but they did  
3 it against their single dose vaccine, which is a low  
4 trace level Mercury vaccine, I believe. I guess I  
5 understand why. It might have got a lot more adverse  
6 reactions if you compared it against that one, so  
7 somebody is making a leap over a couple of hurdles  
8 that wasn't presented to this committee, because they  
9 presented this as if they were comparing this to their  
10 approved Menomune vaccine which they did by clinicals,  
11 and they're not doing that, which I find  
12 reprehensible. If somebody is going to do that, they  
13 should clearly have said that. We put this  
14 intermediate step in, and here is why.

15 By the way, I support doing that. I  
16 wouldn't have -- I would hate to have given any 18 to  
17 55 year old or child a Mercury-containing vaccine at  
18 levels well above the toxic level, which according to  
19 Leong's work is on the order of oh, let's see - if you  
20 do the math, comes out to something like 4 times 10 to  
21 the minus 11 grams were applied to 2ML preparations of  
22 growing nurides, and 77 percent of them died. And he

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       tried Aluminum, Lead, Cadmium, and Manganese, and they  
2       didn't cause any deaths to speak of at all. ' /

3               Now returning briefly to my remarks, the  
4       data on Menactra shows it's not worse than the  
5       Menomune single dose vaccine, and it does boost  
6       immunity, which the previous vaccine wouldn't do. So  
7       on that basis, I would probably support it as a  
8       vaccine for being approved. However, I have a very  
9       big caveat emptor.

10              With this vaccine, the sub titer varying  
11       shortly after vaccination less than 70 percent are  
12       protected, is this vaccine a preventive or is more  
13       indicator or discriminator? Does it simply ID those  
14       whose immune system can innately cope with this  
15       disease and blown its adverse effect to the mild or  
16       silent ones? Does it simply protect those who are not  
17       susceptible to the disease's severe effects? Perhaps  
18       these questions should be answered, because again, I  
19       would support it only to use just blunt outbreaks, or  
20       when you have populations of diverse people coming  
21       together, like in militaries or going to school where  
22       kids don't practice very good hygiene. I certainly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 didn't my first year in school, and my university  
2 required me to live on campus.

3           The third thing I have a problem with is  
4 when we talk about going to extend this to doing it to  
5 children. Since the B subtype is not protected at all  
6 in the vaccine, I don't understand why you're going to  
7 give a vaccine that will, at best, initially protect  
8 less than, as I count it, 40 percent of the children  
9 inoculated, and after a year less than 20 percent.  
10 That's at best, and probably may not protect even that  
11 many. The cost of it doesn't seem to be beneficial.

12           The other thing I would point out is if  
13 you look at the history, Menomune has been around for  
14 a long time, and yet I don't see any drop in the  
15 outbreak rate, nor any real decrease in the death  
16 rate. So maybe again, it's like I said, it's only a  
17 vaccine. It's a good indicator of those people who  
18 are -- you give it to people and they don't get very  
19 sick with it, they are being who couldn't get sick  
20 with the disease very much. That's what I would say  
21 about -- that's what all I have to say about that, I  
22 would think.



1                   Now the other thing which I worry about is  
2                   that you say the strains vary and whatever, but as I  
3                   read all the things I could get my hands on, which  
4                   aren't very many, it seems that the vaccine has  
5                   shifted the population of strains. And that may not  
6                   be in the long term a good thing to do either, and  
7                   that's another reason I'd recommend this be only  
8                   approved for outbreaks or in situations where the  
9                   potential of outbreaks is large, and not as a general  
10                  vaccine to the general population.

11                  Also, I noticed that these people failed  
12                  to provide a risk benefit analysis, and I find that  
13                  particularly reprehensible because the FDA says now  
14                  everything is supposed to be based on risk benefit.  
15                  In other words, here's what the cost is to the  
16                  population, the risk - you know, the total risk,  
17                  health effects, could of the medicine and whatever.  
18                  Here's what the people who are going to get this,  
19                  going to get as a benefit. If it really doesn't  
20                  protect anybody but the people who would be protected  
21                  anyway, maybe if it blunts the more -- it might be  
22                  worth it in an outbreak sense, but at \$80 a dose for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 300 million people every three years, I think I'd have  
2 a hard time justifying that as being cost-effective  
3 healthcare. We could do much more better things just  
4 to get the kids to wash up better, practice better  
5 hygiene, clean their rooms and stuff better, and that  
6 would reduce the risk of anyone getting the disease in  
7 the first place.

8 As I've said before, nonetheless on  
9 balance, being forced to consider it less -- I would  
10 still support this vaccine being approved, provided  
11 the following actions are taken. There should be at  
12 least a five-year Phase Four trial where all the data  
13 is collected on all the people given it for five years  
14 afterwards, especially since you're going to be giving  
15 a booster dose after three years. It looks like  
16 you're going to give this as a vaccine in the normal  
17 sense of that word.

18 Also, I think if you're going to approve  
19 Menactra, you should certainly revoke the license for  
20 the Mercury laced or the preservative Menomune, if not  
21 both of those vaccines, because they're obviously  
22 inferior in that they do have an adverse effect, if you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 ever try to get re-vaccinated with the Menomune, even  
2 the low dose one.

3 Third, again I will re-emphasize, only  
4 approve for vaccinations of most seriously at-risk  
5 sub-populations; for example, incoming college  
6 freshmen who reside in dormitory settings, military  
7 conscript, volunteers in service, orphanage residents,  
8 nursing home or residential communities for the  
9 elderly. And for a similar reason, vaccination should  
10 be restricted to initial dosing and one booster dose  
11 at three years subject to review after five years  
12 experience under the Post Approval Surveillance  
13 Program.

14 And C, to prolong a rabies acquired  
15 internal immunity, DHHS should strongly promote breast  
16 feeding for not less than two years because in Mother  
17 Nature, that's what happens.

18 In closing, let me assure this panel that  
19 failure to truly consider these simple science-based  
20 requests and acting appropriately may further  
21 undermine the public's willingness to subject  
22 themselves and their children to vaccines that have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 real cost and real risk to them for the sake of the  
2 purported benefits to the population as a whole.  
3 Because again with the babies' case, if you believe  
4 herd immunity requires 80 percent immunization, then  
5 there's no way you're going to get it by giving babies  
6 a Non-B vaccine when that's the majority sub titer.

7 Remember rather than trying to continue to  
8 increase the number of vaccines, the number of doses  
9 given to the point that bad vaccines - my favorite  
10 examples are Lyme disease vaccines and the Smallpox  
11 vaccine, in worst practices are incorporated into the  
12 vaccine schedule, the money would be better spent re-  
13 emphasizing the importance of personal hygiene and  
14 providing clean housing for the poor and the homeless.

15 For example, since bed bugs and not direct  
16 contact with a vector that transmits Smallpox, and  
17 with supportive medicines which we have, the death  
18 rate is under 10 percent, DHHS would be better off  
19 spending money on providing clean insect-free housing  
20 for the poor and homeless, and promoting the washing  
21 of bed clothes with very hot water and bleach, instead  
22 of trying to vaccinate the public and cause thousands

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of unnecessary Cowpox reactions, and hundreds of  
2 unnecessary Cowpox deaths.

3 Finally, does the cost of one dose  
4 outweigh the potential maximum benefits per year? I  
5 don't think so. Thank you. Any questions, I'll try  
6 to answer them.

7 DR. OVERTURF: Thank you, Dr. King. Are  
8 there any questions for Dr. King? Thank you very  
9 much. Our next speaker is, I hope I have this right,  
10 Mike Kepferle from the National Meningitis  
11 Association.

12 MR. KEPFERLE: Thank you. My name is Mike  
13 Kepferle, and I'm one of the founding directors of the  
14 National Meningitis Association. We're at a non-  
15 profit health education foundation that tries to  
16 educate families, medical professionals, and just the  
17 general public about meningococcal meningitis,  
18 meningococcal disease, in particular, and the ways  
19 that you can help prevent it; which obviously include  
20 both immunization and good hygiene.

21 I want to say that we have been waiting.  
22 I'm a parent, and I represent a lot of parents that

1 have been impacted by meningococcal disease, and we  
2 have been waiting for something to change. Now we  
3 haven't been waiting passively. We've been trying to  
4 make things happen, but we look forward to this new  
5 conjugate meningococcal vaccine, if it's approved,  
6 because of what we hope that it will do to protect the  
7 children.

8 We're also waiting for a serogroup B  
9 vaccine, and I hope there's some discussion with the  
10 folks down in New Zealand about what's going on there,  
11 and the potential for a vaccine here in the U.S.

12 One Saturday I took my son, Patrick, who  
13 was 18 years old and dropped him off at college.  
14 Sunday he was dead. I couldn't even pronounce  
15 meningococcal, and I'd heard about Meningitis. In  
16 fact, we had received some information in 1999 in our  
17 college application that recommended he be vaccinated  
18 with a Menomune vaccine. But the wording of the  
19 recommendation was kind of hard to follow. It didn't  
20 matter. We're very pro vaccine. He had his Hepatitis  
21 vaccines, but we took him to a Navy clinic, which is  
22 where we were getting our medical help, and ironically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 at a military base we couldn't get the Menomune  
2 vaccine for him. But we weren't worried.

3 We didn't know much about Meningitis, but  
4 we figured he'd just get a shot when he went to  
5 school, and we encouraged Patrick to do that. Well,  
6 he didn't do it the first semester, and so I remember  
7 distinctly besides telling him to get his grades up,  
8 that he needed to go to the health center and get the  
9 shot, and I'd pay for it. Well, in March he came home  
10 to watch his high school team play in the regional  
11 playoffs, and then went back that March 4<sup>th</sup>, 2000 -  
12 it's now been four years - it seems like yesterday,  
13 and he was dead on March 5<sup>th</sup>.

14 After that happened, I reached out to  
15 other parents who I know lost children. I found about  
16 it, and I learned a lot, and we started the National  
17 Meningitis Association. And if I could have every one  
18 of those parents sitting in this room, because there  
19 are more parents than would fit in this room, and I  
20 have to represent them because I'm the only one that  
21 could make it here, but if I could have them sitting  
22 here, they'd say please, please get us another

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 vaccine, and help protect our kids.

2 Now my son was in that classic college 18-  
3 year old freshman living in a dorm situation, but  
4 believe me, I've talked to a lot of parents that have  
5 lost kids that are 11, 14, 12. Last week, David  
6 Pasick, Wall, New Jersey died at 13 years old. I met  
7 with a family up in Washington State whose 12-year old  
8 son, Carl, had both of his legs amputated. And I  
9 don't think I need to tell most of the medical folks  
10 here what this disease can do, but I do want you to  
11 know that we parents that didn't know what this  
12 disease could do, and all of the parents out there  
13 that still don't know, no matter how much we try to  
14 educate them, need to be given a vaccine that is going  
15 to protect not just the college kids, but also the  
16 ones that are younger. And every child in this  
17 country that is eligible for a vaccine and can be  
18 protected by the vaccine should be given that vaccine.

19 All the families that are involved with  
20 NMA want you to know that we know that immunization  
21 and education are the only combination that are going  
22 to save our children's lives. And I don't want next

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 year to tell a parent of a 12-year old who lost their  
2 child because they didn't have a vaccine that could  
3 have saved their lives, that well, we're another year  
4 later, and I'm sorry. Because that's what I'm telling  
5 them right now, and I don't like it. So please,  
6 please get us the vaccine that we need, work with us  
7 to educate the public so that they know what the  
8 vaccine can do for it, and thank you. Do you have  
9 any questions?

10 DR. OVERTURF: Are there any questions for  
11 Mr. Kepferle? Thank you very much. Is there anyone  
12 else who would like to make a presentation during the  
13 open public hearing? If not, I will ask Dr. Carl  
14 Frasch to come forward and we'll begin addressing the  
15 questions for the afternoon.

16 DR. FRASCH: Okay. What I'm going to do  
17 is I'm going to go through two questions and two  
18 discussion points, and then be sure that there's a  
19 clear understanding of exactly what the committee is  
20 being asked. Then we'll go back to question one, and  
21 Dr. Overturf's open the questions for discussion.

22 So the first question is, are the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 available data adequate to support the efficacy of  
2 Menactra, in this case as defined by non-inferiority  
3 of the antibody response to Menactra compared to the  
4 licensed polysaccharide vaccine Menomune, when  
5 administered to individuals 11 to 15 years of age. If  
6 not, what additional data are needed.

7 The second question and the last question  
8 for which the committee will vote is, are the  
9 available data adequate to support the safety of  
10 Menactra when administered to individuals 11 to 15  
11 years of age? If not, what additional data are  
12 necessary.

13 Now the next two are discussion points.  
14 First discussion item is, please discuss the adequacy  
15 of the data regarding the use of Menactra with other  
16 vaccines likely to be used concurrently, administered  
17 concurrently. For example, Td. And the last  
18 discussion item - please identify any issues that  
19 should be addressed in post licensure studies.

20 So I would open the -- see if there's any  
21 discussion regarding the meaning of any of the  
22 questions. If the meaning of the questions are clear,

1 then I'll be finished. Okay.

2 DR. OVERTURF: If not, we'll go ahead and  
3 proceed with a discussion of the first question. The  
4 first question is, are the available data adequate to  
5 support the efficacy of Menactra, i.e., non-  
6 inferiority of the antibody response to Menactra  
7 compared to the licensed polysaccharide Menomune when  
8 administered to individuals 11 to 55 years of age. If  
9 not, what additional data are necessary? Are there  
10 discussions or questions? This is a question we will  
11 vote on, and what we will do at the time of the vote  
12 is to proceed around the room. If you have additional  
13 data that you think is necessary, regardless of what  
14 your vote is in that regard, you should state it at  
15 that time into the record. Did you have a question,  
16 David? No. Any other discussion regarding this  
17 question? This is a very quiet committee today.

18 This time I think we'll start with Dr.  
19 Karron, and ask her for a yes or no vote, and any  
20 questions regarding additional data that she feels is  
21 necessary.

22 DR. KARRON: Yes, I believe that the data

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 are adequate to support the efficacy of Menactra; that  
2 is non-inferiority of the response to Menactra  
3 compared to Menomune.

4 DR. OVERTURF: Dr. Self.

5 DR. SELF: Well, at risk of contradicting  
6 myself I will vote yes, I think the data are adequate.  
7 But I'll also say that I would like to see some more  
8 data, and that would have to do with the relationship  
9 between the antibody response and risk for other  
10 serogroups.

11 DR. OVERTURF: Dr. Densen.

12 DR. DENSEN: I believe the data are  
13 adequate to support the non-inferiority of the  
14 candidate vaccine to the current vaccine.

15 DR. OVERTURF: Dr. Whitley.

16 DR. WHITLEY: According to the standards  
17 specified by the Food and Drug Administration and  
18 Aventis, I believe that Menactra should be approved  
19 for efficacy.

20 DR. OVERTURF: Dr. Word.

21 DR. WORD: I would agree that there is  
22 adequate data to support the efficacy of Menactra.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. OVERTURF: Dr. Petteway, I believe  
2 you're a non-voting member for this. Do you have any  
3 comments that you want to make?

4 DR. PETTEWAY: Well, the only comment is  
5 I think it's clear, I think that the data does support  
6 non-inferiority.

7 DR. OVERTURF: Yes, Dr. Stephens.

8 DR. STEPHENS: I also agree the data are  
9 supportive that this vaccine is non-inferior.

10 DR. OVERTURF: Dr. Gellin.

11 DR. GELLIN: Also in agreement that the  
12 data as presented are in agreement with the --  
13 supporting Menactra based on non-inferiority for  
14 efficacy.

15 DR. OVERTURF: Cindy Province.

16 MS. PROVINCE: Yes, I do believe that the  
17 available data are adequate to support the efficacy of  
18 Menactra according to the conditions that have been  
19 given.

20 DR. OVERTURF: Dr. McInnes.

21 DR. McINNES: I believe that the available  
22 data are adequate with regard to efficacy for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Menactra. I would like to see additional data on  
2 persistence of antibody so that one might better  
3 understand the possible priming, boosting. And I  
4 think data on the kinetics of the antibody response  
5 would be of great interest also in further  
6 understanding the biology of what is happening.

7 DR. OVERTURF: Dr. Farley.

8 DR. FARLEY: Yes, I think that the data do  
9 support the non-inferiority of the product. I agree  
10 that having more information on the subsequent dosing  
11 and boosting would be of great interest.

12 DR. ROYAL: I agree that the data  
13 demonstrate that Menactra is not inferior to Menomune.

14 DR. OVERTURF: I'm sorry. I didn't call  
15 Dr. Royal's name. That was Dr. Royal's vote. Dr.  
16 Markovitz.

17 DR. MARKOVITZ: Yes, I'd like to vote yes,  
18 that this has been demonstrated and strongly echo the  
19 comments of Dr. McInnes and Dr. Farley, that it's  
20 going to be very important to see that follow-up about  
21 boosting and antibody persistence, because I think  
22 that's what will ultimately make this a vaccine that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 people will really want to use.

2 DR. OVERTURF: I also would vote  
3 affirmatively for this, but I think the data strongly  
4 support this. I think there is going to be needed  
5 data regarding persistence of antibody, and  
6 particularly persistence of protected antibody, and  
7 also when boosting will be required.

8 I also would hope very much that there  
9 would be -- that the data for children will be coming  
10 along very, very shortly, because I think that's going  
11 to be an important long-term component, probably  
12 controlling meningococcal disease, as well.

13 The second question is, are the available  
14 data adequate to support the safety of Menactra when  
15 administered to individuals 11 to 55 years of age? If  
16 not, what additional data are necessary? So this  
17 question is now open for discussion from any member of  
18 the committee. Are there questions regarding this?  
19 So a slightly different standard here because it's not  
20 truly a comparable vaccine. It has actually more  
21 antigenic components and, therefore, the non-  
22 inferiority is a little bit more difficult to apply,

1 because of the fact that you going to expect more  
2 reactions, which I think the sponsor addressed. Ye,  
3 Dr. Whitley.

4 DR. WHITLEY: I just think to reiterate  
5 that point, don't forget the two vaccines are given by  
6 different routes. One is given subcutaneously, and  
7 the other is given intramuscularly, and that does  
8 introduce a variable that needs to be considered.

9 DR. OVERTURF: Are there any further  
10 comments or questions, discussion? Well, this time  
11 we'll start with you, Dr. Markovitz, if you could  
12 address that question.

13 DR. MARKOVITZ: Yes. I mean, I think  
14 similar to what might be expected by the comments that  
15 Drs. Overturf and Whitley have made, this is slightly  
16 more reactogenic vaccine than is the currently  
17 licensed version. But nonetheless, I think it's safe.  
18 I'm convinced that the safety data are good. Because  
19 it's more reactogenic, I'd certainly want to see very  
20 close follow-up once people are vaccinated in larger  
21 numbers, so I would vote yes, for the safety issue.

22 DR. OVERTURF: Dr. Royal.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. ROYAL: Thank you. I would vote that  
2 the data do demonstrate that the vaccine is safe.

3 DR. OVERTURF: Dr. Farley.

4 DR. FARLEY: I would say yes, the data are  
5 adequate to support the safety of the new vaccine.

6 DR. OVERTURF: Dr. McInnes.

7 DR. McINNES: Yes, I found the data to be  
8 adequate to support the safety of Menactra.

9 DR. OVERTURF: Yes, Ms. Province.

10 MS. PROVINCE: I do agree that the data  
11 are adequate to support the safety of Menactra. And  
12 I just want to make a general comment for the record  
13 about the importance of post-licensure surveillance.  
14 That may be more appropriately addressed under the  
15 discussion points, but I think it will be very  
16 important to look at the safety data as it continues  
17 to come in, both for the adverse events that have been  
18 detected so far, and is of extreme importance, and  
19 continue to monitor this vaccine for even the  
20 possibility of rare adverse events that might not have  
21 been adequately detected pre-licensure. I think that  
22 that's just a growing issue involving public

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 confidence in vaccines.

2 DR. OVERTURF: Thank you. Dr. Gellin.

3 DR. GELLIN: Given the caveat stated about  
4 the differences in antigen content and the route of  
5 administration, I also believe that the safety data as  
6 presented are adequate to license this vaccine based  
7 on safety. And agree with Cindy that there's a need  
8 to have ongoing safety studies, particularly - and  
9 we'll get into this with the next question - with  
10 concomitant administration, and if this is a vaccine  
11 that is then given to children, where there are more  
12 vaccines administered.

13 DR. OVERTURF: Dr. Stephens.

14 DR. STEPHENS: I would agree this is, as  
15 the data suggests, this is a safe vaccine. I would  
16 also agree with the comment just made about  
17 concomitant vaccines, in particular Td concomitant  
18 administration where I think the reactogenicity issues  
19 may be even more pronounced. And other vaccines  
20 concomitantly given is of concern.

21 DR. OVERTURF: Dr. Petteway, do you have  
22 any comments?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. PETTEWAY: It's clear that the data  
2 supports safety.

3 DR. OVERTURF: Dr. Word.

4 DR. WORD: I think it does support the  
5 safety of Menactra.

6 DR. OVERTURF: Dr. Whitley.

7 DR. WHITLEY: I believe the vaccine's data  
8 support safety, but I want to contribute to the caveat  
9 that was made earlier; and that is, I think  
10 surveillance studies need to be in place when this  
11 vaccine is licensed recognizing that the total number  
12 of people who participated in the clinical trials were  
13 only 7,500. And by my standards, that's a bit slim in  
14 terms of understanding the safety profile.

15 Furthermore, we all know that once we go  
16 beyond clinical trials, what happens in the real world  
17 is very different. And so being able to monitor that,  
18 and reinforce public confidence is essential.

19 DR. DENSEN: I agree with my colleagues  
20 that the vaccine is safe, and I echo the comments of  
21 Dr. Whitley.

22 DR. OVERTURF: Dr. Self.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SELF: I agree the data are adequate  
2 to support the safety of Menactra, and concur with  
3 Whitley and others about surveillance post-licensure.

4 DR. OVERTURF: Dr. Karron.

5 DR. KARRON: I agree that the data are  
6 adequate to support the safety of Menactra, and agree  
7 with others' comments about the need for post-  
8 licensure surveillance.

9 DR. OVERTURF: I also will vote  
10 affirmatively for the confidence in the data on the  
11 safety of Menactra. I also feel that with recent  
12 history as the test, that 7,500 patients sounds like  
13 a lot but it turns out to be a fairly slim number,  
14 particularly for those very rare adverse events, so  
15 post-marketing surveillance will be a critical part of  
16 this vaccine's future.

17 Now at this point, we're going to open up  
18 the discussion to the adequacy of the data regarding  
19 use of Menactra with other vaccines likely to be  
20 concurrently administered, such as Td. And we've  
21 already commented on Td. When and if we begin to  
22 extend this vaccine to much younger children -- yes,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I'm sorry. Dr. Frasch.

2 DR. FRASCH: I just want to clarify that  
3 concurrent doesn't only mean given at the same time  
4 as, but within reasonable proximity of, like a week or  
5 so on either side.

6 DR. OVERTURF: My comment would be that  
7 there are vaccines which are given in college health  
8 services, and are recommended. Often college students  
9 do not get the second dose of MMR until college. The  
10 other vaccine, which is a live vaccine, they also may  
11 not get vaccinated for Hepatitis A and Hepatitis B  
12 prior to college, and some of those are recommended,  
13 as well. So there will be a need for at least some  
14 additional data for safety and the effects on  
15 immunogenicity of this vaccine. So at this point,  
16 I'll open the question up for discussion, and see if  
17 there are additional comments by the committee  
18 members. Any additional comments? Dr. Word.

19 DR. WORD: I think you've mentioned a  
20 number of other vaccines that need to be addressed  
21 when you administer this, but it's not just that we're  
22 just talking about the adolescent population. You're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 extending it out, and besides just routine healthy  
2 immunizations, you have to think about people who do  
3 participate in international travel. And there are a  
4 number of other vaccines that may be administered at  
5 those time periods, like Yellow Fever, Japanese  
6 Encephalitis. I mean, you're not going to need a lot,  
7 but when you're dealing with some of these adolescents  
8 particularly go out of the country, they go to  
9 missions. And also, I think Dr. Karron brought up the  
10 question about oral Typhoid, even though many of us  
11 don't use it as much, we use the injectable because of  
12 the conflicts occasionally with Malaria prophylaxis.  
13 There are some people who still use oral Typhoid.

14 DR. OVERTURF: Are there any other  
15 questions? There's actually a number of those travel  
16 vaccines, including for people going on extended stays  
17 in rural countries, Rabies vaccine, pre-exposure  
18 prophylaxis is recommended. Others receive dosing of  
19 things like Yellow Fever vaccine for certain kinds of  
20 settings, as well. So in addition to Typhoid and also  
21 the Hepatitis A vaccine that I mentioned, so I think  
22 there will be a number of the travel vaccines that

1 will have to be looked at.

2 DR. WHITLEY: Procedurally, Gary, what do  
3 we have to do? Do we have to make a formal statement  
4 for the agency on discussion items, since this is a  
5 non-voting item, or what do you want from the  
6 committee?

7 DR. OVERTURF: We do not have to have a  
8 vote. What's required here is a discussion for the  
9 sponsor and for the FDA to help direct them into  
10 studies that need to be done further for concurrently  
11 given vaccines.

12 DR. WHITLEY: Then I think we should make  
13 a definitive statement that the data regarding the use  
14 of Menactra plus Td, versus administration of Td  
15 followed a month later by Menactra need to be  
16 clarified so that the immune response, persistence of  
17 immunity, and kinetics of immune response are all  
18 identified under those circumstances.

19 DR. OVERTURF: I agree. I think also  
20 safety needs to be addressed in that regard. When the  
21 vaccine is given with some of these other vaccines,  
22 that's going to be necessary. Actually, the data

1 that's presented today I think we all would agree is  
2 very limited and only begins to scratch the surface  
3 with what's needed. Dr. Stephens.

4 DR. STEPHENS: I think there also needs to  
5 be some additional data about the use of this vaccine  
6 in individuals that have previously received the  
7 polysaccharide vaccine. We heard some data today and  
8 it hadn't been, as I understand it, reviewed by the  
9 FDA, but there was data presented, a little bit of  
10 data in that regard. But I think this is an important  
11 group, because a number of college kids, obviously, a  
12 number of the population have already been vaccinated  
13 with a polysaccharide, and we need to have some  
14 understanding, a better understanding of how this  
15 vaccine would be used in those groups, laboratorians,  
16 for example.

17 It's also true that there needs to be a  
18 better understanding of what happens when the  
19 polysaccharide is used after this vaccine. We didn't  
20 hear any data from my perspective, or I didn't hear  
21 any data about that today; although, I think that data  
22 does exist, and I think it may be an effective

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 booster. But some more data about the concurrent use  
2 or the previous use of polysaccharide vaccine in  
3 conjunction with this vaccine needs to be obtained.

4 DR. OVERTURF: Yes.

5 DR. WHITLEY: Can I just amplify on what  
6 Dave said for one minute. And I think it goes back to  
7 the question that I posed earlier; and that is, the  
8 day-28 data need to be extended far out. And I  
9 understand that data will be forthcoming in children,  
10 and you have it, but it should be also available in  
11 other populations, as well, because that will be  
12 crucial in terms of the people who just get Menactra,  
13 versus those who have the polysaccharide vaccine  
14 followed by Menactra.

15 DR. OVERTURF: All right. I think we'll  
16 probably -- the likelihood is that we'll be exposing  
17 a fairly sizeable large population immediately to this  
18 vaccine, particularly in the adolescent age group.  
19 And we don't know much past a few months, so I think  
20 that's where the critical early information needs to  
21 come from. Yes, Dr. Farley.

22 DR. FARLEY: I agree. I'm concerned if we

1 have a successful vaccine campaign of 11 year olds ,we  
2 will be uncertain what to be advising them to do when  
3 they're going to be college freshmen in dormitories,  
4 so I think we have a lot to learn for that very high  
5 risk population.

6 DR. OVERTURF: Is it fair to ask the  
7 sponsor if any of those kinds of studies are planned,  
8 with some of the initial populations that were  
9 immunized at 11 to 12 or 15 years of age. Are any of  
10 those planned for long-term booster studies? Just  
11 introduce yourself again.

12 DR. DECKER: I'm Dr. Michael Decker. I  
13 heard several issues raised that are closely related.  
14 We showed you the data from MTA19, which are being  
15 submitted or may have just been submitted to FDA for  
16 their formal review. And that involves a three-year  
17 follow-up with the cohort from the MTA02 participants.  
18 We're continuing to follow these children. One reason  
19 why it was a subgroup that was reimmunized there was  
20 to leave some children behind who could be evaluated,  
21 and potentially reimmunized at five years, or at  
22 successive time points. So it's our intention to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 follow this MTA02 cohort on out. And remember now,  
2 they're a full four years ahead of the public that has  
3 not received the vaccine at all yet. So we recognize  
4 precisely the question was raised. In fact, to me  
5 that's one of the most compelling questions, is if we  
6 immunize a cohort of 11 year olds as I hear that ACIP  
7 is considering, the question will be raised when they  
8 approach colleges are they covered, do they need a  
9 booster? And so we have this cohort who were  
10 immunized at 11 years old already in the study, and  
11 the adolescents limit 18, but it includes -- it will  
12 provide data that will address this question. And you  
13 saw what it looked like three years out. We're  
14 waiting to see in about year, we're going to see what  
15 it looks like five years out, and so on. And so we  
16 have that going.

17 I don't want to talk much at all about the  
18 data for persons younger than 11, because that's not  
19 the subject of today's meeting, but I know it's of  
20 great interest to people. And we have done similar  
21 work in that group, and are following them on out. So  
22 I believe that we will have data that will directly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 address the things that we all need to know.

2 DR. OVERTURF: Dr. Royal.

3 DR. ROYAL: I'd like to put in a plug for  
4 studies being pursued that might increase our  
5 understanding of the mechanisms that might be  
6 associated with any increased efficacy that might be  
7 seen going back to the comment about not only were the  
8 formulations different, but also the routes of  
9 administration were different, which makes it a bit  
10 difficult to understand whether it's the formulation  
11 or the intramuscular injection that's really  
12 responsible for the increased immunogenicity. So such  
13 studies may not necessarily involve humans, and it may  
14 be difficult to do in humans, but I would be very much  
15 in favor of them being done.

16 DR. OVERTURF: Another issue in mechanism  
17 might be also to look more closely at those sub-  
18 populations which actually have complement deficiency.  
19 That will be a difficult study, although at least in  
20 many populations, the estimated number of individuals  
21 who are deficient have those complement deficiencies  
22 vary from a 5 or 10 percent number, all the way up to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 as much as 30 percent, depending which population  
2 you're looking at. It will be interesting to see what  
3 the immunology is in those patients who received those  
4 vaccines in that particular population, particular  
5 group.

6 DR. DENSEN: I have two comments, one just  
7 related to your last comment. I think the variation,  
8 the number of complement deficient patients in  
9 different populations depends on the incidence of  
10 meningococcal disease and the population in general.  
11 But in an endemic population, it runs pretty  
12 consistently about 10 percent.

13 I would like to encourage the FDA to  
14 organize studies that would look at the principles  
15 that might emerge when you give mixed vaccines, so you  
16 give this vaccine with another vaccine, so that we  
17 could understand whether or not there are general  
18 immunologic principles about combining vaccines that  
19 could be derived, or whether these combined  
20 administrations always represent unique events related  
21 to the vaccine properties itself. So that as we move  
22 forward, we would have a handle on some of the very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 real questions that have been raised here, and we  
2 wouldn't have to solve that problem each time, if  
3 there are emerging concepts.

4 DR. OVERTURF: Dr. Word.

5 DR. WORD: Just a question - I guess I  
6 thought of it when you started talking about some of  
7 the people who have some complement deficiencies, but  
8 people routinely are recommended to receive  
9 meningococcal vaccine because of underlying health  
10 problems. Where will they fit in, because the wording  
11 here is just for individuals 11 to 55, and so many of  
12 them would be of the age where they're getting a  
13 booster, or if there splenectomized. I'm not quite  
14 sure how -- is it only going to be for healthy people?  
15 I mean, the way it's worded, it seems like it's for  
16 anyone between 11 and 55.

17 DR. OVERTURF: Well, I think the  
18 populations that were studied were healthy  
19 populations, so whether -- that actually is not really  
20 technically an issue for this committee.

21 DR. WORD: Okay.

22 DR. OVERTURF: How the vaccine is used,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and how it's recommended to be used once it's approved  
2 is for other agencies. And the point I was trying to  
3 make is that there does need to be additional studies  
4 of those populations specifically. Whether it will be  
5 used or not immediately is up to other groups. Dr.  
6 Stephens.

7 DR. STEPHENS: The real question is  
8 whether this is a better vaccine than the  
9 polysaccharide, and I think the data - we'll get to  
10 that probably in question four, but the real data is  
11 not there, in my view, that this is a better vaccine.  
12 We have a lot of promises. We have the experience in  
13 the U.K. with different vaccines. One of the hopes is  
14 that this vaccine will induce a herd immunity  
15 response, a significant herd immunity response. We  
16 don't have any data about that with this particular  
17 vaccine. I think very clearly we would like to - I  
18 would certainly like to see some data looking at  
19 mucosal antibody, looking at potential of herd  
20 immunity for this particular vaccine.

21 Sixty percent of the preventive cases in  
22 the U.K. were due to herd immunity, a very powerful

1 correlate, immune correlate of conjugate vaccine,  
2 certainly see with the pneumococcal conjugate, as well  
3 as the Hib conjugate. There is hope that this  
4 conjugate will do similar kinds of herd immunity  
5 effects, but we don't have any information, other than  
6 the promise that there may be a herd immunity effect.  
7 So that's one issue that I think we need to make sure  
8 that's at least raised with the manufacturers as this  
9 vaccine moves forward.

10 Second issue is memory - does memory  
11 protect? I don't whether memory protects or not.  
12 That's a big question in the meningococcal world about  
13 whether memory is going to protect, whether it's  
14 simply -- waning of antibody, as Dr. Farley points  
15 out, is going to be a real issue. And whether memory  
16 response, whether it may be a laboratory phenomena,  
17 but is that memory response going to protect for  
18 meningococcal disease?

19 There is some data, as we've mentioned  
20 this morning in the U.K. suggesting that at least in  
21 toddlers who were getting a different immunization  
22 schedule, that even though they generate these memory

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 responses, they don't protect after one year, so  
2 that's of concern with these conjugate vaccines. So  
3 I think the whole issue of memory and whether it's  
4 going to be protective or not, and whether a boosting  
5 response is going to protect is another important area  
6 for this particular vaccine. Is it going to be better  
7 than the currently available polysaccharide, and those  
8 are important questions from a public health  
9 standpoint, anyway, that need to be considered as this  
10 vaccine moves forward.

11 DR. OVERTURF: Dr. Royal.

12 DR. ROYAL: I concur with all of the  
13 points made by Dr. Stephens, and I'd like to sort of  
14 just get something out of my head and ask whether one  
15 might have expected to see some change in the response  
16 to Menomune merely by instead of administering  
17 subcutaneously, to give it IM.

18 DR. OVERTURF: Does the sponsor want to  
19 address that question? Dr. Frasch.

20 DR. FRASCH: If you look at the very early  
21 trials in the early 1970s, which Dr. Gotschlich and  
22 colleagues were involved with, and at that time they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 did not know whether they were going to give the  
2 vaccine subcu or IM. And limited studies were done at  
3 that time, and there was no difference in the immune  
4 response to the vaccine given IM versus subcu. The  
5 only difference was some differences in adverse  
6 reactions, but not immunogenicity.

7 DR. OVERTURF: Are there any other  
8 comments on discussion Point Three? Dr. Gellin.

9 DR. GELLIN: I mean, just to round it out,  
10 given that the age range is 11 to 55, we have  
11 identified a number of populations that would have  
12 different vaccines given concomitantly, adolescents,  
13 laboratorians potentially, international travelers.  
14 I would throw the military on that list, as well, and  
15 I don't know if there's any here can speak to that,  
16 but knowing it's a vaccine that's probably of interest  
17 to them, that's probably another set of studies given  
18 the databases they have that looks at vaccine safety.

19 DR. OVERTURF: Dr. Karron.

20 DR. KARRON: And just to amplify on Dr.  
21 Gellin's comment, we actually saw data in the  
22 aggregate presented from age 11 to 55. I think as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there are post-licensure studies done, it would be  
2 interesting to see some breakdown by age regardless of  
3 the issue of concomitant vaccines administered to see  
4 if responses are equivalent across the age range.

5 DR. OVERTURF: Are there other comments?  
6 I'd like to then go ahead and proceed to question  
7 number four; which is, please identify any issues - I  
8 think we've partly begun to address this - that should  
9 be addressed in post-licensure studies. Are there any  
10 additional issues that haven't been addressed? I  
11 think in addition to breaking things down by - we've  
12 already mentioned host, and age, probably racial and  
13 ethnic backgrounds need to be looked at more closely  
14 also. Dr. Whitley.

15 DR. WHITLEY: I think the qualifier on  
16 that, and Barbara and I were just talking about it a  
17 minute ago, is that not only under-represented  
18 minorities, but the populations that may not best be  
19 served by current nutritional balances in the United  
20 States, where you would you expect a less advantageous  
21 an immune response, and I wouldn't make that comment  
22 just for this vaccine, but for vaccine development in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 general.

2 DR. OVERTURF: Yes, Dr. Farley.

3 DR. FARLEY: I think that obviously the  
4 post-marketing surveillance is important, but I think  
5 general surveillance for meningococcal disease and the  
6 distribution, ongoing activities of surveillance where  
7 we look for so-called replacement, or shifting towards  
8 predominance of B disease, for instance, serogroup B  
9 disease because it's not in the vaccine, obviously  
10 following that. I think it would be very interesting  
11 to look at carriage studies, depending on what the  
12 recommendations actually are, whether it's going to be  
13 a solid block of the population that it will be  
14 recommended for, and whether you can look at that age  
15 group for reduction in carriage of the vaccine  
16 serogroups.

17 DR. OVERTURF: Yes, Dr. Stephens.

18 DR. STEPHENS: Just to echo what Dr.  
19 Farley was saying, I think the U.K. really had a very  
20 aggressive program as they went forward with their  
21 conjugate vaccine to look at issues of carriage, and  
22 to look at issues of serotype or serogroup

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 replacement. And those studies are still ongoing, and  
2 still very helpful. And I think similar kinds of  
3 studies really need to be done in this country to look  
4 at the effects of this conjugate. Again, it depends  
5 to some degree on how it's introduced, and how it's  
6 used, but those were very important studies in  
7 understanding how that vaccine in that country was and  
8 is working.

9 DR. OVERTURF: Just to echo some of the  
10 previous comments, actually those of Dr. Stephens, I  
11 think the issue about how -- whether this is a better  
12 vaccine really is going to be a very early critical  
13 issue, because many assumptions are being made about  
14 this vaccine, and I'm not sure that the data yet is  
15 completely adequate to suggest that. I think it's  
16 going to be difficult for practitioners and providers  
17 to make a decision a little bit about which one of  
18 these vaccines they want to use. So I think that will  
19 be an early immediate issue, mostly because many of  
20 the issues that we've talked about which leave big  
21 blank spaces in the knowledge about this vaccine. Dr.  
22 Self.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. SELF: So if that distinction hinges,  
2 at least in part, on herd immunity or secondary  
3 transmission, I guess I would make a plug for  
4 considering study designs to directly measure the  
5 differences in secondary transmission rather than  
6 relying on some of the presumed surrogates that I've  
7 heard thrown out since I think probably none of those  
8 are validated in any reasonable way for rates of  
9 secondary transmission.

10 DR. OVERTURF: Dr. Markovitz.

11 DR. MARKOVITZ: Yes. I'd like to echo  
12 what Dr. Farley said, because this idea of the  
13 serogroup changing to stay one step ahead of the  
14 vaccine has actually been noted in the very earliest  
15 studies. If you look at the oft quoted studies of  
16 Artenstein, and then they were re-analyzed actually by  
17 Lee Sabbath about 30 years ago, where they actually  
18 looked at the numbers and they found that, indeed,  
19 there was this shift from the serotype you were  
20 protected against, and now there was more of a  
21 different serotype, so this is actually a very real  
22 thing that's been known about for quite a long time,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and would be well worth looking at in the post-  
2 marketing surveillance.

3 DR. OVERTURF: Dr. Karron.

4 DR. KARRON: This is really a question for  
5 the FDA, and it has to do with issues of lot  
6 variability. And my question is really what will  
7 routinely be done to look at issues of lot  
8 variability. The data that we saw today, there were  
9 some values that fell outside of the bounds. They  
10 were not considered to be biologically significant,  
11 but I'd like to know what is routinely done.

12 DR. FRASCH: Well, this is addressed  
13 actually in two aspects. One, we do routine lot  
14 release of every batch that the company makes. And  
15 two, we do yearly inspection of the company, and  
16 checking the batch records for the vaccine. And we  
17 also periodically go over the company, their  
18 specifications for the vaccine, not only does it meet  
19 the specification, but is there any drift within the  
20 specifications. And so we try to keep up with the  
21 company, and with our own records to see if there's  
22 any change in the physical/chemical characteristics of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the product.

2 We have the problems of looking at the  
3 vaccine physical chemically, but then at the same time  
4 we know that there's a lot of variation in different  
5 populations, so a single studies is sometimes somewhat  
6 difficult to know if that's really a variability in  
7 the vaccine, or a variability across the population.  
8 But the important point is that we do do routine lot  
9 release of every batch of the vaccine, and we follow  
10 the specifications.

11 DR. KARRON: So that lot release testing  
12 is both physical chemical characterization and  
13 immunologic characterization?

14 DR. FRASCH: No, no. It's only physical  
15 chemical characterization. No, there's no requirement  
16 for a vaccine to be tested - I would guess you mean in  
17 the clinic. No, there's no requirement like that.  
18 That's for any vaccine.

19 DR. OVERTURF: Dr. Stephens.

20 DR. STEPHENS: This is another comment  
21 concerning one component of this vaccine, and that's  
22 the A component. We don't A disease to any great



1 degree in this country, but this is the first A  
2 conjugate really to come to approval, and in some  
3 parts of the world, sub-Saharan Africa, for example,  
4 the A conjugate could make a huge difference in terms  
5 of burden of disease. I would like to encourage them  
6 to - and I think they are - to think about that  
7 particular issue in terms of the use of this vaccine  
8 in populations where A disease is much more prevalent.  
9 And to get more information about the A component of  
10 this vaccine for that particular purpose.

11 DR. OVERTURF: Are there other comments?

12 DR. DENSEN: In addition to Dr. Farley's  
13 comments, I'd like to add what may be an obvious  
14 comment; which is, that I think it would be very  
15 important to do the surveillance for vaccine failures,  
16 particularly in some of the subgroups such as the  
17 complement-deficient patients or splenectomized  
18 patients, because I think the possibility is there  
19 that there will be a potentially higher failure rate  
20 in those populations - that would be number one.

21 And I guess I feel, David Stephens and  
22 others, that while I agree very strongly with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1        comments about serogroup analysis and carriage rates  
2        and whatnot, and that the efficacy of the vaccine has  
3        not been demonstrated per se, I think on the other  
4        hand there are no data to suggest that the opposite is  
5        true. And I think I would not personally like to come  
6        away from this session with the idea that I'm feeling  
7        negative about the opportunity, the potential, because  
8        I think the potential is very great based on the other  
9        conjugate vaccines that have been used.

10                DR. OVERTURF: Any other questions,  
11        discussion? We were supposed to take a break at 3:30,  
12        but I'm going to ask the members of the FDA if there's  
13        any other issues that we have not addressed for  
14        today's session before I call for an adjournment.  
15        Then I think the meeting for the day is adjourned. We  
16        re-adjourn at 9 a.m. tomorrow morning.

17                        (Whereupon, the proceedings in the above-  
18        entitled matter went off the record at 3:05 p.m.)  
19  
20  
21  
22

CERTIFICATE

This is to certify that the foregoing transcript in the  
matter of: Vaccines and Related Biological Products  
Advisory Committee

Before: DHHS/FDA/CBER

Date: September 22, 2004

Place: Bethesda, Maryland

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.

  
\_\_\_\_\_

