

OPEN

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

VACCINES AND RELATED BIOLOGICAL PRODUCTS ADVISORY
COMMITTEE

100th MEETING
OPEN SESSION

THURSDAY,
SEPTEMBER 23, 2004

The Advisory Committee met at 9:00 a.m. in the Versailles Ballroom of the Holiday Inn Select, 78120 Wisconsin Avenue, Bethesda, Maryland. Dr. Gary D. Overturf, Chair, presiding.

ORIGINAL

PRESENT:

GARY D. OVERTURF, M.D.	Chair
MONICA M. FARLEY, M.D.	Member
BRUCE GELLIN, M.D., M.P.H.	Temporary Voting Member
RUTH A. KARRON, M.D.	Member
DAVID M. MARKOVITZ, M.D.	Member
PAMELA McINNES, D.D.S.	Temporary Voting Member
STEPHEN FETTEWAY, Jr., Ph.D.	Acting Industry Representative
CINDY LYN PROVINCE, R.N., M.S.N.	Consumer Representative
WALTER ROYAL III, M.D.	Member
DAVID STEPHENS, M.D.	Temporary Voting Member
RICHARD WHITLEY, M.D.	Member
BONNIE M. WORD, M.D.	Member
CHRISTINE WALSH, R.N.	Executive Secretary

FDA REPRESENTATIVES:

JOSEPH TOERNER, M.D., M.P.H.

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

SPONSOR REPRESENTATIVES:

COL. DEBORAH BIRX
LTC ARTHUR BROWN
LTC JEROME KIM
PRASERT THONGCHAROEN
SUPACHAI RERKS-NGARM

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

I-N-D-E-X

<u>Agenda</u>	<u>Page</u>
Call to Order -- Dr. Gary Overturf, Chairman	4
Announcements -- Christine Walsh, R.N., FDA	4
Opening Remarks -- Dr. Joseph Toerner, FDA	5
Presentation by the Sponsor -- Office of the Surgeon General	11
Questions of Clarification from Committee	31
Open Public Hearing	51

1 P-R-O-C-E-E-D-I-N-G-S

2 9:03 a.m.

3 CHAIRMAN OVERTURF: Good morning. I'd
4 like to welcome you to the second day of the Vaccine
5 and Related Biological Products Advisory Committee
6 meeting. This is an open session, and, first of all,
7 I'll turn the meeting over to Christine Walsh who has
8 some announcements.

9 MS. WALSH: Good morning. I'm Christine
10 Walsh, the Executive Secretary for the Vaccines and
11 Related Biological Products Advisory Committee. This
12 brief announcement is in addition to the conflict of
13 interest statement read at the beginning of the
14 meeting on September 22 and will be part of the public
15 record for the Vaccines and Related Biological
16 Products Advisory Committee meeting on September 23,
17 2004.

18 This announcement addresses conflict of
19 interest for Topic 2. Drs. Bruce Gellin, Pamela
20 McInnes and David Stephens have been appointed as
21 temporary voting members for this topic. Dr. Stephen
22 Petteway is participating as a non-voting industry

1 representative, acting on behalf of regulated
2 industry. The Food and Drug Administration has
3 approved waivers under 21 USC 355(n) (4) of Section 505
4 of the Food and Drug Administration Modernization Act
5 for Dr. David Stephens. Dr. Steven Self has recused
6 himself from participating in this discussion. That
7 ends the reading of the conflict of interest
8 statement.

9 Dr. Overturf, I turn the meeting over to
10 you.

11 CHAIRMAN OVERTURF: The purpose of this
12 meeting is to review the Thailand HIV vaccine phase
13 III trial. The trial sponsor being the Office of the
14 Surgeon General and the U.S. Army. The products are
15 an HIV I recombinant canarypox-vectored vaccine and a
16 recombinant gp 120 B/E CHO cells with alum vaccine.
17 And I'm going to ask, first of all, Dr. Joseph
18 Toerner, who will be the first presenter, to come to
19 the podium.

20 DR. TOERNER: Good morning. My name is
21 Joe Toerner. I'm a Medical Officer in the Division of
22 Vaccines and related product application at CBER, and

1 I wanted to welcome you all today to today's
2 discussion at the VRBPAC. In particular, I'd like to
3 recognize and welcome the sponsor who has included
4 colleagues from Thailand who will be participating in
5 today's VRBPAC session and would like welcome members
6 of the public who are here today as well. And as Dr.
7 Overturf had introduced the topic for today, a
8 discussion of the ALVAC plus AIDSVAX vaccine regimen,
9 these are preventative HIV vaccines that are based on
10 Clade E.

11 You'll be hearing more in detail a
12 discussion of the trial to be presented by the
13 sponsor, but just as a very brief introduction, ALVAC
14 is a canarypox-vectored vaccine that can be considered
15 the prime in this vaccine regimen. The AIDSVAX B/E is
16 a gp 120 protein vaccine that can be considered the
17 boost in this regimen.

18 Both of these vaccines contain epitopes of
19 HIV that are meant to illicit a specific immune
20 response against Clade E, which is the specific clade
21 that circulates widely in Thailand, and that clade has
22 been recharacterized as a circulating recombinant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 form.

2 You have all been reading about this trial
3 in the lay press as well as in journals. For example,
4 a recent series of articles in the journal *Science* had
5 aired these differences in the scientific merit of the
6 study. However, the purpose of today's VRBPAC is not
7 to discuss these differing scientific opinions but to
8 present to the Advisory Committee an update on the
9 ongoing study, in particular, the decision to allow
10 the trial to proceed under U.S. IND.

11 In addition, we wanted to introduce you to
12 some of our regulatory challenges that we're going to
13 be faced with, in particular with this trial. One of
14 the regulatory challenges that we'll be faced with has
15 to do with the complicated genetic diversity of HIV.
16 HIV can be defined as clades or subtypes, and that
17 definition is based on differences in the short
18 sequences of the outer most portion of HIV, the
19 envelope protein.

20 In addition to clades that have been
21 identified, new circulating recombinants have been
22 recently identified. And to further complicate the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 genetic diversity, formerly recognized clades have
2 been recharacterized as circulating recombinant forms.
3 And in this particular case, Clade E has been
4 recharacterized as a circulating recombinant form.

5 HIV's genetic diversity does represent a
6 potential obstacle in the development of an HIV
7 vaccine. A recent article in the *New England Journal*
8 *of Medicine* had described an individual with a well-
9 characterized clade of HIV who experienced a new acute
10 retroviral syndrome associated with a low CD4 cell
11 count and an increasing HIV RNA that was due to a
12 different clade HIV. In addition, numerous other
13 articles have described this phenomenon of super
14 infection.

15 So it calls into question how broad do
16 immunological responses have to be and how much cross-
17 clade recognition does there have to be with an HIV
18 vaccine? One current thought is it perhaps is
19 necessary to illicit an immune response against the
20 outer most portion of HIV, the envelope protein. And
21 as a consequence, much of HIV vaccine development
22 today is clade-specific.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This slide is meant to again represent the
2 complicated global diversity of HIV. You can see that
3 Subtype B is the predominant subtype that circulates
4 in North America, Europe and Australia. This slide
5 also highlights the very complicated genetic diversity
6 in Subsaharran Africa. But I wanted to call your
7 attention to Southeast Asia and Thailand where Clade
8 E, or what is now recognized as a circulating
9 recombinant form, is the predominant subtype that
10 circulates in Thailand.

11 Dr. Jesse Goodman has taken some of our
12 internal discussion regarding our regulatory
13 challenges in the field of HIV vaccine development and
14 has presented our internal discussion in a public
15 forum, and I wanted to share with you a slide that he
16 had presented at a recent conference, at the
17 International Conference of Drug Regulatory
18 Authorities that occurred earlier this year.

19 And in Dr. Goodman's slide he had posed
20 the following interesting issues in HIV vaccine
21 development. And he had asked a rhetorical question:
22 Is U.S. approval possible for an HIV vaccine that

1 incorporates only non-U.S.-prevalent clades? And this
2 particular question, if you will, or this particular
3 point applies directly to today's discussion.

4 Dr. Goodman's second bullet point actually
5 raises many issues, but the main point that I wanted
6 to make from Dr. Goodman's second bullet point is a
7 vaccine that has been demonstrated to have efficacy
8 against Clade E, how would we view that as a U.S.
9 regulatory agency where we might consider that to be
10 a vaccine, a very limited efficacy for the U.S.
11 population? And so that is the type of discussion
12 that Dr. Goodman has at least presented to the public,
13 and the slide, I think, serves as an introduction that
14 these are regulatory issues that we'll be faced with
15 in the future.

16 I wanted to emphasize that this is simply
17 Dr. Goodman's slide. These are not questions that
18 we're posing to the Advisory Committee today. This
19 slide was simply meant to highlight some of our
20 regulatory concerns that we'll be faced with.

21 So that concludes my introductory
22 comments, and I wanted to turn the podium over to

1 Colonel Brown, who will be leading the discussion of
2 the sponsor's presentation.

3 COL. BROWN: Thank you, Dr. Toerner, Mr.
4 Chairman, committee members. This morning, we'd like
5 to present to you an update on this trial, and we'll
6 have three speakers presenting. In addition to
7 myself, who will give you background information and
8 information on the Phase II study, Professor Prasert
9 is here with us from the National AIDS Commission of
10 Thailand and will provide a perspective from that
11 organization, and Dr. Supachai, the principal
12 investigator of the Phase III trial is also with us,
13 and he will give the actual description of the study
14 design and current status.

15 The collaboration that was the basis of
16 this trial goes back a long way. There's a U.S.-Thai
17 Army collaboration in Thailand that's more than 40
18 years old, which has been studying tropical infectious
19 diseases and has been very involved in vaccine
20 development. And since 1991, a new mission was added
21 there to work toward a preventive HIV vaccine. And
22 that was an agreement between our two military

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 organizations. That collaboration has expanded to
2 include universities in Thailand and vaccine
3 manufacturers and became more formalized with the
4 creation of the Thai AIDS Vaccine Evaluation Group,
5 called the TAVEG.

6 But in parallel with the growth and
7 maturation of that collaboration, the Thai national
8 authorities have been addressing their own HIV
9 epidemic and in the early 90s developed a national
10 plan for the control of HIV, and as part of that have
11 a specific plan for HIV vaccine development, which was
12 first published in 1993.

13 So what I'd like to do is now just present
14 Professor Prasert, who is a member of the National
15 AIDS Commission and Chairman of the Subcommittee for
16 HIV Vaccines. And he will share a perspective from
17 that independent national authority.

18 DR. PRASERT: Thank you, Art. What I
19 would like to present to you is about Thailand
20 involvement in HIV vaccine research and development.
21 My presentation will include Thailand national plan
22 for HIV/AIDS vaccine development. It will include the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 government commitment, the technical and scientific
2 review of protocols and research proposals and
3 development of infrastructure and training.

4 The national plan of HIV/AIDS vaccine
5 development and evaluation is development by Thai
6 Ministry of Public Health and research scientists from
7 various institutions in Thailand with collaboration
8 with the Global Program on AIDS of WHO at the time.
9 And this plan has been approved by the National AIDS
10 Commission and launched in 1993, placing HIV vaccine
11 research and development on a fast track. The
12 publication on that is in a Thai version and English
13 version.

14 The national plan aimed at research and
15 development of safe, effective, affordable and
16 accessible HIV vaccine for the Thai people at the
17 earliest possible date. The main objective of the
18 national plan on HIV/AIDS vaccine are to develop a
19 comprehensive, well-coordinated, long-term strategy
20 for the evaluation of the safety, immunogenicity and
21 efficacy of preventive, therapeutic and at the time we
22 also forecast on perinatal HIV/AIDS vaccine in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Thailand, but now it's a low priority now, and to
2 develop and explain the policy and procedure for
3 planning, implementation, oversight, administration
4 and evaluation of HIV/AIDS vaccine related with those
5 activities in Thailand, and to facilitate the conduct
6 of scientifically and ethically appropriate HIV/AIDS
7 vaccine trial in Thailand.

8 The infrastructure and research activity
9 that we plan and have been done in Thailand are to
10 establish virological and immunological HIV expertise,
11 especially the HIV isolation in Thailand and
12 characterization of clades and to strengthen critical
13 at laboratory facility for Phase I, II and III trial;
14 to develop epidemiological and intervention research
15 studies required for cohort development for clinical
16 trial and to conduct the social and behavior research
17 of the volunteers and communities; to establish the
18 appropriate data that has not existed in my country
19 and we developed this up to international standard and
20 to develop the National Specimen Repository that we
21 have established before also.

22 This is the commitment of the government

1 and support from the government. The National AIDS
2 Commission is appointed by the cabinet. This
3 Commission is Chaired by the Prime Minister of
4 Thailand. And under this umbrella we have several
5 subcommittees appointed by the National AIDS
6 Commission. And the Subcommittee on HIV/AIDS Vaccine
7 Development is one among them. And the AIDS
8 Commission appointed the Department of Disease
9 Control, Ministry of Public Health to be the focal
10 point to coordinate all of this, but all of these are
11 independent organizations.

12 The National AIDS Commission established
13 the Subcommittee on HIV/AIDS Vaccine Development and
14 report back to this independent organization. And
15 they collect information on HIV/AIDS vaccine
16 development to the AIDS Vaccine Coordinating Unit of
17 the Ministry of Public Health, and they also collect
18 information from IRBs, and we have some coordination
19 with the Ministry of Public Health, but it is an
20 independent body.

21 The Subcommittee on Vaccine Development is
22 to identify and prioritize research activities related

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on HIV/AIDS vaccine evaluation, to provide
2 coordination to all HIV/AIDS vaccine-related
3 activities in Thailand and to provide scientific and
4 technical review of all HIV/AIDS vaccine-related
5 research protocol and proposals. This is the process
6 we have done in the past and are going now.

7 The proposal and protocol must be
8 submitted to the Subcommittee of HIV/AIDS Vaccine
9 Development and Review for technical value before the
10 research can be implemented. And the Subcommittee
11 ensures that vaccine protocol meets appropriate
12 regulatory requirements of Thailand and international.
13 Upon the request of the Ministry of Public Health the
14 research proposal and protocol would also be reviewed
15 by WHO/UNAIDS Steering Committee on Vaccine
16 Development and by independent review group in
17 Thailand and when applicable by other funding agencies
18 and investigator's host institution.

19 From 1994 to last year, we have reviewed
20 and approved from Phase I, Phase II and III research
21 proposal on HIV vaccine clinical trial to get a number
22 and among them who are Phase III clinical trial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In summary, I would like to present the
2 national plan for HIV/AIDS vaccine, established in
3 1993, has led to the development of appropriate global
4 research, infrastructure and training in various
5 fields. We have independent scientific and technical
6 reviews and selection of appropriate vaccine
7 candidates and research proposal and the Subcommittee
8 has sustained the government support and commitment.
9 Thank you very much.

10 COL. BROWN: Now I'd like to continue with
11 background for the Committee and then describe the
12 Phase II trial and the process for advancement to
13 Phase III.

14 The focus of the collaborative research
15 effort has been to work in a multidisciplinary way but
16 always focused toward the goal of preventive vaccine.
17 So there's been work in virology, diagnostics,
18 epidemiology, preventive education and the disease
19 course of HIV in this population. Consistent with the
20 national plan for vaccine development, there's been a
21 large emphasis on development of infrastructure, both
22 human and physical and capacity-building.

1 The candidate vaccines developed have
2 benefited from the industry partners tailoring these
3 vaccines to the local strains of HIV, which is
4 predominantly E, as you've heard, but also B is there.
5 And the vaccines, as you'll see, contain components of
6 both these subtypes. The TAVEG itself has tested four
7 of these candidates that have been shaped for the
8 viruses in Thailand in a series of Phase I and II
9 trials that have included more than 700 subjects.

10 The Phase II trial that I'll describe
11 here, which was just published last month, was led by
12 two principal investigators, Dr. Ponnee and Dr.
13 Supachai who are here today. The Phase II was a
14 double-blind, placebo-control trial. The vaccine
15 candidates were modifications of vaccines that had
16 been made with strictly Clade B products so that the
17 ALVAC product now has E envelope but still had the B
18 Gag-protease. The AIDSVAX product is a bivalent B/E
19 with two antigens, monomeric gp 120s.

20 The immunization regimen was to give the
21 prime, which is the ALVAC, at four time periods over
22 a six-month period and then give the AIDSVAX as the

1 boost at the last two immunization visits. There were
2 three study groups: A placebo group and a group that
3 got a low dose of the booster vaccine and a group that
4 got a high dose of the booster vaccine. The subjects
5 in the trial were healthy adult Thais who have non-
6 reactive results in commercial HIV EIA assays.

7 One hundred and thirty-three subjects were
8 enrolled into this trial and 122 were vaccinated. In
9 terms of safety and tolerability, there were no
10 vaccine-related serious adverse events.
11 Reactogenicity assessments revealed no severe local or
12 systemic reactions. The false positivity that can be
13 vaccine-induced was monitored, and at the peak time
14 point, two weeks after the last vaccination, there
15 were 60 percent of volunteers who had reactivity in a
16 commercial EIA. Only two percent of those people met
17 the criteria of positivity in Western blot. And the
18 actual testing algorithm includes nucleic acid
19 testing, and none of these people had positive nucleic
20 acid tests, and all were shown to be false positives.
21 There were no intercurrent HIV infections in this
22 group during the study.

1 Humoral antibody responses are summarized
2 on this table. The humoral arm of the immune system
3 has been monitored with three assays: A binding
4 antibody assay against both gp 120 B and gp 120 E,
5 neutralization assays that have been set up against
6 matched viruses of B and E Clade and an antibody-
7 dependent cytotoxicity assay, also against target
8 cells labeled with B and E gp 120.

9 The antibody responses, the
10 seroconversions and the magnitude of responses, were
11 greater in the group with the high-dose boost, and
12 that was then selected as the combination to move
13 forward to Phase III. The results in that group, you
14 can see here, for binding antibody range from 96 to
15 100 percent, with the two antigens. Neutralization
16 ranged from 71 to 98 percent. And antibody-dependent
17 cytotoxicity ranged from 78 to 93 percent.

18 The cellular arm of the immune system was
19 monitored in two ways: To look at both CD8 reactivity
20 and CD4 reactivity. The HIV-specific CD8 CTL activity
21 was assessed using the traditional chromium-release
22 cytolytic assay. Detection of vaccine-induced

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 activity in this assay was detected as early as after
2 the second vaccination and was still being detected
3 for the first time in volunteers at the last study
4 visit, which was six months after the last
5 vaccination. The cumulative frequency was 23 percent,
6 and cross-clade reactivity was documented. The
7 placebo group was consistently found to be non-
8 reactive in this assay.

9 CD4 cell function was assessed using a
10 lymphoproliferation assay to the two envelope
11 antigens, gp 120 E and gp 120 B, and the responses of
12 vaccinees were just about 60 percent, as you can see
13 in this table.

14 So in moving forward to Phase III, there
15 were a number of factors that were considered. The
16 program made decisions regarding vaccine candidates
17 that, one, they should induce both arms of the immune
18 system and that the cellular responses should include
19 both CD4 and CD 8 responses. And, two, that the
20 candidate vaccines should match as well as possible
21 the circulating strains of HIV found in the region
22 under study.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The vaccines themselves when tested had to
2 be found safe and well tolerated. The immunogenicity
3 needed to be comparable to that seen with the similar
4 candidates that had already been developed with Clade
5 B constructs and tested more extensively in the U.S.
6 and Europe. And equally important is a requirement
7 that there had to be a potential cohort which was well
8 characterized and included information on HIV
9 incidence and follow-up rates.

10 So when Phase II studies were ended, the
11 various partners reviewed the information available
12 and a joint agreement was reached among the U.S. and
13 Thai government partners, the academic and
14 manufacturing partners. A protocol was developed,
15 which has been a long process. The final protocol was
16 actually reviewed by ten different institutional and
17 regulatory bodies.

18 The plans for this trial have been
19 presented to a number of advisory committees. They
20 within Thailand have been presented to the National
21 AIDS Commission and its subcommittee. Within the
22 U.S., it was presented to what was formally called the

1 Baltimore Committee. Internationally, this was
2 presented to UNAIDS, and the plans have been presented
3 at multiple meetings, including national AIDS meetings
4 in Thailand for both AIDS, in general, and AIDS
5 vaccines and the International AIDS Congress in
6 Barcelona where there was an announcement by the
7 partners that this would move forward.

8 The sponsorship of this Phase III trial is
9 shared by both the U.S. Army Office of the Surgeon
10 General and the Division of AIDS at NIH. The Army is
11 the IND holder for this vaccine combination. The
12 executing authority for the trial is the Thai Ministry
13 of Public Health. The principal investigator is Dr.
14 Supachai, and there have been multiple collaborators
15 -- there are multiple collaborators that are essential
16 to the successful completion of this large effort.

17 So, in summary, this Phase III trial is
18 founded upon more than a decade of preparedness and
19 capacity building, the support of scientific and
20 clinical data and a unique partnership among academic,
21 governments and industry.

22 So I'd like to now -- or we would like to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 now shift and I'd like to present Dr. Supachai Rerks-
2 Ngarm, who's a Senior Expert in Preventive Medicine
3 for the Ministry of Public Health of Thailand and the
4 principal investigator for this trial.

5 DR. SUPACHAI: Good morning, Mr. Chairman,
6 the committee members, ladies and gentlemen. I would
7 like to present to you the study design of the ongoing
8 Phase III trial and the current status.

9 Our collaborative study has a primary
10 objective to determine whether immunization with ALVAC
11 HIV vCP 1521 boosted by AIDSVAX B/E gp 120 protects
12 Thai volunteers from HIV infection. And we also have
13 the secondary objectives to determine the effect of
14 immunization on viral load and CD4 count after
15 intercurrent infection. Also, we'd like to confirm
16 the safety of this vaccine combination. The last
17 secondary objective is to evaluate whether a patient
18 in this combined vaccine trial is associated with
19 behavioral change that increasing risk of HIV
20 infection.

21 Our study design has a community-based,
22 double-blind, placebo-control with a vaccine to

1 placebo ratio of one to one. The vaccine schedule is
2 similar to what Colonel Brown has described as the
3 Phase II trial in Thailand, which is the ALVAC HIV vCP
4 1521 at week 0, week 4, week 12 and week 24, then
5 boost by AIDSVAX B/E at week 12 and week 24. It was
6 especially slow serology negative and it's 20 and 30
7 years old. Each individual volunteer will be followed
8 for three years post-vaccination.

9 This study designed based on the incidents
10 of HIV infection in that locality of 0.34 per 100
11 person-years, which is lower of 90 percent comes in
12 interval of the incidence found in the cohort study.
13 We allowed the lost to follow up about five percent
14 for six months and we target to enroll about 16,000
15 volunteers. With a conservative assumption, we would
16 have about 90 percent power to detect difference
17 between the vaccine and possible if efficacy is 50
18 percent of regular.

19 Every female volunteer will be tested for
20 pregnancy before the vaccination. If found positive,
21 the vaccination will be stopped and the outcome will
22 be follow-up until the female volunteer gives birth.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The reactogenicity will be evaluated for 72 hours
2 after the vaccination. For the adverse event, it will
3 be assessed and provide risk reduction education at
4 the vaccine and follow-up visits. And the behavioral
5 risks will also be assessed at baseline and every six
6 months.

7 The serology will be tested during
8 screening at week 24 and then every six months with
9 the standard pre- and post-test counseling. The
10 plasma will be collected and stored at baseline and
11 every six months the PBMC also at baseline six, 12 and
12 42 months. We will utilize our local health centers
13 to enhance follow-up of each individual volunteer
14 during the post-immunization phase.

15 This is a map of Thailand. Our study area
16 located in eastern part of the country, which covers
17 two provinces, Chon Buri and Rayong, and in each
18 province we have a study area in four districts --
19 four in Chon Buri and four in Rayong. We used the
20 government facilities in this trial. This is a
21 picture taken from one of the health centers that
22 served as the screening sites. All together we have

1 47 screening sites. Among the 47 screening sites, we
2 have 40 health centers and seven district hospitals.
3 In each screening site, we have two counselors. In
4 total, we have about 100 counselors. And this is also
5 the picture taken from the district hospital. We're
6 involved with even district hospitals, and in one
7 district we don't have district hospital, so we worked
8 with the city clinic to serve as a clinical site. So
9 all together we have eight clinical sites.

10 In each clinical site, we have ten
11 counselors, two nurse coordinators, two site
12 physicians, five clinical research coordinators, two
13 pharmacy nurses and three research assistants. So all
14 together we have about 200 personnel. All together we
15 have about 300 to 400 personnel working for this
16 trial, which has been carefully selected, and they all
17 have been trained in advance before the trial has been
18 initiated. They were trained for the GCP, for the
19 counseling, for the protocol and SOP and especially
20 trained among the medical site physician and nurse on
21 the advanced cardiac life support.

22 This is a picture taken from one of our

1 government facilities. It belongs to one of the
2 departments in my ministry that have been used as a
3 trial registry and repository center. These
4 individuals prepare, process the specimens taken from
5 the field site to be ready to send to the lab in
6 Bangkok. All specimens will be here. The cap really
7 is to keep more than 600,000 specimens here.

8 This is a picture taken inside the core
9 room, which belongs to my department, the Department
10 of Disease Control, to distribute the EPI vaccine in
11 the routine service. We use this as a vaccine
12 distribution center. It's located in Chon Buri. In
13 addition to these facilities I have presented to you,
14 we also -- in addition to that, we also use another
15 two facilities which belong to the government as well.
16 One is the critical, the surgical lab at the Thai
17 Army, which is involved with the Thai technician and
18 American technician working there. That lab has been
19 activated by the American College of Pathology. And
20 another facility is the data management unit, which is
21 located in the faculty of Department of Medicine,
22 which belongs to the Mahidol University to manage all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the data in the field that has been faxed to that
2 unit.

3 After training our personnel in all the
4 facilities and refreshing them, we can initiate our
5 trial on September 29, last year. And the first
6 volunteer was vaccinated on the 20th of October, last
7 year. We x-ray'd our site by using the facility of
8 site-by-site initiations, and we can have all sites
9 enrolling in February this year.

10 As of last week, we have almost about
11 10,000 volunteers screened, and about almost 6,000
12 volunteers has been vaccinated. So, by average, right
13 now we have about 200 volunteers per week. This is
14 the slide showing you the demographic data of our
15 participants. We have recruited both male and female
16 with slightly more male, and they come from both
17 provinces, even from here, they come from other areas.
18 But all of them moving in these two provinces to work
19 and they plan to live here for longer than three
20 years.

21 In terms of educational level, more than
22 60 percent they finish high school or higher than

1 that. In terms of occupation among our volunteers,
2 this represented participation in this area. For the
3 motivation to join this study, more than 80 percent of
4 our volunteers they said that they would like to do
5 good to society. And another thing you may notice
6 that our volunteers can provide more than one answer
7 to the questionnaire.

8 In terms of citing the study, we have the
9 Pharmacovigilance Committee to look for the 30 of the
10 volunteers that they will meet regularly, and we also
11 have the external monitor, which conducted the
12 monitoring against the TCP by our contractual research
13 organization, and we also have the Data and Safety
14 Monitoring Board, which is Chaired by Dr. Walter
15 Dowdle, which includes international membership. The
16 meeting is planned for every six months. The last
17 meeting was in July, this year.

18 From that meeting, the Data and Safety
19 Monitoring Board has commended our team on the
20 professional conduct of trial, and no safety concerns
21 identified. They advise us to monitor the element
22 very carefully and recommended the trial to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 continued. Thank you very much.

2 CHAIRMAN OVERTURF: At this time, I can
3 open the floor to the Committee for any questions
4 requiring clarification. Yes?

5 DR. MARKOVITZ: I wanted to ask several
6 questions about the data that you showed concerning
7 the serological response to the vaccine. The first
8 one, do I understand correctly that the 23 percent CTL
9 response that's over a period of time, so if somebody
10 had a positive CTL response at any time that it was
11 measured, that's included in the 23 percent; is that
12 correct?

13 COL. BROWN: Yes, that's correct.

14 DR. MARKOVITZ: And what percent had
15 positive CTL at the end of this study?

16 COL. BROWN: I think at a single time
17 point it was five to eight percent.

18 DR. MARKOVITZ: So pretty low then.
19 Another question I have -- so for me I'm not sure that
20 that's really an accurate way to present the data,
21 because if you just have a positive one time, that's
22 not really showing, I think, in my mind, CTL efficacy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of any sort of lasting variety.

2 The other point I want to raise, it's very
3 clear when you read the paper in JID, and you
4 presented the same data, about neutralizing antibody,
5 I think for the uninitiated that's not really very --
6 you must include caveats with that. First of all, the
7 71 percent neutralizing antibody with Clade E, that's
8 for either one of two strains. That's not both
9 strains being neutralized. And, second of all, both
10 of those strains, as you know, are lab-adapted, and it
11 should be pointed out, I think, when you present that
12 sort of data that at least the feeling in the AIDS
13 research world is that lab-adapted does not count.
14 What counts are primary isolates. So I think it's
15 very important to point that out.

16 I had one other question, and then I'll
17 ask you to respond. The other question is just -- I
18 don't have a point of view on this next question,
19 which is why are only two percent of the Western blots
20 positive? I'm just mystified about that. I don't
21 know if that's bad or good or anything, but it's
22 surprising.

1 COL. BROWN: Because most of the
2 reactivity was against envelope products.

3 DR. MARKOVITZ: Oh, I see. So it's
4 negative in the sense that you would see the envelope
5 in the Western but you wouldn't see other things?

6 COL. BROWN: The frequency of
7 indeterminant Western blots is higher.

8 DR. MARKOVITZ: Higher. Oh, I see.

9 COL. BROWN: But to meet a criteria of
10 positivity was just two percent.

11 DR. MARKOVITZ: I see. That makes sense.
12 What about the situation of the neutralizing
13 antibodies? I might add, do you have any data yet
14 about neutralizing primary isolates? You must be
15 looking at that in the lab at some point.

16 COL. BROWN: Let me ask one of my
17 colleagues, if Dr. Kim might talk about the actual
18 assays utilized here.

19 DR. KIM: As I understand it, the question
20 had to do with the 23 percent cumulative CTL rate.
21 That's a standard mechanism for reporting of CTL rates
22 that are consistent with other trials done by the HIV

1 Vaccine Trials Network and its predecessor, the AIDS
2 Vaccine Evaluation Group. At a single time point --
3 and we should point out that not only were there
4 positives throughout the study but there are positives
5 that continue after two or three years, and there may
6 be people who are positive at two years who were not
7 necessarily positive during the study. I think it
8 speaks to the fact that the traditional chromium-
9 release CTL assay may be at its limit of detection for
10 CTL and that CTL may be present. In fact, of the
11 people in the study, 90 percent had CTL on at least
12 two time points.

13 To address the question about --

14 DR. MARKOVITZ: I'm sorry, how could that
15 be, that 90 percent had it at two points? But
16 wouldn't that have been included? I thought the 23
17 percent was if you had a positive --

18 DR. KIM: At any time.

19 DR. MARKOVITZ: -- result at any time.

20 DR. KIM: Right.

21 DR. MARKOVITZ: Oh, you mean 90 percent of
22 the 23?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KIM: Yes.

2 DR. MARKOVITZ: Oh, I see. I'm sorry.

3 DR. KIM: And then with regard to the T-
4 cell line adaptation of isolates, while it is true
5 that the CM244 isolate was, or is, lab-adapted, it was
6 in fact -- CM244, and the reason it's selected, was
7 that it is a primary isolate. And so adapting a
8 primary isolate to grow in a standard CXCR4 positive
9 cell line would be a rather difficult thing. So what
10 we did was we created a cell line that expressed CCR5
11 and CXCR4 and adapted the virus to grow in that
12 specially adapted cell line. What we do know is that
13 this cell line -- that these viruses still require
14 CCR5 in order to enter, so that although they are T-
15 cell line adapted, they retain many of the
16 characteristics of traditional CCR5-tropic viruses and
17 that CCR5 is still required as opposed to a standard
18 T-cell adapted CXCR4-tropic virus.

19 The neutralizing antibody data that you
20 requested on primary isolates has been done on some of
21 the isolates, and using a PBMC-derived assay, we were
22 not able to detect significant quantities. Now, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have to also recognize a primary isolate neutralizing
2 antibody in a PBMC-based assay. However, we should
3 point out that as a correlate of protection -- that
4 there is no established neutralization correlate of
5 protection and so that the mere absence of detectable
6 antibody is of unknown significance at this point.

7 DR. MARKOVITZ: We have consensus over
8 here. We'd still rather have it than not, however.

9 CHAIRMAN OVERTURF: Are there other
10 questions?

11 I'd like to ask one question. You
12 mentioned what the motivation was for entering the
13 trial, but I wondered if somebody could explain a
14 little bit what kind of information is given out in
15 terms of an informed consent kind of involvement?

16 DR. PONNEE: For the informed consent
17 process, to ensure that all participants really
18 understood the trial before enrolled, they understand
19 their role, their right to participate and also it's
20 voluntary. We use various educational tools, for
21 example, videos. We have two sets of videos,
22 booklets, leaflets. And also, after watching videos,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we will have group discussion and individual
2 discussion. And before signing consent form, they
3 have to pass comprehension test before signing the
4 consent form. And in the consent form, we make sure
5 that they really know the risks and benefits and also
6 freely have a choice to participate or not
7 participate.

8 CHAIRMAN OVERTURF: I guess I was kind of
9 concerned about how much of the science is explained.
10 I assume that if they understand risks and benefits,
11 part of the risk must be involved in explaining some
12 of the limited science in lay terms.

13 DR. PONNEE: Yes. In there, there is an
14 explanation of what's a vaccine and what's the
15 preparation of the vaccine.

16 CHAIRMAN OVERTURF: Dr. Royal?

17 DR. ROYAL: With the analyses that have
18 been done to date, have you see any trends with
19 respect to behavioral changes that might be occurring
20 in the vaccine recipients?

21 DR. SUPACHAI: In terms of the analysis,
22 we plan to do it next six months, because we have the

1 baseline information, and then after six months we
2 collect another data and analyzing trend.

3 With your permission, I would like to add
4 more information concerning the information for the
5 volunteer. Actually, it's a process of education. We
6 have the educational activity for the community before
7 they come to the site. In that educational activity,
8 we include both the education information and the
9 information about our trial in both discussion session
10 and role play activities. And when they come to our
11 site, they will get more information by watching the
12 DVD that will illustrate everything about the trial,
13 explain to them clearly what is going to be, what the
14 vaccine means and what will be treated by our team.
15 And, as Dr. Ponnee said, they have to pass a
16 comprehensive test of understanding before joining the
17 vaccine study. Thank you, sir.

18 CHAIRMAN OVERTURF: Were there other
19 questions? Yes, Dr. McInnes?

20 DR. McINNES: I wonder if -- I'm still
21 trying to get a handle on the immunogenicity profile
22 of the candidates, vaccines and the regimen. And I

1 wondered if you would perhaps indulge me and go back
2 to your slide on the antibody responses that showed
3 the binding antibody, the neutralizing antibody and
4 the ADCC. I'm still trying to understand your binding
5 assay and your selection of concentration in your
6 protein.

7 COL. BROWN: This slide?

8 DR. McINNES: Yes. Would you mind just
9 going through this a little more slowly?

10 COL. BROWN: Well, again, if we're going
11 to do methodology, why don't I let Dr. Kim do this?
12 He's actually one of the people that carried out some
13 of this work.

14 DR. KIM: I'm sorry, the binding antibody
15 assay actually reflects a titer compared to an OD
16 control or blank. So, in this case, protein is bound
17 to a plastic plate and a standard ELISA is done. And
18 so these are looking at a comparison of pre-to-post
19 and also a blank control to establish the baseline OD,
20 and then positive responses are considered things
21 above that baseline.

22 For neutralizing antibody, all these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 samples compare pre-immune serum to post-immune serum
2 and are looking for a 50 percent reduction in P24
3 production as a result of exposure of the cells and
4 virus to the serum, comparing pre-serum to post-serum.
5 So anyone with greater than 50 percent reduction pre-
6 to-post was considered our responder.

7 DR. FARLEY: Can I ask a follow up to that
8 -- Monica Farley. Is there a threshold for the ELISA-
9 binding antibody or is it just anything, any
10 positivity? There's no defined threshold for what's
11 a significant level?

12 DR. KIM: Typically, the binding antibody
13 assays are greater than one to 100 titer.

14 CHAIRMAN OVERTURF: I'm sorry, I didn't
15 hear what you said completely. Dr. Kim? Just speak
16 a little more loudly.

17 DR. KIM: I'm sorry. The threshold for
18 positivity was one to 100, but, typically, titers were
19 far in excess of that. So a positive result is
20 greater than one to 100. and so what you're seeing
21 are percent responders. So a person is a responder if
22 they have a titer greater than one to 100, realizing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that the average titer is higher than that, and many
2 are in the thousands.

3 CHAIRMAN OVERTURF: Yes, Dr. Markovitz?

4 DR. MARKOVITZ: Yes. Jerry, don't sit
5 down yet. I have a question, a follow-up question.
6 I was ruminating on your answer here about the
7 neutralizing antibody being of unknown significance.
8 There are plenty of studies of monoclonal antibodies
9 that neutralize HIV that look like they're protective.
10 So while I think that it's clear that there's no
11 absolute correlate of immunity for vaccine
12 development, it does seem like -- from what I've been
13 able to garner, and I believe that the feeling in the
14 field is that neutralizing antibodies are actually
15 important predictors. Of course, nobody really knows
16 but that's the feeling. How would you respond to
17 that, please?

18 DR. KIM: Absolutely. I think as a person
19 whose lab does neutralizing antibody, I also feel very
20 strongly that neutralizing antibody will be important.
21 I think that one of the points that we need to
22 recognize is that there was an experiment done where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you could -- Dr. Mal Martin and Riri Shibata had done
2 an experiment where it was possible to transfer serum
3 and protect against other strains.

4 I think that the only point that we want
5 to make with regard to T-cell adapted strains is
6 although the feeling is that they don't protect, we
7 haven't formally demonstrated. The study does have
8 the power to detect or to study this as an immune
9 correlative protection, and I think that one of the
10 important scientific pieces of information that we
11 would like to get out of this study in a model of
12 challenge that is purely human, rather than in a
13 monkey where even under best of circumstances you get
14 100 percent of really the vaginal SIV challenge.
15 You're aiming for 100 percent infection of all the
16 monkeys. That is not an appropriate challenge, and so
17 we have to realize the constraints of the models as
18 well.

19 CHAIRMAN OVERTURF: Dr. Karron?

20 DR. KARRON: Yes. I was wondering if
21 anyone could comment on the decision not to include an
22 ALVAC-only arm in this trial?

1 COL. BROWN: I can give you an initial
2 response. Actually, we, early on, when the epidemic
3 was going at a much higher rate in Thailand, we had
4 envisioned a trial that would have multiple arms. It
5 would have an antibody-alone arm, a CMI-alone arm and
6 a combined arm. And many of the preparations for
7 trial were going on during that period. But as the
8 Thais have been successful in limiting or bringing
9 under some control their own epidemic, the incidence
10 rate has fallen, and the reality has been that we
11 would have to settle to just one arm. And not knowing
12 what the correlate of protection is, the programmatic
13 decision was to put everything on our side that we
14 could and chose to have -- that we should try to
15 illicit both arms of the immune system.

16 CHAIRMAN OVERTURF: Dr. Whitley?

17 DR. WHITLEY: There's been some
18 discussion, obviously, by advisors to the vaccine
19 trial that Scott Hammer chaired not long ago that
20 addressed two issues regarding the co-primary
21 endpoints of the clinical trial, and they were, I
22 think, considered fairly carefully by that group of

1 people, suggesting that the total sample size of the
2 population be decreased from 16,000 to 8,000 and that
3 co-primary endpoints be identified.

4 By me simply doing the math for the
5 clinical trial, if it's 200 volunteers a month who
6 were being enrolled rather than screened, if I
7 understand the slide correctly, it's still going to
8 take you four to five years to complete the clinical
9 trial, recognizing that you need to recruit 11,000
10 individuals from where you are at the present time,
11 and you're going to accomplish that, it's at least
12 four years, if not five years. You're only acquiring
13 2,400 patients a year. Two hundred times 12 is --

14 COL. BIRX: A week, 200 a week.

15 DR. WHITLEY: Okay. And they're entering
16 the study a week. It begs the question then, you
17 know, because there's been concerns about the design
18 of the trial that have been alluded to in a variety of
19 communities, is it not better to accept an enrollment
20 rate of 8,000 with co-primaries to try and bring the
21 clinical trial to the medical community as quickly as
22 possible, recognizing that you have a Data Safety and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Monitoring Board and that Data Safety and Monitoring
2 Board can help you monitor the incidence of disease
3 and can be beneficial in deciding what the final
4 sample size of the population should be. And I have
5 to be a little careful because Dr. Self is here, and
6 he was on that committee.

7 COL. BROWN: Let me try to divide that
8 into at least two responses. On behalf of the
9 military, our interest is in acquiring a vaccine that
10 protects against infection acquisition. That's our
11 primary objective. But I think the board you refer
12 to, that working group is an advisory group to Dave's,
13 and I'd like to ask Dr. Flores if he might comment on
14 that interaction.

15 DR. FLORES: Thank you. My name is Jorge
16 Flores. I am with the Division of AIDS of the NIH.
17 We are, as you've seen in the slides, one of the
18 partners or collaborators in this enterprise. It is
19 true, the Division of AIDS asked the AIDS Vaccine
20 Research Working Group that we established as a
21 technical assessment group to comment on the potential
22 for increasing the value of the study. And the

1 responses to that request they provided us -- included
2 among then what you have mentioned, namely increasing
3 the evaluation of viral load to make it a co-primary
4 endpoint. And if that is the case, a corollary to
5 that would be that if we are going to analyze viral
6 load to primary endpoint, the sample size of the study
7 could potentially be reduced.

8 The team, including all the partners, have
9 agreed to the recommendation of the group, or the AIDS
10 Research Advisory Committee, and are planning and have
11 already moved towards elevating the analysis of viral
12 load in this study to the primary analysis. But we'll
13 certainly have a series of consequences -- and Dr.
14 Self is here, maybe he would like to follow up as well
15 -- has a series of consequences in the trial, of
16 course, that there's an increased error rate that is
17 produced just by analyzing both viral load and impact
18 on acquisition together.

19 But in addition to that heat that is taken
20 on the sample size by doing the combined analysis,
21 there are several other reasons why the Division of
22 AIDS and some of them, actually, were agreed upon by

1 our advisors. I feel that maintaining the sample size
2 is important. Among them is the uncertainty the
3 incidence that was originally planned for is
4 maintained along the study. As you know, Thailand is
5 one of the countries in the world that has most
6 successfully controlled the epidemics. So we have
7 some concern that if that incidence is not maintained,
8 the study itself will suffer.

9 Another major consideration that we have
10 and that has been discussed recently, especially at
11 the endpoints meeting where many people in this room
12 participated, was the need in the field to establish
13 correlations between viral load as modulated by a
14 vaccine and the potential clinical benefit that a drop
15 in viral load may imply. That would require following
16 up volunteers who become infected in a trial like this
17 and there is a thorough plan to follow the volunteers
18 of this trial who become infected. That would require
19 also that the number of those volunteers be sufficient
20 to again establish a viral load, a body surrogate
21 marker for clinical progression.

22 CHAIRMAN OVERTURF: Are there further

1 questions from the Committee? Yes?

2 DR. MARKOVITZ: Well, we have to ask, so
3 forgive me, but I'd really like to know in a succinct
4 manner, obviously everybody in the world would love to
5 see a successful HIV vaccine, and we're all --
6 whatever the genesis of this trial is, obviously it
7 would be great if it's effective. But how do you
8 respond to the strong feeling in sort of the HIV
9 research community that the at least laboratory
10 demonstration for efficacy is rather minimal with this
11 approach? Why do you think this is going to work? I
12 know you've been asked this by many people, but I'd
13 just like -- our Committee I think has to hear first
14 hand why you think this will work.

15 COL. BROWN: I think I'll ask our Program
16 Director, Colonel Birx, to respond to that.

17 COL. BIRX: Fundamentally, we don't know
18 if it's going to work, and that's why we've committed
19 not only the funding but the human resources and the
20 training and the infrastructure to ask the question in
21 the best way and most comprehensive way possible and
22 the most rigorous way so that we'll know at the end of

1 the trial does it work or not.

2 I think we are all struck by the change in
3 the field over the last two years. The development of
4 these highly new sensitivity assays that are highly
5 sensitive for both cellular and humoral immunity that
6 didn't compromise specificity, assays that are
7 developed by Merck and by Dades, through the VRC and
8 their HBTN Network. We're very excited about applying
9 these new techniques to the samples that have already
10 been previously stored so we can really understand
11 when we're moving a vaccine forward, particularly one
12 that was moved forward under a chromium-release full
13 functional assays, how the ICS assays that rely on IL-
14 2 or gamma-interferon relate.

15 So I think there's two aspects of your
16 question. One is to do a thorough evaluation of these
17 new highly sensitive immunologic techniques and see
18 how this vaccine stacks up. We know from the LPA data
19 that 60 percent produced IL-2s. So you can imagine in
20 an ICS assay 60 percent are going to produce IL-2.

21 So we're very excited about looking
22 retrospectively to understand this vaccine's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 performance in a more comprehensive way, but we're
2 also very interested in looking prospectively at both
3 the parameters for efficacy and disease progression
4 that will really add value to the field.

5 So I think this trial will answer a
6 critical military question about acquisition, and I
7 can't minimize that. When a soldier becomes positive,
8 he's non-deployable. So a critical aspect is the
9 acquisition. But we're also very interested in I
10 think the way the Thais have demonstrated their
11 commitment for the last decade. We're very interested
12 in using this trial in any way possible to bring more
13 information to the field, and I think this viral load
14 and CD4 in the context of a health care delivery
15 system that's rigorous and comprehensive will be able
16 to track disease outcomes in all of these patients.
17 And so that will add value to the field of really
18 documenting the role of viral load and CD4 and disease
19 outcome.

20 So I think there's tremendous value in the
21 trial. Learning how to enroll women, learning how to
22 maintain women on trials, I think that's been very

1 successful. And getting those lessons learned to our
2 other sites in Africa will be critically important for
3 the next efficacy trial that will have a different
4 immunologic platform and a different profile. So
5 we're excited this is one step forward, but we realize
6 it's only one step, and there may be ten more or 20
7 more to come. Thank you.

8 DR. MARKOVITZ: Excuse me, what does ICS
9 stand for? I don't know that terminology.

10 COL. BIRX: Those are intercellular
11 cytokine assays that are now being used, developed and
12 studied, both CD4, CD4 memory, CD4 naive and CD4 long-
13 term, functional and memory aspects.

14 CHAIRMAN OVERTURF: Any further questions?
15 I think we're ready to go to the next agenda item,
16 which is the open public hearing, so I'll turn the
17 meeting briefly over to Christine Walsh.

18 MS. WALSH: As part of the FDA Advisory
19 Committee meeting procedure, we are required to hold
20 an open public hearing for those members of the public
21 who are not on the agenda and would like to make a
22 statement concerning matters pending before the

1 Committee.

2 CHAIRMAN OVERTURF: Again, I am required
3 to read into the record the following: Both the Food
4 and Drug Administration and the public believe in a
5 transparent process for information gathering and
6 decision making. To ensure such transparency at the
7 open public hearing session of the Advisory Committee
8 meeting, the FDA believes that it is important to
9 understand the context of an individual's
10 presentation.

11 For this reason -- we lost half the
12 statement. For this reason, the FDA encourages you,
13 the open public hearing speaker, at the beginning of
14 your written or oral statement, to advise the
15 Committee of any financial relationship that you may
16 have with the sponsor, its product and, if known, its
17 direct competitors. For example, this financial
18 information may include the sponsor's payment of your
19 travel, lodging or other expenses in connection with
20 the attendance at the meeting. Likewise, FDA
21 encourages you at the beginning of your statement to
22 advise the Committee if you do not have any such

1 financial relationships. If you choose not to address
2 this issue of financial relationships at the beginning
3 of your statement, it will not preclude you from
4 speaking.

5 Our first speaker in the public open
6 hearing period is Mr. Richard Jeffries, who represents
7 the Treatment Action Group.

8 MR. JEFFRIES: Hi. Good morning. I
9 appreciate the opportunity to speak briefly to the
10 Committee. We've actually submitted written comments,
11 so I won't send everyone to sleep by reading this
12 verbatim but just try and touch on some of the main
13 points.

14 I think to sort of get back to the
15 question this morning about licensure, one concern for
16 us is this huge trial, from what we understand, cannot
17 provide data that would lead to licensure, even in
18 Thailand. And I think just some of the controversy
19 around the trial, I think, I'd argue, is to do with
20 the way circumstances have changed around it. I think
21 the issue of the single-arm design is really critical
22 and that would have been partly addressed by the HVTN

1 201 study they included in ALVAC-only arm that got
2 cancelled. And I think maybe one lesson for the
3 future here from TAG's perspective is that we need to
4 be able to get advice as circumstances around a trial
5 change. So when those things happen, when the AIDSVAX
6 trials fail to show efficacy, if there could have been
7 consultation here and with the AIDS Vaccine Research
8 Working Group, that might have actually helped kind of
9 amend the trial and sort of keep it a little bit more
10 relevant.

11 And I think if you're going to commit the
12 kind of resources that are involved in this trial, you
13 really need to get a clear answer. And if there is
14 efficacy, which would obviously be great, we're not
15 going to know the contribution of ALVAC versus the
16 contribution of AIDSVAX or whether AIDSVAX even had a
17 negative effect.

18 It was interesting to hear that the
19 military's primary concern is acquisition. You know,
20 I think that I hope that that's clear to the
21 participants in this trial, that that's the question
22 that's being answered. I think a lot of people have

1 cited altruism as their motivation for being a part of
2 this trial. I hope they're aware that the focus on
3 acquisition is really based on a priority of the
4 military and not necessarily the Thai community.

5 I think I would like to acknowledge that
6 a lot of people have put an incredible amount of work
7 into this trial and it's incredibly easy to stand and
8 critique it and incredibly difficult to make a vaccine
9 efficacy trial happen, but I think we just reiterate
10 the point that better consultation and more
11 independent advice would have been really helpful.

12 The other thing we've done in our written
13 comments is just given a brief outline of our
14 understanding of the AIDS Vaccine Research Working
15 Group recommendations, but I'd like to just be clear
16 that that's our understanding. If people have
17 additional questions about it, if we've made any
18 errors, that's our responsibility. And I think maybe
19 if there's another discussion at this Committee, it
20 would be useful to have a formal presentation by
21 someone from that group.

22 And I think just to conclude sort of our

1 perspective of the lessons for the future is that we
2 really need to -- there's a lot of talk recently about
3 improved collaboration in the HIV vaccine field. I
4 think some better consensus around the parameters that
5 justify moving forward with an efficacy trial would be
6 really critical. I think it's kind of notable that
7 the International AIDS Vaccine Initiative have just
8 announced that they've decided not to go forward with
9 a DNA/MVA vaccine because the immunogenicity for CTL
10 was around, I think, between ten and 20 percent, which
11 is pretty much what we've seen here with ALVAC, and
12 yet we're doing this huge trial.

13 And, also, I think we'd encourage the FDA
14 to take a closer look at the efficacy trial designs,
15 because we want to keep our eyes on the prize. And
16 what we want to have is a licensable HIV vaccine. We
17 don't want to be doing efficacy trials that then
18 require another efficacy trial before we actually get
19 a licensable product. We need to have something out
20 there to protect people. Thanks very much.

21 CHAIRMAN OVERTURF: Thank you, Mr.
22 Jeffries. Is there anybody else who would like to

1 make a presentation during the open public hearing?

2 Well, then this will be the end of the
3 open session. In 15 minutes, we will begin our closed
4 session after the break. This session is closed to
5 the public. We are asking the public to leave the
6 room at this time and to take all their possessions.
7 Any briefcases, suitcases or personal belongings left
8 in the room will be placed outside the door before we
9 begin our closed session.

10 The press, any media equipment that cannot
11 be removed in the next 15 minutes must have the power
12 turned off. When the closed session is over, you can
13 then come and remove any remaining equipment.

14 So at this time, we are about ten minutes
15 ahead of schedule, and we are scheduled to reconvene
16 at 10:45. Thank you.

17 (Whereupon, at 10:23 a.m., the VRBPAC Open
18 Session was concluded.)

19

20

21

22

