

1 negative.

2 Now, looking for results for
3 looking for a latent infection with PERV, I
4 first wanted to tell you that the results
5 were not add up to 160 because with some
6 patients we had to go back and retest them.
7 So we actually have more than 160 results.
8 So we had 153 patients whose total result was
9 regular, 23 patients had evidence of pig
10 cells circulating and in the 13 patients,
11 only one patient who we were unable to
12 actually isolate a peripheral blood
13 mononuclear cells and were unable to go back
14 to the patient.

15 Twelve patients were considered
16 uninterpretable. Now out of those 12
17 uninterpretables, we were able to retest
18 seven of them and four of them turned out to
19 be negative and three of them remain
20 uninterpretable because we had insufficient
21 amount of DNA to complete the testing.

22 Now the most interesting thing are

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 of course the 23 patients with circulating
2 pig cells. All 23 were patients who had had
3 splenic cell perfusion and what one sees here
4 is that probably in the first two months,
5 almost 50 percent of them you could actually
6 detect pig cells that were circulating. But
7 more surprising is that at one year, two
8 years, three years, four years, et cetera, up
9 to eight and a half years after splenic
10 perfusion, we were able to detect other
11 incidents of pig cells circulating in these
12 patients. Remember, each of these pig cells
13 contains PERV DNA.

14 In terms of antibodies, 156
15 patients tested negative. There were four
16 patients who were seroreactive. Two of these
17 patients were seroreactive as tested at the
18 CDC and fortunately these were patients who
19 had received treatment with a HepatAssist,
20 one with a HepatAssist device. Another one
21 was an islet cell transplant from Stockholm.
22 Fortunately, both of these patients, we are

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 able to have serum from before the procedures
2 and both these patients tested positive at
3 the time before the procedure so we know that
4 this is cross reactivity or at least
5 unrelated to the procedure.

6 Two of the patients were positive
7 at Q-One Biotech. One became negative seven
8 months later and, in these two patients, we
9 tested them by RT-PCR on saliva. It allows
10 you to the feline leukemia where viruses shed
11 in the saliva.

12 We also were able to go back to the
13 patients who were seroreactive and these were
14 both Russian patients in St. Petersburg. We
15 were fortunate these were one of the few that
16 we were able to get because actually the two
17 patients were people who worked in the
18 hospital themselves. One was a surgeon and
19 the other one was somebody who worked in the
20 emergency room. So we were to go back and
21 test their five close contacts and they were
22 negative for PERV DNA on their peripheral

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 blood mononuclear cells. They were negative
2 when we tested them on saliva and on the
3 antibodies, they were negative as well.

4 Now, we were able to then do
5 additional serological testing on the four
6 seropositive patients. We sent these samples
7 to Professor Denner at the Paul Ehrlich
8 Institute. All four patients tested positive
9 against the p27 (Gag) about Western Blot.

10 All four were negative against the
11 Recombinant p15E which is an envelop protein.
12 Then they were all four, negative again, in
13 the ELISAs using multiple peptides from the
14 envelop proteins.

15 Now, of interest is when he did a
16 testing using his Western Blot with the Gag
17 antigen, that two to four percent of five
18 hundred random tests and this includes blood
19 donor patients and butchers, et cetera, show
20 Gag reactivity. So that the conclusion is
21 that the four patients were Gag positive,
22 where we found Gag positivity are indeed due

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 to cross-reactivity.

2 So in conclusion, we have no
3 evidence of active PERV infection in any
4 patient, despite 36 who were
5 pharmacologically immunosuppressed and
6 presumed to be an increased risk of infection
7 and despite prolonged exposure to pig cells
8 for 43.7 patient years, there were no
9 clinical or laboratory findings suggestive of
10 a PERV infection. Thank you very much.

11 DR. COFFIN: For the sake of
12 efficiency, I'd like to take questions to
13 this, are directed at this speaker in this
14 presentation now. I think it would be a
15 little bit easier. Actually I had one
16 question.

17 The antibody positive individuals
18 were different from the individuals showing
19 microchimerism?

20 DR. PARADIS: There was only one,
21 oh, actually, there was only one patient that
22 was a splenic perfusion patient and that

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 patient did not show microchimerism.

2 DR. COFFIN: Are there any other
3 questions?

4 DR. HOLLINGER: Yes. What's your
5 thoughts about why these cells are still
6 around oh, eight years later and so on?

7 DR. PARADIS: That's a good
8 question. Unfortunately, as you can see the
9 level of microchimerism is extremely low and
10 so that we weren't really able to tell what
11 kind of origin the cells were. Our
12 hypothesis is that seeing as these are all
13 splenic perfusion cells that they probably
14 find a nest somewhere in the body and what we
15 catch are intermittent release into the
16 circulation. Our guess here is that these
17 could be perhaps dendrite cells that are,
18 perhaps they don't express as much gall as
19 the other cells. But just to say that this
20 is not actually, since that we've also
21 analyzed some xenotransplant in primates with
22 porcine organs and we find extensive

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 microchimerism even in the long-term
2 survivors.

3 DR. COFFIN: Do these patients
4 raise extensive antibodies against the
5 transplanted cells?

6 DR. PARADIS: None of them have
7 really any antibodies.

8 DR. COFFIN: Against the cells.
9 Any other questions of this speaker? Hal?

10 DR. VANDERPOOL: Very impressive
11 study in terms of the variety of patients and
12 their conditions and also we were privy to
13 maybe very reassuring conclusions regarding
14 worries we've had. Does anyone on the
15 committee want to comment about what you see
16 as the import of the study for our
17 deliberations and worries in the past and on
18 deliberations at the present?

19 MR. ALLAN: I think the data
20 demonstrates that you've got, even in the
21 patients who had evidence of PERV, the levels
22 were extremely low and the numbers of cells

1 are very low and, in many cases, you show
2 they disappeared. In some cases you actually
3 had microchimerism in for several years.

4 Obviously the concern then is the
5 fact that whatever virus is harbored by those
6 pig cells is still there in the patient up to
7 eight years later which, although the risk
8 may be very small, the potential is that
9 those viruses could express themselves at any
10 given time during the post-transplant period.
11 So, in some sense, the microchimerism is
12 advantageous in some respects but it can also
13 be a disadvantage in the fact that you'd be
14 continually exposed to an infectious agent.

15 DR. PARADIS: If I may point out
16 that once the patient will be transplanted,
17 they will have a whole organ that will have
18 cells that contain PERV and that they will be
19 also hopefully keeping these organs for a
20 long time.

21 So I think that actually these
22 patients with microchimerism are reassuring,

1 telling us that even though you have cells
2 circulating in your body for several years,
3 that this has not caused any clinical
4 symptoms nor any signs of infection.

5 DR. ALLAN: I don't know if this is
6 the appropriate forum for this but I still
7 have questions regarding the types of assays
8 that are used to detect PERV and the validity
9 of some of those assays. I'm not sure that
10 this is the form for that. We may, whether
11 we should discuss that now or not.

12 There's several different assays
13 that are being used and for the molecular
14 assays at least in two different
15 laboratories, the algorithms are such that if
16 you get a positive, you rescreen it. If you
17 get a negative, it's a negative.

18 I've always fundamentally had a
19 problem with that. Usually when you do a
20 study what you generally do is you have some
21 other asset to validate your results. I know
22 that there is statistical reasons to believe

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 that you can actually get away with it by
2 doing it once or twice or three times but it
3 still can present problems in terms of
4 interpretation. The reason I bring that up
5 is because when you're dealing in the
6 situation where you're looking, where you may
7 be looking for only one cell in a million,
8 that changes your ability to detect
9 something.

10 So you may get a negative one time,
11 you may get a positive another time. It
12 doesn't mean that the fact that you get a
13 negative the second time means that the
14 sample was negative. Even statistically, if
15 you got less than ten copies present in that
16 sample, then the statistics about the false
17 negative rate are not based on one to five
18 copies or whatever it is.

19 So, I think you really have to go
20 back and really look at how you're validating
21 these studies in terms of your algorithms.
22 Having said that, still in most situations,

1 what you're really looking for is whether the
2 viruses is being expressed, whether the
3 patient has actually gotten infected. If
4 that patient's infected, they should have
5 much higher levels. So I'm just talking
6 about in terms of validation of the assay. I
7 think the data's still good.

8 DR. ONIONS: The algorithms been
9 referred to as one that has been developed
10 by, by a doctor and colleagues at GTI and I
11 don't want to get in a debate about defending
12 that algorithm because I think it's probably
13 not the right audience and it's certainly too
14 late in the day. But, I think, just as a
15 general statement, since I wasn't involved in
16 that bit of the study I can sort of, to some
17 extent, look at it more objectively.

18 I honestly believe that what's been
19 happening here is really pushing these tests,
20 using the kinds of technology that are the
21 best technologies available. Pushing these
22 sensitivities to the limits.

1 When you start doing this, this
2 does get you into, in other words, if you use
3 a less sensitive test and all these problems
4 disappear, but the reason that this kind of
5 analysis has to be done is that you're using
6 the most sensitive assay systems available
7 and however you look at the results of this,
8 it is clear and regardless of what conclusion
9 that you draw from it, it is clear there has
10 been no, in my view, there's been no
11 established infection of human cells at least
12 on a scale, of the scale that is detectable
13 by the best techniques that we have available
14 at the moment. That's, I think, a reasonable
15 statement to make about these results.

16 DR. COFFIN: Might it not be
17 reasonable in a case where you had a positive
18 Env instead of doing one replicate
19 reproduction to do ten replicate
20 reproductions or something like that to try
21 to address more specifically the exact
22 statistical issue that Jon just raised. I

1 don't think it would solve all the problems
2 but I think it would perhaps tell you whether
3 you were seeing a real but very, give you a
4 feeling toward a real but very low positive
5 or a negative and false positive.

6 DR. CHAPMAN: My colleague Waleed
7 Heneine asked me if he needed to come to this
8 meeting. I said no, I didn't think so. Now,
9 I'm regretting that he isn't here. Not being
10 the best person from the CDC Group involved
11 to speak to this, still, let me try to
12 address for the people for whom this is
13 outside their expertise.

14 I think the concepts, I think what
15 you're saying is correct, Jon, in that you're
16 inserting into the discussion the recognition
17 that there's still a lot of uncertainty here.
18 That no matter how much work we've done at
19 CDC or they've done at GTI trying to validate
20 the assays, there's still a limit to how
21 validated they are and how much confidence
22 you can have in the negative results.

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 Having said that, let me say that
2 one reason, there's several reasons as an
3 agency, we felt that it was important to do
4 the confirmatory testing that Novartis asked
5 us to for this study. One was because we
6 wanted access to the assessments and we
7 wanted to know what those results said in our
8 hands.

9 As an agency involved in developing
10 policy. The second is that we thought
11 whatever the results of the study, it needed
12 to be a study in which there was public
13 confidence and, for that reason, there was
14 value to having a laboratory that was
15 taxpayer-funded and that had absolutely no
16 vested interest in the outcome other than
17 having the most valid possible interpretation
18 of the outcome publicly involved.

19 But the third reason is trying to
20 do the best job we could have addressed in
21 exactly what you're asking which is the usual
22 way one would proceed is, you take an

1 investigational assay and you test specimens
2 and then you compare that to the results you
3 get when you use the gold standard assay.
4 There is no gold standard assay here. If
5 there was one, I suppose it was ours because
6 we had already published one small series
7 with. But we've got two investigational
8 assays and part of the reason of doing
9 independent testing was given the
10 circumstances and the limitations of reality
11 and available specimens which is the absence
12 of any known positive infected people against
13 which to test as positive controls, we felt
14 the best information could be gained by doing
15 this kind of head-to-head comparison.

16 I think a lot was gained that's
17 reflected in the paper but I know there was
18 also a lot and one reason it took so long to
19 get this out is that every stage there was
20 the additional R&D done in multiple labs on
21 the basis of trying to make the comparisons.

22 The point you raise which is if

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 you've got uncertainty about a result, rather
2 then doing a second test and taking the
3 results of those, why not do ten additional
4 tests, is, again, in ideal circumstances that
5 would be terrific.

6 But one of the problems here is
7 that we ran into limitations with having
8 enough DNA on the patient to actually
9 complete ones that have testing at GTI and
10 ones that have testing at CDC. So, again,
11 these are important points about the
12 importance of people continuing to not only
13 develop their assays, to test them against
14 other assays to make those results public and
15 to constantly exercise caution about the
16 degree to which they get dogmatic about
17 belief in their results versus reality.

18 But I also agree with David Onions
19 said at least for our folks and the folks
20 we're collaborating with, this is the best we
21 could do at the current state of knowledge.

22 DR. COFFIN: You can apparently get

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 back to at least some of these patients. I
2 don't know if there's, so if there's only
3 more, maybe at least in some cases more
4 samples could be, could be obtained. I don't
5 know.

6 DR. CHAPMAN: Unfortunately, the
7 ones we can get back to are not the ones
8 which there was concern about what the
9 results meant.

10 DR. COFFIN: They're not the ones
11 you want. They're not the ones you're
12 interested in, yes.

13 DR. MICKELSON: I just had a quick
14 question about the number, the 23 that
15 appeared to be microchimeric. Did that
16 correlate with any difference in their
17 particular clinical course or were they, did
18 they have more fevers of unknown origin or is
19 this just a fact? You've pushed the limit of
20 detection. You've got a result here and it
21 doesn't correlate with anything except your
22 tests.

1 DR. PARADIS: Sorry. Actually none
2 of the patients from Russia reported any
3 clinical symptoms whatsoever. Of course
4 there's a regional difference in how you
5 report severe adverse events and Russians
6 tend to be more stoic I guess.

7 DR. MICKELSON: Nicely put.

8 DR. PARADIS: But, none of them
9 reported having any unusual symptoms. The
10 age of the patients did not seem to have any
11 relevance. Unfortunately, we didn't expect
12 microchimerism and when we planned the study
13 in our case report forms, we did not ask what
14 the reason was for each individual patient,
15 for them to undergo their splenic perfusion.
16 So that all we did was go back to the
17 investigator and ask overall what they were.
18 So I really cannot give you any kind of
19 correlation and anyways it would be
20 retrospective and I don't think you could
21 make any sort of conclusion.

22 DR. MICKELSON: No. I was just

1 wondering whether there might be
2 precipitating factors. If you're saying
3 there's a sort of a --

4 DR. PARADIS: My suspicion is that
5 if we followed all 100 patients,
6 prospectively, with multiple samplings, that
7 we would have had a lot more than 23 patients
8 who are microchimeric.

9 DR. MICKELSON: Yes.

10 DR. COFFIN: Arifa?

11 DR. KHAN: I just wanted to ask
12 you, the patients in which you have the
13 persistence of the pig cells, have you
14 attempted any stimulation or induction
15 studies to see if you can induce the
16 retrovirus on this may potentially address
17 some potential risk concerns?

18 DR. PARADIS: I have to admit that
19 we had a lot of difficulty going back to
20 obtain samples again from Russia. We were
21 just able to get them again to retest them
22 for microchimerism but we were unable to do

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 any more than that. I'm sorry.

2 DR. LERCHE: Did you indicate that
3 one particular type of exposure was more
4 associated with microchimerism than some of
5 the others?

6 DR. PARADIS: Yes. It was all in
7 the splenic profusion patients.

8 DR. LERCHE: Only. All 23 were?

9 DR. PARADIS: All 23 were splenic
10 profusions.

11 DR. VANDERPOOL: I don't want to
12 put you on the spot but I guess I am.
13 Carolyn, do you have any comments about the
14 importance of this study to you? You're
15 well-known as a researcher.

16 DR. WILSON: I think that this is a
17 very important study and it's very valuable
18 data and we're very encouraged by the results
19 from this study but I think that, at least at
20 the FDA, we still feel that it's an issue
21 that requires continued data.

22 The type of exposure that these

1 patients, that they had, is not necessarily
2 going to be the same as what's currently
3 being tested and planning for being tested in
4 clinical trials. So, we feel that it is
5 important to continue accruing this type of
6 data in current and future clinical trials.

7 DR. COFFIN: I'd like to move on
8 then to hear from David Onions.

9 DR. ONIONS: I wonder if somebody
10 could be kind enough, is this on?

11 DR. COFFIN: He certainly needs no
12 introduction at this point.

13 DR. ONIONS: That's fine. I'd just
14 like to give you a very brief outline of what
15 I deemed here an experiment. But that's
16 perhaps an exaggeration.

17 It's a very preliminary observation
18 that derives out of a study to develop
19 antibodies to one of the subgroups of PERV,
20 that's PERV-B. This virus had been prepared
21 in human 293 cells and we have perhaps a
22 sequence of this particular virus. A

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 standard viruses preparation was prepared so
2 this does not contain cells. We're looking
3 at a virus preparation that was then
4 inoculated subcutaneously into guinea pigs
5 and because this was an antibody-raising
6 experiment, the virus was given twice, 28
7 days apart. The analysis I'm going to show
8 was taken 14 days after the second
9 inoculation.

10 So this was really an
11 antibody-raising study. But we did actually
12 analyze these animals to look to see whether
13 there was actually evidence of infection in
14 these guinea pigs and we looked for the
15 presence of viraemia using a para-toxical
16 ingredient we call F-PERT which I'll comment
17 on in a moment.

18 We looked for latent infection or
19 the presence of proviruses, proviral DNA
20 within cells and we looked for the expression
21 of those proviruses by RT-PCR. We looked for
22 antibody by a number of criteria both Western

1 Blots and the ELISAs using Hovius,
2 recombinant p30 and recombinant envelop
3 protein.

4 First of all we just screened by a
5 non-quantitative PCR just as a quick look
6 see, was there anything there. To perhaps a
7 little bit surprising, perhaps all of these
8 animals came up positive by a standard PCR
9 with the signals being most intense in
10 lymphoid tissue. I'll come on and show you
11 some quantitative data in a moment.

12 Although this was not a cell
13 preparation we did want to exclude that these
14 animals had not just passively taken up
15 contaminating DNA in the viral preparation.
16 So we checked for the 293 DNA. That's the
17 DNA of the cell line from which the virus was
18 prepared and in none of these animals did we
19 detect such DNA. Similarly, we obviously
20 looked at non-infected controls. These were
21 actually litimate (?) controls and there was
22 no cross-reactive retrovirus in these guinea

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 pigs. There was a guinea pig retrovirus, the
2 L2 virus. But there was no cross-reactive
3 virus in these animals.

4 What was more, I think, interesting
5 is when we did quantitative PCR, I won't go
6 through the testing detail because, if you
7 know it you know and if you don't you
8 probably don't want to know it. But simply,
9 it uses a PCR technique and it uses a
10 fluorescent labeled probe. The point being
11 that it allows you in real time to detect the
12 quantity of the target that you're looking
13 at. In other words, it gives you a
14 quantitative PCR result. That's all you need
15 to know really.

16 For the aficionados, this just
17 shows these particular results. The black
18 dots show proviruses that are spiked into DNA
19 to quantitate the system and you can see that
20 it's linear, it actually gives a coalition
21 coefficient of .97. You can then look in the
22 test samples which are read and look for the

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 number of proviruses that you find in your
2 test sample and then those number proviruses
3 have been normalized to a million cell
4 equivalents of DNA. So what I'm about to
5 show are the number of proviruses detected in
6 an equivalent of a million cells. This is
7 from the spleen only.

8 So these are five of the eight
9 guinea pigs that we analyzed in detail or are
10 analyzing in detail, perhaps more accurate.

11 As you can see, the counts vary from around
12 about 3000 proviruses per million cells right
13 up to 70,000 parvovirusues per million cells.

14 Now we only put in 10^6 billionths
15 and those were actually assayed by
16 electro-microscopy so the effect of the type
17 was probably lower than that. I think with
18 this kind of count here it almost certainly
19 means that there is evidence that those
20 viruses must have undergone at least one
21 round of replication to get those number of
22 proviruses in those cells. So it's not just

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 a single one round hit.

2 We then went on to look and see
3 whether there was expression of these
4 proviruses and this was done by RT-PCR. You
5 can do it two ways. One is to look for the
6 splice message which is the envelop message
7 which is present at a lower level in these
8 cells probably by the globular down, the full
9 length message which we also detect and this
10 was to use in this probe here, this PCR
11 action, this pole region.

12 The net results of that are that
13 only one of the eight guinea pigs had
14 detectable expression at the RNA level at
15 this single time point. Remember, we've only
16 looked at one time point. But, we're pretty
17 convinced this is message because the signal
18 was negative without reverse transcriptase
19 indicating it wasn't due to contaminating
20 DNA. However, we did not detect the lower
21 abundant spliced Env message. So we've got
22 one out of eight of guinea pigs that evidence

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 of expression at the time, the single time
2 point of sampler.

3 We then asked the question, are
4 these animals biorhythmic and to do that we
5 use a PERT assay. Again, this actually was
6 been mentioned before and plus it is relevant
7 just to quickly touch on this because it is
8 one of these new generation retroviral assays
9 that's useful.

10 It utilizes an RNA from a plant,
11 usually a plant virus. If you've got a virus
12 that has reversed transcriptase and will
13 cover that RNA into a DNA copy, and you can
14 then amplify that residual DNA using a PCR
15 approach, and in the old days we use to just
16 block this out. But now combining it with
17 the type main technology we just say a moment
18 ago, you can actually get a quantitative
19 result because you can actually quantitate
20 the amount of this PCR product.

21 This just, the next slide just
22 shows that this is a sensitive system. This

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 just shows the curves coming off the machine
2 using a 10^6 billions or 10^2 billions and you
3 can see even the at 10^2 billions, we're
4 actually having a signal that we know through
5 assay validations a valid signal. So we have
6 a system that has a detection level of 10^2
7 billions in the assay but we limit the
8 quantification, quantitation is not an
9 English word, quantification to 10^3 billions.

10 In none of these eight guinea pigs
11 was there evidence of viraemia. So we have
12 animals that have proviruses, one of the
13 animals his level of RNA expression but we
14 did not detect the level of sensitivity to
15 the assay, free virus in the plasma.

16 I want to go through all the
17 antibody results just to explain that we use
18 these multiple criteria. We use recombinant
19 p30. We validated this assay quite
20 extensively. We've also validated the
21 product that shows the mass spec analysis.
22 This is recombinant p30 here. These are

1 secondary fragments, they're not
2 contaminates. They're just miss, different
3 mass spots, mass charge fragments. This is
4 very low molecular weight contamination. So
5 it's a pretty clean product.

6 But I'll just show you the results.
7 One result from one animal with, in fact,
8 whole virus antigen just to convince you.
9 This just shows the curve of dilation. You
10 won't be able to read it. I'm sorry. But
11 that says 1 in 12,800. So the chances of
12 antibodies going up very high in these
13 animals, in the case of this particular
14 animal out to a tie to 12,800. So all eight
15 guinea pigs had antibodies to both Env and
16 Gag proteins by these multiple assay systems.

17 Well, the important thing is what,
18 how do you interpret these words, what's
19 happening and the answers we don't know
20 because we've only looked at a single time
21 point. But, this is a speculative
22 interpretation based on other model systems

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 like cat virus and feline leukemia virus in
2 cats, given eight leukemia virus in Gibbons
3 and you have to accept this as just
4 hypothesis and could be altered.

5 But we know that in most patients
6 that frequently what you see after infection
7 is a plasma viraemia. In the majority of
8 animals, you actually get recovery from
9 infection. So the majority of animals you
10 eventually see a succession of viraemia and
11 this usually is coincident with a development
12 of antibody. That's not to say that antibody
13 is the only clearance mechanism. It is not.
14 T-cell immunity is absolutely critical but
15 it's usually coincident. These two events
16 are coincident.

17 You do get a latent infection. So
18 you do protect proviruses by PCR, either in
19 peripheral blood or in spleen tissue and bone
20 marrow. This latent state can last for years
21 but without any disease development.
22 Eventually we see to appear clonal

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 distinction. That's what we see in cats.

2 Now I don't know what's going on in
3 guinea pigs what I might suggest is that
4 probably what we're looking at is this sort
5 of pattern of infection. We're looking at
6 the transition from where there's been active
7 replication to this sort of stage where we've
8 got latent infection in the presence of
9 antibody.

10 What is usually more serious in
11 these infections and they are different to
12 each other. One is usually the serious
13 consequence is when these animals don't go
14 into recovery phase and they develop a
15 persistent plasma viraemia, often with
16 infection of many tissues, epithelioma
17 surfaces. Generally these animals don't
18 develop antibody.

19 So in conclusion, what I think
20 we're seeing certainly cross-species
21 infection where we're seeing this
22 cross-species infection falling into this

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 latent impossibly recovery pattern. That's
2 the thing that we're seeing.

3 What might we use the model for?
4 Well, we've already discussed, we don't have
5 any evidence about what in this expression
6 may or may not do. I think this may provide
7 a tool to actually look and see what kinds of
8 expression and where it's modified the
9 pattern of infection. It may also provide
10 other tools to look at other aspects of
11 intervention in these kinds of retrovirus
12 infections. Thank you.

13 DR. COFFIN: Very interesting.
14 This is open for discussion and I would like
15 to ask a question. What would you expect the
16 outcome to be if you took these guinea pigs
17 and infected them with a known pathogenic
18 C-Type retrovirus like melomaniac leukemia
19 virus or FELV? Do you think you'd see
20 anything much different from what you did
21 see?

22 DR. ONIONS: The answer, I'm not

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 sure. I think, I'm not entirely surprised
2 that you're able to get virus into guinea
3 pigs en vivo. I'm not entirely surprised by
4 that.

5 I think I'm a little bit surprised
6 by the fact that all of them were positive
7 and all of them were positive at relatively
8 high levels which implies replication. The
9 answer is: I don't know. People have put,
10 for instance, feline leukemia virus into it,
11 but that's a bad example, because it doesn't
12 replicate in rodent cells very efficiently.

13 DR. COFFIN: Right.

14 DR. ONIONS: I don't know,
15 anthrotrophic FELV might be interesting. I
16 don't know. But given for instance the data
17 from Phil and others, then, maybe, when you
18 introduce these viruses by these other roots,
19 intraperitoneal or subcutaneously, you bypass
20 because of barriers. If you don't have a
21 compliment system that's going to clear, then
22 maybe it's not unexpected that we will see

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 infection.

2 I believe that when we first
3 discussed this issue at the first committee
4 meeting, we did actually, on the sort of
5 story scale, actually come to the conclusion
6 that it was not unlikely that in a
7 xenotransplant, that some human cells are
8 likely to be infected.

9 DR. COFFIN: But, I mean, were
10 these viruses, if you, these are
11 immunocompetent animals that you infected.

12 DR. ONIONS: Yes.

13 DR. COFFIN: You did not obviously
14 infect newborns for this experiment. I
15 assume you are planning to do that at some,
16 at some time soon if you haven't already.

17 DR. ONIONS: We've obviously doing
18 a time core study at the moment. We are,
19 obviously, a very interesting response study
20 in suppression. We have to do through this
21 regulatory hurdle in the U.K. to get
22 permission to do that experiment because it

1 requires home office permission. But, yes,
2 that will be done eventually.

3 DR. COFFIN: Because many of these
4 retroviruses are, of course, pathogenic.

5 DR. ONIONS: Sure.

6 DR. COFFIN: Most pathogenic are
7 only pathogenic in newborn animals.

8 DR. ONIONS: Yes. That's correct.

9 DR. COFFIN: Are there other
10 questions or comments? That's very
11 interesting. Is there any general discussion
12 of these issues that anybody would like to
13 raise even going back to the first talk? Oh,
14 we're getting quiet.

15 DR. NOGUCHI: I just wanted to say
16 one comment here. I think that it's very,
17 this data is very encouraging because it's a
18 possibility for actually having a model.

19 Whether we might be able to model
20 some of the potential outcomes that we need
21 to look for. Once you get something infected
22 that's fine if the antibody stays up but if

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 you later immunosuppress, could you get
2 something coming out later? So, I think from
3 our point of view, it's always very
4 encouraging when we can have actual models to
5 work with.

6 DR. COFFIN: Actually, given that
7 perspective, have you looked in circulating
8 blood? Circulating them for sites?

9 DR. ONIONS: No, sorry. We haven't
10 looked at circulating lymphocytes. We looked
11 at plasma but we didn't look at circulating
12 lymphocytes. But we will do that.

13 DR. COFFIN: Because that would
14 carry us right back to the previous topic, of
15 course.

16 DR. ONIONS: Yes, sure.
17 Absolutely, yes.

18 DR. COFFIN: I believe we have one
19 more request for a public discussion. You
20 can use that one.

21 MS. FANO: I wrote down a few
22 comments and questions just for the record

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 because I didn't get a chance to respond to
2 Dr. Vanderpool's comment which I didn't
3 really appreciate, where he said that my
4 comments don't apply to the real world where
5 people suffer.

6 First of all, just for the record,
7 my own parents died of chronic diseases and I
8 know a little bit about suffering. The
9 comment I wanted to make before I was cut off
10 was that should an infection spread by a
11 xenotransplantation will be causing a lot
12 more human suffering than is currently,
13 currently going on.

14 The other comment is that no
15 guideline can account for latent or unknown
16 infections. While Dr. Onion's study on
17 guinea pigs is interesting, guinea pigs are
18 not human beings. Just wanted to remind
19 everybody that the gene therapy deaths that
20 occurred and were reported in newspapers, the
21 animal tests that were done did not predict
22 the side effects that killed those patients.

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 The question I had, questions I had
2 were regarding the cost of monitoring
3 patients and the blood supply. I wonder if
4 anybody has done any cost benefit analysis or
5 cost analysis in general of how much the
6 monitoring is going to cost. There were --
7 read certain legal journals which said that
8 the costs would be exorbitant. Question
9 about how to force compliance with monitoring
10 when patients may not wish to be monitored
11 anymore. If they tire of monitoring and
12 decide that they don't want to be monitored.
13 What mechanism is there in place to force
14 compliance, if that is even legal?

15 I asked this particular question
16 back in January of '98 and I never got an
17 answer and it is, I think, important and I
18 hope that you won't dismiss it because
19 governments and corporations have been held
20 liable in the case of infected blood with HIV
21 virus and CJD and that is: Who would, here
22 or anywhere, would agree to be held legally

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 responsible if a viruses did spread as a
2 result of xenotransplantation?

3 I think that's an interesting
4 question and I don't think anybody's ever
5 answered it and I, I do sincerely hope that
6 you won't dismiss it and make light of it
7 because I think it is an important question
8 that everybody should begin to think about
9 because I think the risk of the virus
10 spreading is, is real and I think you've all
11 acknowledged that.

12 The other question is since follow
13 up is the only hope of catching viruses, I
14 think that's been expressed on the panel. I
15 was alarmed that Genzyme did not think of
16 following up with it's patients who received
17 the Epicel treatment and I was concerned that
18 the FDA didn't seem to have a knowledge of
19 these patients and where they were and any
20 kind of monitoring procedure.

21 Just generally, that I was alarmed
22 by the sort of odd state of affairs here in

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 terms of the regulatory status and the
2 definitions that don't seem to be clear while
3 xenotransplant trials are still ongoing and
4 being approved. I'm sort of puzzled as to
5 how trials can be allowed to go forward
6 before any kind of regulatory frame work is
7 really fully defined and established. So,
8 that's a lot of questions and comments.

9 DR. COFFIN: Thank you. Is there
10 any further discussion that anybody on the
11 committee would like to have? Jon.

12 DR. ALLAN: Can you tell us how
13 many xeno trials are going on at the present?

14 DR. NOGUCHI: I don't think we can
15 comment specifically but we could say that
16 there are, there are on the order of a dozen
17 or so that are active. There's different
18 amounts of enrollment in all of them. I
19 would like to take this opportunity to say it
20 is not true that there is no regulatory
21 framework for this and, in fact, the
22 consequences of having a very active, not

1 only FDA regulatory oversight but public
2 health oversight is, I think, a commitment of
3 the government to the seriousness of the
4 situation.

5 In fact, the changing definition is
6 not one of being unclear about what we're
7 talking about but saying exposure to animal
8 tissue cells and potentially organs in the
9 future, is something that we recognize as
10 fraught with danger and, in fact, that's why
11 we continually come to the public to ask, and
12 to our advisors, to ask for advise and, of
13 course, to solicit public comment and
14 opinions. We appreciate all viewpoints and
15 it is appropriate to always make sure that
16 all points of view are being heard and are
17 continually addressed. That doesn't answer
18 the question of liability and I won't do
19 that.

20 DR. COFFIN: Is there anything
21 else? Yes.

22 DR. VANDERPOOL: I want to second

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 what Dr. Noguchi said. You can be sure that,
2 that I will not and surely most of the people
3 on this committee will not dismiss what has
4 just been said because it manifests fear and
5 alarm and concern and that's why some of us
6 travel from different parts of the U.S. to
7 come here to hope that these types of
8 concerns are taken into consideration.

9 So rather than, I hope you don't
10 think that I'm either defensive or
11 hypercritical of your perspective. I think
12 this perspective is out there and it's shared
13 by quite a number of people and unless we are
14 able by our deliberations to address these
15 concerns, as I believe we are doing over
16 time, but if we're not able to address them,
17 then we need to change our course.

18 So, my point is, thank you for
19 offering this perspective and we will keep
20 these considerations in mind. At the same
21 time, you heard me say that I thought that
22 that you had over generalized at some points

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 in what you said earlier and I think that
2 continues here because what else have we been
3 about these many meetings other than trying
4 to regulate xenotransplantation as seriously
5 and carefully as we possibly can do and what
6 else are we about then actually hearing of
7 the particular regulations that have been
8 outlined for us today yet again.

9 So, the regulation is occurring,
10 there have been indications that the FDA is
11 quick and ready to put trials on hold if
12 there is information that is alarming
13 concerning infectivity and so we, we can be
14 assured that the FDA acts when it becomes
15 aware of alarming and worrisome developments.
16 So, I think part of what we're about is
17 witnessing not only a careful deliberation of
18 these risks but a willingness to act quickly
19 and decisively if we see they're serious.

20 MS. FANO: I just wanted to answer
21 that that my impression is that much of the
22 regulation is being done in hindsight. I

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 think it was Dr. Allan who said if you're
2 putting your bets on containment it's a lost
3 cause.

4 I think that some of the
5 experiments that are being done and the
6 clinical trials that are ongoing started
7 before there was really any regulatory system
8 in place. As you say, it's constantly
9 evolving but given the public health risks
10 involved with xenotransplantation it would
11 seem as though, if you're talking about the
12 precautionary principal in protecting public
13 health, that you should have these measures
14 in place before allowing clinical trials to
15 go forward and that there should be a very
16 precise monitoring program set up to make
17 sure that you know every single
18 xenotransplant patient that's had a
19 xenotransplant and you know their names,
20 where they live, if you're concerned about
21 close contacts you know who they are and what
22 I'm saying is that it doesn't seem like that

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 system has been set up. It seems like
2 experiments, clinical trials, have been
3 ongoing without these kinds of systems in
4 place.

5 DR. COFFIN: Is there anything
6 else? Are there any further questions from
7 the FDA that, while they have us here, they'd
8 like to pounce on us with? Any further
9 comments or questions from the committee? If
10 not, I think we can declare it. Oh. One
11 more, one more comment from the public.

12 MR. BRESLIN: Hi. My name is Andy
13 Breslin and I'm just here representing a
14 concern citizen. I have two points to make
15 and they both concern math.

16 One is, if you have an infectious
17 agent with a very, very low rate of
18 transmissibility across species which then
19 has a much higher rate of transmissibility
20 within that species, I think it should be
21 mathematically intuitive that you're, and you
22 add to that a long latency period before you

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 see the development of any symptoms, it
2 should be intuitive that you can have a very,
3 very long period where you're going to see
4 nothing, no symptoms and then a very rapid
5 expansion of disease and a logarithmic growth
6 of that disease and you could, you could map
7 that out mathematically but I think it should
8 be intuitive that you're going to see a very
9 long period where there's nothing happening
10 and then all of a sudden, very, very rapid
11 expansion of disease.

12 So I think that the fact that there
13 has been no evidence of any disease
14 transmission should not really give you very
15 much assurance and, in fact, it would be
16 very, very surprising considering the
17 relatively low amount of xenotransplantation
18 that has occurred thus far if you had seen
19 anything yet. So, I just think that should
20 be factored in when considering the past
21 evidence of no transmission.

22 The other mathematical point I'd

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 like to observe is that since this meeting
2 began about eight hours ago, 2,000 human
3 bodies full of organs and tissues have been
4 burned and buried and only a very relatively
5 small percentage of the organs and tissues
6 that have been burned and buried have been
7 made use of in any way, shape or form and, by
8 the end of the day, about 6,000 human bodies
9 full of organs and tissues will be burned and
10 buried and by the end of this year over two
11 million bodies full of human organs and
12 tissues will be burned and buried.

13 Meanwhile we're going to just
14 continue to talk about oh, the organ
15 shortage. How do we meet the organ shortage
16 by increasing xenotransplantation and to that
17 end I'd like to invite any of the corporate
18 interests who are so concerned about the
19 organ shortage and who are developing
20 xenotransplantation to discuss some of the
21 philanthropic public service campaigns
22 they've had to increase organ and tissue

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 donation if they have any. That's about all
2 I have.

3 DR. COFFIN: Thank you. Do we have
4 another comment? Okay.

5 DR. LONG: Yes. I just want to
6 touch the topic that Dr. Allan raised about
7 the validity.

8 MS. DAPOLITO: Have him identify
9 himself.

10 DR. COFFIN: Oh, can you identify
11 yourself please and your institution.

12 DR. LONG: Yes. Zhifeng Long from
13 GTI. We participated in the 7-11 study for
14 replicate. Just to answer the question that
15 Dr. Allan raised regarding the validity of
16 the test. Why we perform replicate testing.

17 The reason that GTI has to perform
18 replicate testing is because we push the
19 assay to the most sensitivity so that we can
20 detect a single copy of PERV DNA in the
21 presence of half a million cells. The answer
22 was performed both and point detection as

1 validate the assay with single copy
2 sensitivity but we claim by doing three tests
3 with a ten copy sensitivity, there's no way
4 that you will miss any positive sample
5 because the positive sample by the percentage
6 of distribution with ten copy, you will
7 have 99.99 percent of detecting it.

8 So, it's additional work to us but
9 it's not a loss of validity to the data
10 itself because essentially we have to triple
11 our work.

12 DR. COFFIN: Any comments? Okay.
13 Go ahead.

14 DR. SAVILL: My name is Corinne
15 Savill. I work for Novartis. We are one of
16 the companies working in the field of
17 research into xenotransplantation. I'd just
18 like to make some comments in reference to
19 the last speaker but one.

20 Firstly, just because nobody else
21 in the room has mentioned it, just to make
22 the point that most people are aware that not

1 public? One last word, any last word from
2 the FDA? Last word from the committee? Ah,
3 Claudia.

4 DR. MICKELSON: No, no. I'm not,
5 never mind. I just wanted to defend the use
6 of animal models. While they may not be 100
7 percent predictive, it is one of the few
8 things you have that can allow you to
9 progress from the theoretical bench through a
10 living whole system that can respond. But,
11 it's in no way 100 percent predictive but
12 it's an intermediate step that could never be
13 gone around.

14 MS. FANO: Just to add to that,
15 that from the June 3rd and 4th FDA
16 subcommittee meetings that were held, the
17 researchers involved in the field themselves
18 acknowledged that even the baboon model that
19 they had was really not a good model of the
20 human scenario. So, the trouble with animal
21 models is recognized even by the researchers
22 themselves.

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 DR. COFFIN: They're certainly not
2 perfect.

3 DR. SIEGEL: I'm sorry but just a
4 quick note to thank very much the members of
5 the committee, the guests of the committee.
6 Your deliberations were extremely helpful to
7 us. The chair, the previous chair for a well
8 run meeting that ended on time, the
9 commenters from the public and from, as well
10 as the presenters. We very much appreciate
11 today's proceedings.

12 DR. COFFIN: With that we're
13 adjourned.

14 (Whereupon, the MEETING
15 adjourned at 5:09 p.m.)


16 * * * * *

CERTIFICATE OF NOTARY PUBLIC

STATE OF MARYLAND

I, JAMES C. HARPER, the officer before whom the foregoing deposition was taken, do hereby certify that the witness whose testimony appears in the foregoing deposition was duly sworn; that the foregoing transcript is true and accurate record of the testimony given by said witness.

I further certify that I am not related to any of the parties to this action by blood or marriage and I am in no way interested in the outcome of this matter.



My Commission Expires:

September 27, 2003