

1 reliably tell whether effectiveness will be changed by
2 whether or not there are unacceptable levels of
3 differences in this percent of people who achieve .15.
4 I mean that's essentially the question on the floor.

5 So when Dr. Horne gave her elegant
6 presentation, I agree with all of the mathematics. I
7 think she's laid out the equivalence or non-
8 inferiority trials arguments exactly correctly.

9 The complication here is two of the most
10 complicated issues I've encountered in statistics are
11 the issues of equivalence trial or non-inferiority,
12 and the issue of surrogates, and we're putting them
13 both together, and exactly as what she said.

14 What we're dealing with here is trying to
15 define an acceptable difference on a surrogate so that
16 we can say reliably that that's not leaving an
17 unacceptable increase in the true clinical endpoint
18 which is effectiveness.

19 So to move from there, using this simple
20 example that I've talked about, we used to have 10,000
21 a year. Now we're down to 100 a year, 99 percent
22 effectiveness. It seems to me there are from what I'm
23 hearing today three ways in which we're moving from
24 the 10,000 to the 100.

25 One of the ways is that we're decreasing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the organism carriage or what we call the pressure.
2 I don't know how much of this effect is the pressure,
3 but part of it is we're reducing the pressure with a
4 vaccine strategy.

5 The other part is we're increasing the
6 protection for the individual against susceptibility,
7 and I'm going to guesstimate for sake of this
8 discussion just to make the point that the pressure is
9 one of the logs. It's going from 10,000 to 1,000, and
10 I'm going to use as some justification as that the
11 British data, which was basically showing that we had
12 approximately a tenfold reduction in the presence of
13 an active vaccine program for the risk amongst those
14 people who were not vaccinated.

15 Now, you can get that data. I mean you
16 can get some data on that to see if that projection
17 is, in fact, proper.

18 Now, that means that if you were looking
19 at unvaccinated people in a setting in which there's
20 a vaccination program, those people are now at a risk
21 of 1,000, not 10,000, but 1,000 per 100,000.

22 Now, amongst these people, there is going
23 to be the risk that they have transmission before the
24 age of six months, before the completion of three
25 doses, and then those after, and what we had seen from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the original epidemiological data is that 15 percent
2 of the risk occurs before six months and 85 percent of
3 it occurs after.

4 So of these 1,000, you should have had 150
5 in an unvaccinated population of occurrence below six
6 months and 850 after. What we're actually seeing in
7 the CDC data that was presented here at the end is the
8 actual number of cases in a vaccinated program is
9 about a 50-50 split, which means that the focus here
10 of all of the discussion has been on what is the
11 percent of people who achieved this .15 once they've
12 completed three doses, and the Reynolds and Zenco data
13 are saying something pretty consistent to me. It's
14 around 95 percent.

15 Well, that's very close to 50 over 850.
16 That's in fact 94 percent protection in those infants
17 who complete three doses.

18 The problem is the infants who didn't get
19 through three doses either because there was
20 noncompliance or that they weren't offered the :...
21 three doses or because they became infected before
22 they had a chance. There you're looking at
23 relative to 150. So you have about a 67 percent
24 protection.

25 Now, to come back to the specific question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at hand here, what we're looking at in all of this
2 discussion is only one of the three elements that
3 leads to the 10,000 being reduced to the 100, which is
4 specifically the element of what is the predicted
5 level of protection in those infants that are fully
6 vaccinated.

7 And what we're seeing is evidence that
8 that is what is decreased from roughly 95 to 98
9 percent by five to ten percent less, and we're trying
10 to use that as the basis to determine whether or not
11 effectiveness is going to be reduced.

12 I would hope that it's also critical to
13 look at whether or not the combination vaccines
14 relative to the individual vaccines -- what's the
15 relative immunogenicity in those who receive only two
16 doses or one dose because in this setting, a lot of
17 the risk occurs before six months, and in fact, it's
18 my sense that when you look at the Alaskan data and
19 you looked at the HbOC vaccine relative to the PRP-T
20 vaccine, that the difference there between those two
21 might substantially be -- the increased risk when you
22 went from 30 back up to 60 might be occurring because
23 of the lack of immunogenicity in those infants in less
24 than six months, and this is really critical in a
25 population such as the Alaska Native population where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 25 percent, not 15, but 25 percent of the transmission
2 is in that group.

3 So summarizing, this is clearly a
4 correlate. Is it a surrogate? From my perspective we
5 need to understand more clearly what level of -- to
6 put it into Dr. Horne's presentation, as she clearly
7 said, what is the null hypothesis, and if we're going
8 to use this as our correlate, how much reduction can
9 we allow in the percent to achieve .15 in order to run
10 through this whole argument and say it's going to
11 translate into an acceptable level of decreased
12 effectiveness.

13 And my last comment is I don't think we've
14 even answered that question yet, which is what do we
15 believe, and maybe we'll come back to it. So I'll
16 just lay it on the table.

17 We haven't answered to me the most
18 important first question as to how much effectiveness
19 are you willing to give up, and that's the first
20 answer I need to have before I can even begin to
21 answer how much immunogenicity will I give up.

22 CHAIRMAN GREENBERG: Well, I have assumed
23 -- thank you very much, by the way. I think that that
24 casts this discussion in a concrete and useful way. I
25 just -- and I'm going to answer and this may cause

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 chaos here, but I had assumed that loss of -- that
2 most people around this table were unwilling to lose
3 almost any effectiveness. Now, I may be wrong here,
4 and by almost any, I'll step out there: 25 more cases
5 would be unacceptable.

6 So to go from 100 to 125, if you could
7 really show that that was happening, would be --
8 around the table would be unacceptable. I'm making
9 this up. Maybe you don't agree with me, but I would
10 bet from a public standpoint that it would be pretty
11 darn unacceptable to say children around the country
12 are getting one less injection, but 25 more children
13 are getting meningitis. That would be a tough one to
14 sell.

15 PARTICIPANT: It's contrary to the 20-10
16 approach.

17 CHAIRMAN GREENBERG: So and I --

18 DR. SNIDER: Well, I think that's true,
19 Harry, only to the extent that we can't answer your
20 other important question about the benefits, and if we
21 were able to show that we were going to prevent some
22 of the other diseases that were in the combination
23 vaccine --

24 CHAIRMAN GREENBERG: Absolutely.

25 DR. SNIDER: -- at a higher level, then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 maybe we'd give up ten cases.

2 CHAIRMAN GREENBERG: One hundred percent
3 correct, but --

4 DR. SNIDER: But for right now.

5 CHAIRMAN GREENBERG: Yeah, convenience,
6 provider convenience would not be the rationale that
7 would ever justify just that 25 percent, I don't
8 think. Again, I'm just -- so as you think about this,
9 I don't think anybody really has a lot of play in the
10 decreased effectiveness in their minds.

11 I'm going to move on. Dr. Insel.

12 DR. INSEL: As has been said, antibody has
13 been the gold standard, and I think it has to remain
14 at least if not a gold standard at least a platinum
15 standard or something of that order in the sense that
16 in this instance we know antibody is the major
17 effector function here. It's not just a correlate
18 correlating with something else.

19 That having been said, then the question
20 is how much antibody, and my bias is the more
21 antibody, the better. I mean, we've heard that higher
22 antibody is associated with decrease in carriage
23 rates. Higher antibody levels are associated with
24 better evidence of priming or memory.

25 So in general, I think, the more antibody

1 one is reassured. I guess the issues is if one were
2 to give up antibody, then what do you have and can you
3 depend on, let's say, memory, and there may problem is
4 I don't know what the incubation period of this
5 disease is. I do not know what the role of memory is
6 as far as preventing disease.

7 In addition, the way we're looking at
8 memory as has been pointed out, it's somewhat
9 artificial. We're asking for challenging with a
10 parenteral immunization to try to gauge what would
11 happen with an infection that would occur, you know,
12 at a mucosal surface.

13 In addition, we're challenging with a very
14 high dose of antigen. I'm not sure we know everything
15 about memory. I'm concerned even does the low level
16 with the third dose in the first year of life in the
17 primary series, does that reflect a low memory
18 response that was induced by the second dose, and
19 we know, for example, if we challenged between six
20 ten -- seven and ten months of age with polysaccharide
21 vaccine that one would have a good memory response

22 I'm worried about in that time period
23 we seeing, let's say, a reversal of some kind
24 effect and now memory is restored and looks very
25 beginning at ten and popping in at 12 months of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 What do we really know in that six to ten month
2 window?

3 I raise it because of this question of,
4 you know, reversibility of some of the effects that
5 are seen with high dose protein.

6 So in the absence of really understanding
7 memory, I think one is force to live with antibody,
8 and then the question is: what are the relevant
9 levels, and there I think it's very difficult to know.

10 CHAIRMAN GREENBERG: Thank you.

11 I'm now going to go to our guests and the
12 same thing. Dr. Robbins would have been sitting over
13 there. So I'll start with you. Do you have anything
14 to add here, John?

15 DR. ROBBINS: It's not perfect, but it
16 seems to be useful. It's the only thing we have.

17 CHAIRMAN GREENBERG: Dr. Heath.

18 DR. HEATH: Well, I would agree on the
19 .15, but can I just add a little more data? Is that
20 permissible at this point? Because a number of people
21 have been asking questions about acute antibody.

22 CHAIRMAN GREENBERG: If you're going to
23 help us with these questions, you should add.

24 DR. HEATH: I think it might help in that
25 in our vaccine failures in the United Kingdom we've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been obtaining as best we can acute sera and also
2 convalescent sera, and in about a quarter of the cases
3 we have been able to obtain acute serum defined as
4 within 48 hours of hospital admission, and the great
5 majority of those children have very low antibody
6 concentrations, as you would expect. Ninety percent
7 of them are less than one, and about 50 percent
8 undetectable, less than .15.

9 About two thirds have a convalescent
10 antibody response which is acceptable, that is, two to
11 three weeks after hospital admission their antibody
12 concentrations are certainly greater than one, but one
13 third have undetectable or very poor convalescent
14 antibody responses.

15 So that I think help ones or two of the
16 questions.

17 CHAIRMAN GREENBERG: Thank you.

18 Dr. McVernon.

19 DR. McVERNON: I'm very new to this area,
20 but my perception is that as in everything in
21 pediatrics, the development of immunity and even to a
22 specific vaccine is a very age dependent phenomenon,
23 and we know that avidity changes over time. I suspect
24 that base elevation thresholds change over time, and
25 certainly we know that in Alaska very high levels of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 antibody required to protect the youngest infants, and
2 I would say that, you know, antibody levels are the
3 best thing we have at the moment, but I'm sure that it
4 is an age dependent phenomenon and that different
5 levels will be required at different ages for
6 protection until we understand the mechanism more.

7 CHAIRMAN GREENBERG: Dr. Levine.

8 DR. LEVINE: I think my comments would
9 parallel those that Kathy Edwards made. I guess I
10 feel like we've been presented with data to make me
11 feel like if we measure a kid who's received Hib
12 conjugate vaccine and they have antibody levels
13 somewhere between .15 and 1.0, that they're likely to
14 be protected, they themselves, against invasive
15 disease, and that doesn't speak to protection against
16 colonization or other outcomes.

17 On the other hand, I guess one of the
18 issues that you have to grapple with is even if you
19 could come up with a very precise measure from a
20 regulatory standpoint, how close would you want to be
21 cutting it that way?

22 And I think one of the things about the
23 1.0 threshold, however arbitrary it may be and based
24 on PRP plain polysaccharide, is that it gives you a
25 little bit of a comfort area, and I think one of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 threads that I saw today was a degree of variability
2 between studies, between populations, between areas,
3 and one of the issues that concerns me is an issue of
4 equity, and that is to say that, you know, if there
5 are subpopulations of a high risk group that don't
6 respond very well, I think we ought to not compromise
7 their protection to make it more convenient for low
8 risk populations.

9 And so although I think data was presented
10 to suggest that you could ease off on the 1.0
11 micrograms, I would just want to try and balance that
12 with the degree of comfort that we have in the absence
13 of other measures.

14 CHAIRMAN GREENBERG: Dr. Steinhoff.

15 DR. STEINHOFF: I guess like everyone else
16 I'll agree that these two threshold levels -- we have
17 nothing else -- we have it to go on right now. I
18 think so we've answered your question. These seem
19 okay.

20 The question you didn't ask, however,
21 though it's come up a number of times now is with what
22 degree of stringency are we going to use these
23 thresholds for new products. Must they be above
24 must the mean titre be above 0.15? What about the
25 titre of one for a new product, and is it after the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 third dose and so forth?

2 So that we're not answering, but I think
3 that's where the crucial issue is. It's come up a
4 number of times, and I guess I'm the last one unless
5 someone else is missing here.

6 So I don't know how that's dealt with.

7 CHAIRMAN GREENBERG: No, I'm the last one.

8 DR. STEINHOFF: Okay. You're the last
9 one. I think these are appropriate thresholds. We've
10 used them. The question is how are they to be used
11 especially with new products.

12 CHAIRMAN GREENBERG: Okay. I'd like to --
13 I'm sorry. What did you say? Ah, excuse me. There's
14 another panelist over here. Dr. Stein.

15 DR. STEIN: I think I also lean toward Dr.
16 Edwards' point of view., I think we'll get into the
17 discussion of immunologic memory later, and I might
18 have more to say then.

19 CHAIRMAN GREENBERG: Okay, and for the
20 record, I agree with everything that has been said.

21 (Laughter.)

22 CHAIRMAN GREENBERG: The only piece
23 might add is at least thinking about this in my mind.
24 we've -- the other way to go, of course, is to say why
25 are we -- why do we need to accept a decrease:

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 immunogenicity, especially when we have something set
2 up like here? Why not say make combinations where
3 immunogenicity is not sacrificed. It doesn't seem to
4 me to be a first law of nature that immunogenicity has
5 to be sacrificed with combinations. We've heard
6 several.

7 And so while, for sure, from the
8 manufacturer's standpoint when mixing thing that are
9 already made together, there is decreased
10 immunogenicity means more expense or extra work, it
11 should not mean not going that route, and another way
12 to approach this would be simply to devise strategies
13 where immunogenicity had no change or was even
14 enhanced in the combination.

15 And I would just encourage people to think
16 about ways of doing it. I was struck by Dr. --
17 somebody said here simply, and I wonder whether maybe
18 the manufacturers that tried it, simply a double
19 barrelled syringe where mixture occurred only at the
20 very last minute and wondered whether if alum is
21 actually a major part in the amount of time that alum
22 and the PRP are next to one another is critical, that
23 might make a difference.

24 Has that experiment been done? It's like
25 epoxy. When you --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 PARTICIPANT: It works.

2 DR. EGAN: That's the next question.

3 CHAIRMAN GREENBERG: Okay. Do you have
4 something relevant to this question?

5 DR. EDWARDS: Sort of, but I guess
6 everybody could finish and then I could ask this
7 comment.

8 CHAIRMAN GREENBERG: Okay. We have some
9 more.

10 Dr. Edwards.

11 DR. EDWARDS: Okay. Well, I think it's
12 interesting if we see that the current burden of
13 disease is generally in the kids less than six months
14 of age, at least 50 percent of them. One could also
15 ask are we going to tolerate that. I mean, first of
16 all, are those children all Native Americans? And if
17 they are then presumably giving them the OMP will give
18 them a good rise and then they'll have antibody and
19 then they'll be boosted and then they'll be fine.

20 But what if they aren't? Does that mean
21 then that we're going to tolerate the 50 kids that get
22 invasive disease because they don't make an antibody
23 till six months of age and we need to give everybody
24 OMP and then follow with other vaccines?

25 You know, I think that's sort of an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interesting piece of information also, and that's not
2 the question, but --

3 CHAIRMAN GREENBERG: No, and a good
4 question or a good point.

5 Dixie, did you raise your hand? Were you
6 raising your hand?

7 DR. SNIDER: Well, I just wanted you to
8 clarify the point you were making because I think Bud
9 Anthony got up and made plea about comparisons with
10 the single antigen or single component as compared to
11 a combination, and I guess I wouldn't be inclined to
12 say that the combination would have to get the same
13 anti-PRP response as the single antigen would, as long
14 as the response is acceptable, in the acceptable
15 range.

16 CHAIRMAN GREENBERG: I'm in total
17 agreement.

18 DR. SNIDER: All right.

19 CHAIRMAN GREENBERG: I'm in total
20 agreement.

21 DR. SNIDER: That's a clarification.

22 CHAIRMAN GREENBERG: All I was saying is
23 that the discussion doesn't have to happen if there's
24 no change in combination, and there are scientific
25 strategies that might.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SNIDER: Okay.

2 DR. FLEMING: Just a real quick follow-up
3 question. I believe there is strong consensus on the
4 fact that the antibodies specifically using a measure
5 such as the percent that achieve .15, that this is
6 correlated with the goal here of achieving protection.

7 I think also it has been argued the strong
8 biological basis for the mechanism through which that
9 correlation arises; it's also been argued by several
10 what else can we use. Ultimately I'm assuming to
11 answer this question completely though we would need
12 to suggest in what way we would use this correlate as
13 a way of assessing efficacy.

14 And we're challenged right now in a case
15 like this because we're definitely seeing lessened
16 immunogenicity by the measure that we're suggesting
17 we're going to use. And so what is the scientific
18 justification we are going to put forward for how much
19 less we will allow in a way to reliably tell us how
20 much less effectiveness will, in fact, be incurred?

21 And I'm looking for that discussion at
22 some point before we go.

23 CHAIRMAN GREENBERG: Well, you don't hear
24 it by the end of four, I'll let you have 4(a).

25 Shall we move on?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. EGAN: This is the related part of
2 this first question, namely, to discuss and comment on
3 to the extent possible the clinical significance of
4 the diminutions in antibody response that had been
5 observed with some of the conjugate vaccines in
6 combination versus singly administered.

7 CHAIRMAN GREENBERG: Well, in part this
8 touches Tom's question because if there's no clinical
9 significance then -- so I'll just keep picking up the
10 same person until I'm told to stop.

11 Dixie, do you have any feeling about this
12 one?

13 DR. SNIDER: Well, the diminished immune
14 responses with the combinations as compared to the
15 single antigen or monovalent vaccine, I think it is a
16 mixed bag. I mean, there's no way to answer that in
17 a very general way. It's specific to, as far as I'm
18 concerned, specific to specific vaccines, and in many
19 cases I would submit that at least based on the immune
20 correlates that we have, which we hope are surrogates
21 there probably is no clinical significance.

22 But at some point somewhere along the way,
23 there may be, and I think what we were saying earlier
24 is that we don't know whether we'll hit that point
25 anywhere along the way or not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If we stay with the criteria we were just
2 discussing, I think it's unlikely that we're going to
3 get into clinical difficulty, although people were
4 indicating that perhaps we shouldn't be too stringent
5 in applying the .15 and the one.

6 Other people spoke in favor of being
7 pretty stringent, and the degree to which you are less
8 stringent, the more risk, I think, we run that there
9 may be some clinically significant diminution in, I
10 mean, responses.

11 I think the diminished immune responses
12 that have been seen over time are also interesting and
13 play into this, and I have no clue as to what that
14 means. I hope that's a good sign in the sense that
15 perhaps the population in general is not encountering
16 the organism as frequently as it once did, and
17 consequently is not getting boosted, and hopefully the
18 lack of that boosting though doesn't lead to any
19 increased immunologic susceptibility to infection or
20 inability to rapidly mount a response to prevent
21 invasive disease.

22 But I really don't know. Those are just
23 some random reflections about a question I don't know
24 the answer to.

25 DR. GRIFFIN: I think the only way we're

1 going to know about clinical significance is by
2 following the population in the way that was done in
3 Alaska, and because we have two levels of clinical
4 significance, once is decrease in carriage rate and
5 the other is protecting the individual, and so it's
6 going to be a population based indicator when the
7 clinical significance is diminished and Alaska, I
8 think, is a wake-up or an indicator that it may occur.

9 CHAIRMAN GREENBERG: Thank you.

10 Dr. Stephens.

11 DR. STEPHENS: I agree. I think the
12 Alaskan data suggesting that there may be increased
13 risk associated with high rates of carriage is
14 disturbing, and we need to keep that in mind, again,
15 given the issue of effectiveness versus efficacy,
16 which is an important discussion point.

17 CHAIRMAN GREENBERG: Dr. Estes.

18 DR. ESTES: I agree. I think the concern
19 is whether we're now altering herd immunity, which
20 hasn't been said, so I'll just mention that word
21 again, and that's affecting then the carriage rates,
22 and I think that needs to be monitored.

23 CHAIRMAN GREENBERG: Dr. Kohl.

24 DR. KOHL: Well, I don't think we have a
25 way of answering the question directly about combo

1 vaccines, but we do have a couple of canaries in the
2 coal mine. One of them has been the Alaskan
3 experience, which is very startling, and the other
4 experience has been the PRP-D in the Native Americans,
5 which is equally startling, and I'm surprised we
6 hadn't spent a little more time talking about that.

7 But given our population which is so
8 different than Finland or even than Germany, it's such
9 a heterogeneous population, clearly there are pockets
10 of very high risk kids, and I doubt that they're just
11 limited to Native Americans in Alaska and on Indian
12 reservations.

13 And in Question 3 I think we're going to
14 spend some more time on those less than six month olds
15 or less than seven month olds. It seems to me the
16 more stringent we are right now until we know more,
17 the safer we're going to be.

18 CHAIRMAN GREENBERG: Dr. Kim.

19 DR. KIM: Well, I'm not sure I'll be able
20 to answer this question because I think question is
21 somewhat generic. You said that immune responses are
22 diminished, but the question is: how much, in what
23 ways?

24 And the quality and quantity will be
25 important, and without qualifying those issues,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 generally I cannot say that it will be significant or
2 not. I think that's, you know, my limitation in
3 providing any information to this question.

4 But, again, as others have said, that in
5 high risk populations, we have seen that considerable
6 diminution of antibody responses have clinical
7 consequences. So I think we need to bear that in
8 mind.

9 CHAIRMAN GREENBERG: Dr. Faggett.

10 DR. FAGGETT: Yeah, I agree it is very
11 difficult to assess the clinical significance of the
12 diminished response, especially in the absence of
13 comprehensive studies, as has been mentioned before,
14 in high risk populations to include the urban Native
15 Americans and inner city at risk.

16 We don't want to really take a chance of
17 increasing disparity between a high risk and general
18 population. I think it's another case in point for
19 Rob Breiman's research subgroup from this committee,
20 that we need to really take a hard look at this before
21 we know the clinical significance of it.

22 CHAIRMAN GREENBERG: Ms. Fisher.

23 MS. FISHER: I would agree. The clinical
24 significance of the diminished Hib immune response is
25 that we have to find out why it's happening and what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 kinds of kids it's happening.

2 Again, going back to find out the
3 biological mechanism of the vaccine induced immune
4 response, and I think paying more attention to
5 individual differences between children before we
6 assume that we can combine Hib with many other
7 vaccines and not affect the incidence of Hib disease
8 in this country.

9 CHAIRMAN GREENBERG: Dr. Edwards.

10 DR. EDWARDS: I think the evidence that
11 we've been shown about a lack of clinical significance
12 in the use of the combination vaccines in Europe is
13 encouraging. However, we are more heterogeneous in
14 our population, and I think that that surveillance and
15 actually the ABC surveillance system is very good, but
16 I think surveillance does need to include a mandate
17 that all Haemophilus will be typed from invasive
18 sites.

19 And secondly, that we follow the
20 experience of our colleagues in the U.K. where the
21 vaccine failures are clearly looked at in terms of
22 whether they are immune deficient in some way, whether
23 they will go on to make an antibody response, and
24 characterize what the difficulties are.

25 CHAIRMAN GREENBERG: Dr. Breiman.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BREIMAN: Again, similar to Kathy, I
2 think that the key may lie with post marketing
3 surveillance, and again, I'm impressed with the fact
4 that we were presented today with two relevant non-
5 parallel two relevant, parallel, yet not necessarily
6 concordant sets of data. I mean one was the data from
7 Germany which should have given us a fair bit of
8 reassurance that you can get along with a combination
9 vaccine, lower antibody levels and no apparent change
10 in the rates.

11 And yet I think very disturbing data from
12 Alaska that would suggest that at least in a high risk
13 population there is a great deal of meaning in a
14 reduction in immunogenicity.

15 So again, it highlights, you know, the
16 need to look at various degrees of depth, carriage,
17 and risk populations, and understand, you know, what
18 the potential impact would be.

19 I mean one possible option, I guess, that
20 hasn't even been discussed is if we knew more
21 thoroughly what happened in Alaska, for instance, and
22 what's going on in the Southwest among Apaches and
23 Navajos. Is it reasonable to consider different
24 targeted recommendations for vaccine use?

25 I mean, it may be that certain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 subpopulations would need a, you know, separate
2 injection and others would not. You know, I just
3 don't think we're at the point yet that we understand
4 enough about that.

5 CHAIRMAN GREENBERG: Dr. Eickhoff.

6 DR. EICKHOFF: Well, I concur. I think I
7 at least am not at a point yet where I can understand
8 fully the clinical significance of these --

9 PARTICIPANT: Can't hear you.

10 DR. EICKHOFF: -- of these decreases that
11 we're seeing. Our surveillance system is certainly
12 sensitive enough to pick up modest increases in cases
13 which we haven't seen thus far.

14 On the other hand, the combination
15 vaccines are not yet being widely used in the United
16 States to my knowledge, at least. Please correct me
17 if I'm wrong on that.

18 So I'm concerned about it as we move into
19 the future, and I would be very reluctant to give up
20 any of the clinical efficacy that we obviously have
21 with this vaccine.

22 CHAIRMAN GREENBERG: Dr. Ferrieri.

23 DR. FERRIERI: I'd like to expand on a
24 point regarding the Alaskan data and think that
25 following the population both by culture and the pre-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 immunization antibody titres would be very interesting
2 to see if the background noise now is stimulating
3 infants so that we will now have a new cycle in the
4 curve where they will be more hyper responding than
5 they have in the past.

6 That's one point I'd like to make, and
7 then the second point is my concern about the very
8 young infants who are constituting most of the
9 failures now, and I wonder if anyone has data on
10 antibodies in their mothers.

11 And I'm concerned about the antibody
12 titres in young pregnant women and how that naturally
13 then reflects on the vulnerability of the newborn
14 babies within the first two months of life.

15 Many years ago at an army commission
16 meeting, the late Dr. David Smith commented on data he
17 had on pregnant women in South Carolina and how these
18 were teenage women with very low antibody titres, and
19 the vulnerability of their infants to Hib disease, and
20 I don't know if anyone is tracking this, but we should
21 have a very low antibody population now in the
22 pregnancy prone group, and this may be accounting for
23 some of the vulnerability in the very young babies.

24 CHAIRMAN GREENBERG: Dr. Fleming.

25 DR. FLEMING: For me to provide an answer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the clinical significance of the diminished Hib
2 immune response, what I ideally would like to know is
3 for this level of reduction in immune response, that
4 translates into what level of increase in Hib cases,
5 as commented in earlier discussion.

6 I realize that's an incredibly hard
7 relationship to understand, but, again, in essence
8 that's the relationship that is really driving the
9 validity of this as a surrogate.

10 If I was to just throw out an
11 approximation and use the concept of a threshold, just
12 going back to what data we do have, if there were
13 10,000 cases a year and now there are 100 and half of
14 those are occurring in those people that have had,
15 infants that have had at least three doses, and that
16 corresponds to about a 95 percent efficacy in that
17 cohort, which also corresponds to Rennels' and Zenko's
18 observation of the percent of infants that achieve the
19 .15, if we interpreted that as being the threshold,
20 i.e., you achieve that and you're protected; if you
21 don't, then you don't, making that huge assumption,
22 then observing in their data that we essentially
23 double the fraction going from 95 to 90, the fraction
24 of people who don't achieve that level, that
25 threshold, that would translate into doubling the 50

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cases to 100 in those that have been fully vaccinated,
2 and those that haven't been would also correspondingly
3 increase.

4 And then we have the issue as has been
5 identified before. Then also what does it do to the
6 herd immunity or the overall carriage, and that, too,
7 is likely increased.

8 So that's how I would go about answering
9 this, and I'm making a huge assumption about the
10 threshold, but I'm awaiting another better way of
11 answering the question, and until we get more data
12 that really directly tells us what that functional
13 relationship is, we're having to guess, but with the
14 guesstimate I was using, it would exceed the number of
15 additional cases that you had said before that you'd
16 be willing to tolerate on an annual basis.

17 DR. INSEL: For the general population, I
18 concur with what's been said. I think carriage and
19 herd immunity there are the big issues for the high
20 risk population. I think serum antibody may even be
21 more important.

22 I just want to bring up another
23 population, and that is patients with splenic
24 dysfunction or splenia, such as Sickle Cell patients
25 where having a preformed antibody on board at the time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of transmission or colonization would probably be very
2 critical, and so there are other population groups
3 that have been discussed heretofore that may be
4 important as far as thinking how much antibody we want
5 to have on board.

6 CHAIRMAN GREENBERG: Dr. Robbins.

7 DR. ROBBINS: I think the problem we have
8 here is that the herd immunity conferred by mass
9 immunization even with three injections in infants is
10 protecting many more people than just vaccination
11 alone.

12 Take a look at the example of diphtheria.
13 Diphtheria vaccine where it's been studied is only 70
14 percent effective against preventing diphtheria. Half
15 the people in the United States and almost all
16 European countries have less than what the protective
17 level, .01 international units per mL, has been
18 measured. And it's possible to have protective levels
19 of antibody and get diphtheria, but yet in our country
20 we have none or one case per year, and that's because
21 the organism has been virtually eliminated.

22 So from the point of vaccine regulation,
23 it's very difficult to give numbers. What we do, of
24 course, is to try to say that a new vaccine has to
25 make at least two international units per mL after a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 primary series, and that seems to be effective in the
2 country.

3 Right now I would not like to give up one
4 inch of efficacy that we've achieved so far with these
5 Haemophilus vaccines, would not sacrifice any cases,
6 and it appeals to me that about one month after the
7 third injection of a primary series we should have
8 something from 2.5 to three geometric mean titer.

9 The fourth injection probably solves our
10 problem, but will not protect those very special
11 populations that have the disease at a very early age,
12 like Native American children in the southwestern
13 United States and in Alaska.

14 It seems, I mean, if I had control of
15 this, I would say that we mandate special
16 consideration to use the optimum vaccination schedule
17 today, which seems to be alternating the Merck product
18 and the other two products to get the maximum antibody
19 levels as early as possible in that population.

20 I think that technically the vaccines can
21 be improved. The manufacturers, in general, have been
22 reluctant to do this because of the enormous expense
23 involved in doing a clinical study with five antigens
24 on 100 infants.

25 And if government assistance is required

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in this, I think this would be a reasonable request,
2 and we have an administration that seems to be
3 favorably disposed to assisting where assistance is
4 needed.

5 DR. FLEMING: Harry, I'm sorry. Could I
6 ask Dr. Robbins to expand slightly on his answer?

7 Given the premise that the major mechanism
8 through which the current Hib vaccine has achieved
9 production is through herd immunity to the level
10 that's been achieved, how much reduction then can we
11 allow in Hib immune response before it will affect the
12 level of herd immunity that's been achieved?

13 DR. ROBBINS: Remember what happens. As
14 soon as you start to vaccinate, the disease is
15 depressed at all ages. In the U.K. it was reported to
16 have a 50 percent reduction even before the children
17 were immunized when that vaccine program started.

18 In Finland, you protected adults against
19 epiglottitis. So it's very hard to measure that on a
20 community basis, but for the moment, for the present,
21 looking at the studies, and I don't have access to all
22 of them -- CBER has to do this -- I would say I would
23 not give up less than 2.5 to three micrograms of
24 antibody one month after the primary series because
25 with the fourth injection, you're going to do just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fine, but it's these very special populations for
2 reasons that we don't understand that have the disease
3 at a young age where we're not nearly as efficient as
4 we could be in getting those last cases.

5 But I would have a number of -- I picked
6 a number, 2.5 to three. Maybe CBER will do much
7 better than I do, but I would not give up an inch on
8 those. I would not go below those.

9 CHAIRMAN GREENBERG: Dr. Heath.

10 DR. HEATH: And I just agree that the
11 clinical significance will depend on the population so
12 that in Europe, well, in Germany, the United Kingdom
13 and probably in the general U.S. population, the
14 clinical significance would be very small, but in the
15 Alaskan population it would probably be very high and
16 just support really the need for ongoing tight
17 surveillance post implementation.

18 CHAIRMAN GREENBERG: Nothing to add?

19 Dr. Levine.

20 DR. LEVINE: Yeah, I guess that in
21 following up to that comment, the issue is as Jay
22 pointed out you have to have both increased
23 susceptibility and continued transmission, and so that
24 in environments like the U.S. where the general
25 population in the U.S. where Hib colonization is quite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 low, it may be quite a while before you saw any
2 clinical significance of a change.

3 On the other hand, if the significance of
4 that change is to create a cohort of children that
5 aren't protecting against colonization, if there are
6 reintroductions and then we reestablished
7 transmission, you may then find the clinical
8 significance appearing, and it's only through
9 surveillance that we would be able to detect that.

10 CHAIRMAN GREENBERG: Dr. Snider. Hold on.
11 Go on Dr. Steinhoff.

12 DR. STEINHOFF: Yeah, I agree with
13 everything that's been said. I think I would urge
14 that in the interest of simplifying the schedule
15 reducing the shots and so forth, if you're considering
16 adopting a combination vaccine, that's fine.

17 I would agree with what you said, Harry.
18 that you're unwilling to give up even 25 cases. The
19 point I would add to that is it seems likely that if
20 we accept somewhat less immunogenicity and perhaps
21 perhaps a few more cases, we have to be careful that
22 those cases are likely to appear in the high risk
23 population so that they do need a special
24 consideration either with a different schedule or with
25 a different threshold.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We've heard a threshold now of two to
2 three. Would that include 95 percent of the high risk
3 population? I don't know.

4 So that I think this is a more detailed
5 answer than we've been asked, but I'm unwilling to
6 give up effectiveness, especially in populations that
7 we know are at high risk. How this translates into
8 policy I'm not sure.

9 CHAIRMAN GREENBERG: Dr. Stein.

10 DR. STEIN: I just want to correct for the
11 record that I'm not an official member of the panel
12 because I am an FDA employee, but I have been studying
13 conjugate vaccines for over 20 years, and I think that
14 that's the reason I'm here.

15 I don't want to add anything to the
16 discussion on this point.

17 Thank you.

18 CHAIRMAN GREENBERG: And I agree with most
19 of the comments that have been said. I guess the only
20 other point I would raise is that if more and more
21 vaccines are going to be added to these combinations,
22 one might anticipate increasing opportunity for
23 immunogenicity to be lost in the future so that
24 holding immunogenicity at the present is not a bad
25 idea because this same thing could happen the next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine that you add.

2 And so it would be best to try to maintain
3 immunogenicity.

4 DR. FLEMING: Can I just -- to reinforce
5 that, following what you called immunogenicity -- what
6 was your expression?

7 CHAIRMAN GREENBERG: Creep.

8 DR. FLEMING: Creep, and I used a
9 different term. I called it the slippery slope in
10 equivalence trials, the same exact concept. You do
11 successive equivalence trials, and you can be
12 increasingly ineffective.

13 If you use an adequately rigorous
14 criterion for noninferiority, then generally your
15 point estimate has to be the same or better to satisfy
16 that criterion, and so if you're using standards that
17 are rigorous, that is the best way to avoid the creep
18 phenomenon.

19 MS. FISHER: I would like to ask Dr.
20 Robbins one question.

21 CHAIRMAN GREENBERG: Okay.

22 MS. FISHER: Dr. Robbins, is it
23 biologically possible for the Hib organism to mutate
24 into a vaccine resistant form in the future?

25 DR. ROBBINS: Anything is possible. Is it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 probable? I don't think so, but there have been very
2 good studies from England with Haemophilus, and I'm
3 not sure what lab it comes with, but meningococcus,
4 but it might be capsule switching, might be, but is it
5 probable? I doubt it.

6 Must we look for it? Of course.

7 DR. FERRIERI: I might add to that that
8 the capsule switch has been well described in
9 pneumococci and the antibody resistant pneumococci,
10 and as Dr. Robbins says, it's been discussed regarding
11 meningococcus C, and there's great concern in that in
12 England where they've introduced the monovalent
13 meningococcal C conjugate vaccine that they may,
14 indeed, see a capsular switched with the other
15 meningococcal serogroups.

16 CHAIRMAN GREENBERG: One second, Dr.
17 Stephens.

18 Fortunately there is no time limit on this
19 evening. So --

20 (Laughter.)

21 CHAIRMAN GREENBERG: So tomorrow, however,
22 I am going to be a little bit more ruthless because I
23 have to get home, but if any of you do have plans, I
24 would again -- this is a very, very important problem,
25 and we want to air it completely, but, again,

1 formulate your questions and be crisp.

2 Thank you, Dr. Stephens.

3 DR. STEPHENS: The issue of capsule
4 switching, that was from a meningococcal standpoint an
5 observation of our laboratory and also an in vivo
6 observation from an outbreak occurring in the Pacific
7 Northwest. So it does occur.

8 The question though with Hib is if you
9 have capsule switching, are you switching to an, in
10 essence, nonvirulent capsular type. In our
11 surveillance project in Atlanta, which we've been
12 doing for the last ten years or so, we've certainly
13 not seen -- and I think this is CDC-wide as well --
14 we've not seen an increase in other capsular
15 Haemophilus influenza types associated with disease.

16 DR. ROBBINS: Harry, just a point. The
17 other capsule types by in vitro and animal assay are
18 not virulent, and there's not a Haemophilus hole. If
19 you close up the B, the others are not going to be
20 virulent, but it is possible to make a super bug by
21 putting another gene in and making three or four times
22 as much capsule or to make an organism start shedding
23 capsule very quickly, and that's why we must keep on
24 looking.

25 Is it probable? I don't think so. Is it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 possible? Anything is possible.

2 CHAIRMAN GREENBERG: Yeah. In biology
3 that is clearly close to true.

4 DR. STEPHENS: Just as a comment, we've
5 also looked at non-typable nasopharyngeal isolates to
6 look for those organisms that, quote, might be turned
7 off, if you will, in terms of B production, and we
8 really don't find those, and that's another area of
9 concern that has been raised regarding the vaccine.

10 DR. EGAN: And I guess one would have to
11 worry about the acquisition of a non-Haemophilus caps
12 in the title to Haemophilus (phonetic). Again, these
13 are issues that we have touched on, but if you could
14 please discuss the contribution to efficacy of
15 immunologic memory, the demonstration of comparable
16 functional antibody responses in comparing vaccines,
17 and also the contribution to the eradication or
18 diminution of carriage to the efficacy of the hidden
19 conjugates.

20 DR. FERRIERI: Start on this side of the
21 room.

22 CHAIRMAN GREENBERG: Okay. I was just
23 going to see how long it would be before Dixie got
24 mad.

25 (Laughter.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SNIDER: Well, I'm only going to be
2 here about five more minutes. So --

3 CHAIRMAN GREENBERG: Okay. Dixie.

4 (Laughter.)

5 DR. SNIDER: So, you know, if you are
6 going to let me have my say, I mean, clearly the
7 answer to this would require that you line up the Hib
8 conjugate vaccines and compare them head to head and
9 see if the priming and functional antibody responses
10 and eradication of carriage correlates with the other
11 traditional measures of efficacy and the surrogate
12 markers and so forth, and we don't have that kind of
13 data.

14 So we don't -- and, in fact, what we have
15 is a little bit confusing. For example, with regard
16 to eradication of carriage, I would think based on
17 what I've heard all day that that would be very
18 important in trying to get this herd immunity that
19 seems to be important for reducing Hib disease since
20 we haven't been able to get 100 percent coverage
21 any population.

22 But yet what we saw from Alaska didn't
23 really show a correlation between -- at least it
24 appeared not to show a correlation between efficacy
25 and preventing disease and efficacy and reduction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 carriage.

2 Now, it may be that one has to take
3 another stronger step in potency to be able to reduce
4 carriage in that particular population with the
5 vaccine or it may be that in that population it's not
6 possible given all of the socioeconomic factors that
7 are promoting the persistence of carriage. It may not
8 be possible to do it with the vaccine. You just don't
9 know.

10 But generally speaking, I would say that
11 conjugate vaccines, any vaccine, but conjugate
12 vaccines that reduced or eradicated carriage I would
13 have greater confidence in.

14 I'll let other people speak to the
15 immunologic issues and just briefly say that it seems
16 to me that demonstration priming is important because
17 this is an acute disease which can develop rather
18 rapidly, and theoretically I would like -- would
19 presume that having a number of primed memory cells
20 around would be quite useful in preventing rapid onset
21 of invasive disease.

22 And of course, with regard to functional
23 antibodies, I would presume that the antibodies with
24 greater avidity would be better than antibodies with
25 lower avidity, and there already is some evidence that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 isotype is important, and I think IgG-1, for example,
2 is supposed to be important.

3 DR. EGAN: I think in part what was meant
4 by this question, certainly elimination of carriage is
5 the basis, you know, for the herd immunity, and it's
6 quite important for that for, you know, children who
7 are not immunized and for children who failed to
8 respond, but is diminution or eradication of carriage
9 important to the individual who's vaccinated? Is this
10 another parameter that should be examined?

11 DR. FLEMING: Just from a mathematical
12 modeling perspective, if we have a two log drop, which
13 is essentially what the data are suggesting we have
14 achieved with the current vaccination strategy, and
15 if, in fact, -- and Dr. Robbins is claiming that it
16 could be more than one of those two logs could be
17 attributable to the pressure, the burden -- the
18 benefit of that is not only in reducing risk to those
19 who aren't vaccinated but those who are vaccinated
20 have less baseline risk that you're trying to protect
21 against. So it should matter for all of the patients.

22 DR. EGAN: That's what I wanted to include
23 in this discussion.

24 MS. CHERRY: Dr. Edwards is filling in
25 while Dr. Greenberg is out of the room.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. EDWARDS: Dixie, are you finished?
2 No? Okay.

3 Diane.

4 DR. GRIFFIN: I think that priming has to
5 be good, but I don't think priming or I didn't hear
6 data that convinced me that priming was enough. I
7 think that your most comfortable people have antibody
8 on board at the time, at decent levels at the time
9 that they're challenged with the organism. So I think
10 that I wouldn't accept just evidence of priming as
11 being indicative of the efficacy of the vaccine.

12 I mean obviously better antibody is
13 probably better than bad antibody, but again, I didn't
14 think we heard a lot of data on showing the different
15 antibodies had remarkably different effects as far as
16 predicting.

17 As far as eradication of carriage, for the
18 individual, I mean, for many kinds of prophylaxis
19 against disease, eradication of carriage actually is
20 not something you achieve in the individual who is
21 immunized often. It's more important for those around
22 that individual for ability to spread that that
23 individual be protected if they have adequate antibody
24 to protect from invasion even if they continue to
25 carry the organism.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. EDWARDS: David.

2 DR. STEPHENS: I don't have anything else
3 to add. I agree with what's been said about Parts A
4 and B. I again would emphasize the importance of
5 eradication of carriage, and as a correlate of the
6 effectiveness of this vaccine and one that has not
7 been greatly emphasized in terms of data.

8 For example, this issue of three
9 micrograms as being protective against carriage, as I
10 understand it, is largely animal work, and there's
11 really no good data that we've heard today about
12 mucosal levels of antibody and the correlation with
13 prevention of carriage, which I think is a very
14 important issue.

15 DR. EDWARDS: Dr. Estes.

16 DR. ESTES: I don't have much to add. The
17 issue of eradication of carriage in the individual
18 me, I agree with Diane that there are many vaccines
19 that are effective in terms of the population where
20 you're inducing herd immunity and you're lowering
21 overall carriage, but I don't think that that has to
22 be a factor for the efficacy of a particular vaccine
23 in the individual.

24 DR. EDWARDS: Steve.

25 DR. KOHL: I would just urge that more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON D C 20005-3701

1 studies be done in these areas. Obviously, there is
2 a fair bit of controversy, and we have a lot of smart
3 people in the field. I think we ought to have some
4 answers to this forthcoming, and I think animal
5 models, as well as human studies will be helpful.

6 DR. EDWARDS: Dr. Kim.

7 DR. KIM: I agree with everything said
8 about this topic. I guess I would add one more. That
9 is, I think based on the cases, Haemophilus influenza
10 Type B disease, it appears that preemies are increased
11 risk. So with the patients, again, either they are
12 fully immunized or partially immunized. Cases appear
13 to be predominantly in preemies, and there are
14 possibly issues related to A, B, and perhaps C.

15 So I would, you know, urge to include that
16 population for studying these issues.

17 DR. EDWARDS: Dr. Faggett?

18 DR. FAGGETT: I agree with the previous
19 speakers. I think this question though emphasizes the
20 fact that we, as most of the speakers today, have
21 really come to consensus that immunogenicity studies
22 can be used in lieu of field efficacy trials.

23 That being said, I would like to see these
24 areas really being looked at and more evidence base
25 with the field trials and all of that. If you want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 go with the immunogenicity studies, then it's even
2 more important that these be looked at very seriously.

3 MS. FISHER: Yeah, I think we have to have
4 more lab data before we get more clinical data, and I
5 think that, you know, parents when they bring their
6 child for vaccination and they accept the vaccine
7 risk, a risk of a reaction, they assume efficacy.
8 That's the reason they're doing it, so that their
9 child is protected, and if there is any sacrifice,
10 temporary or otherwise, in efficacy, I think parents
11 are going to -- it's going to reflect poorly on the
12 whole system, the whole vaccine system, and I think
13 parents will come back for more shots if they are
14 convinced that that vaccine is going to protect their
15 child, as well as everything that is being done to
16 limit vaccine reactions.

17 CHAIRMAN GREENBERG: Cathy?

18 DR. EDWARDS: I think Dr. Insel clearly
19 showed us that the primary immune response and the
20 memory response are closely linked. So I think that
21 that suggests that a good vaccine that makes a good
22 primary response is probably going to induce good
23 memory, and again, it's hard to know exactly how quick
24 memory can come and how many organisms you have and
25 how quickly they invade.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I think that is important. I think in
2 general the avidity also shows that it does increase
3 with vaccinations. In general the more immunogenic
4 vaccines have the greater avidity. So those, again,
5 are hand in hand and likely important in eradication,
6 does certainly contribute to the importance of the
7 efficacy of the vaccine.

8 CHAIRMAN GREENBERG: Dr. Eickhoff.

9 DR. EICKHOFF: Well, A, B, and C are
10 almost surely important, and C may, in fact, turn out
11 to be the most important. I will look forward to the
12 day when some future Vaccines Advisory Committee
13 probably at least a decade hence will be able to parse
14 out the relative contribution to efficacy of those
15 three components.

16 CHAIRMAN GREENBERG: Sage, sage words.

17 Dr. Ferrieri.

18 DR. FERRIERI: No words of wisdom, but I'd
19 like to go on record as requesting more support from
20 the federal agencies and more money for FDA and from
21 NIH to support basic immunologic studies in this area.
22 This is really critical.

23 I see ourselves all with gray hair coming
24 back ten years from now. All of the new vaccines will
25 create more complex issues for us, and so we're going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to continue to spin our wheels unless we very
2 proactively dissect these issues out from the
3 beginning, not at this stage after introduction.

4 I'm very supportive of post marketing
5 studies, but also very proactive studies up front for
6 any new vaccines that come along that we know are
7 about ready to burst out.

8 CHAIRMAN GREENBERG: Dr. Fleming.

9 DR. FLEMING: Well, I think from the
10 perspective of immunogenicity looking at measures such
11 as anti-PRP antibody levels and whether it's above
12 .15, certainly that is an important correlate for
13 susceptibility and carriage certainly should be
14 anticipated to be an important measure of pressure or
15 infectiousness.

16 My sense is from what I've heard, and for
17 example, look at the Finnish data, and it appears that
18 there's more going on than just specifically I call it
19 accrued measure of percentage that achieved .15, and
20 it's my sense that more fine tuning here, greater
21 knowledge as we've indicated in Part B of functional
22 antibody responses.

23 I guess ultimately what I would like to
24 see is be able to get at is the most informed causal
25 mechanism for reducing susceptibility in B and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 most specific causal mechanism for infectiousness when
2 we're talking about carriage in C.

3 CHAIRMAN GREENBERG: Dr. Insel.

4 DR. INSEL: I don't think we've defined
5 the role of memory and efficacy at this time. As I
6 said, we don't know the incubation period for this
7 disease.

8 I would challenge this group to think back
9 to is there anything we can learn from epiglottitis in
10 adults and why that was occurring in adults or some
11 lesson to be learned from that. I don't have any
12 answers, but it's something I've puzzled about some
13 time.

14 Also it's important to point out as one
15 gets older, even though antibody to the capsular
16 polysaccharide is primarily the protective antibody
17 here, one is making antibodies to other antigens on
18 the surface of the bacteria that can also provide
19 protection.

20 One quick comment on avidity. I just want
21 to put it in perspective. When one immunizes with a
22 protein antigen, we're talking about a change in
23 avidity of three, even four logs. What we're talking
24 about with a polysaccharide is very different. It's
25 really fine tuning. It's usually less than a log.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so as we measure this in vitro, and I
2 think one can rig systems either based on
3 bacteriocidal activity of opsonic activity to detect
4 these differences, it a real question as to whether
5 this is clinically meaningful. I just want to point
6 out that this is true not just for this
7 polysaccharide, but it's true for all polysaccharides.
8 It's the nature of hydrophylicity and hydrophobicity
9 (phonetic) if you can't raise it.

10 As far as isotype, I don't think we've
11 seen any real differences here. IgG-1 and IgG-2, both
12 can confer protection. I don't think that's a real
13 problem

14 CHAIRMAN GREENBERG: Dr. Heath.

15 For the record, Dr. Heath has nothing
16 add.

17 Dr. Robbins. Same?

18 DR. ROBBINS: I agree with Dr. Eickhardt.
19 I'm waiting to see how we can measure memory and
20 we can relate those two, but I'm patient.

21 CHAIRMAN GREENBERG: Dr. Levine.

22 DR. LEVINE: Yeah, I can't really comment
23 beyond what's already been said on the priming and
24 functional antibody responses. In terms of
25 eradication of carriage or more properly protection.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 against colonization, I think that we are at an issue
2 -- we have an issue here where we don't have a good
3 serologic correlate even close to what we have for
4 protection against invasive disease, and I think
5 that's a research gap.

6 Some people have pointed out that animal
7 data suggests that it's two or three, and there
8 haven't been human data. We did recently do an
9 immunogenicity trial in Dominican Republic where Hib
10 was not a routine vaccination, and there's still
11 substantial Hib colonization. We vaccinated 600
12 children, bled them with three doses of PRP-T at ages
13 two, four, and six months.

14 We bled them at seven months, measured
15 their serum antibody levels and then collected NP
16 swabs to look for Hib colonization at age nine months,
17 and we found that although the GMCs in the overall
18 population were over nine, all of the Hib colonized
19 children had antibody levels at age seven months less
20 than five micrograms, and the difference in protection
21 between those less than five micrograms and those over
22 five micrograms was quite significant.

23 I don't know if that means that five is
24 your magic number, but I do think that what it
25 suggests is that the threshold for protection against

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 colonization measured by serum antibody might be quite
2 a bit higher than what it is for invasive disease, and
3 that if you think about slipping, you know, your
4 thresholds for what you consider an important
5 threshold for vaccines, that may end up becoming a
6 consequence of that.

7 DR. FERRIERI: May I ask Dr. Levine a
8 question? Did you have any studies of nasal
9 secretions to examine mucosal antibodies?

10 DR. LEVINE: No, we did not.

11 DR. FERRIERI: They're secreted
12 antibodies.

13 DR. LEVINE: No, we did not.

14 CHAIRMAN GREENBERG: And Dr Robbins.

15 DR. ROBBINS: This has been well studied.
16 Almost all of the antibody in almost all people that
17 are in secretions in the respiratory tract are from
18 the serum IgG. Conjugates do not induce secretory IgA
19 antibodies to any degree. I mean you can't say no,
20 but I think the level is so low and occurrence in
21 individuals is so few I don't think it's important.

22 Serum IgG participates in mucosal
23 immunity. I think I would not dwell on that too long.
24 There's just no evidence that it has any
25 participation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: One moment Dr.
2 Granoff.

3 We're polling the panelists now. Dr.
4 Stein?

5 DR. STEIN: I just want to make a few
6 quick observations. These conjugates work in infants
7 because they shift the basic biology of the immune
8 response from a thymus independent antigen to a thymus
9 dependent response, and memory is an integral part of
10 that, and what you normally see with a thymus
11 dependent response, in addition to memory, is the
12 generation of high affinity antibodies. These are
13 usually accompanied by mutations in the antibody genes
14 and selection for high affinity antibodies.

15 So I think memory is very important, and
16 we've shown in our mouse models that when you prime
17 with a conjugate vaccine, and we have a marker in the
18 mouse for the T dependent response, that is, an IgG-1
19 antibody, you don't have this in humans. When you
20 prime with a conjugate vaccine, the cells are switched
21 to make IgG-1.

22 And when you transfer B cells from a
23 primed animal into a naive recipient, you can boost
24 the G-1 response with pure polysaccharide just as with
25 conjugate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So the cells are switched, and memory is
2 an integral part of the biology of the thymus
3 dependent conjugate vaccine.

4 In terms of the avidity, isotype and so
5 on, when you measure the response to an antigen,
6 you're looking at the combination of concentration and
7 affinity, and unless you look specifically, you don't
8 know whether you're seeing a little bit of high
9 affinity antibody or a lot of low affinity antibody,
10 and so I would encourage people to generate more data
11 on the affinity in the antibody in various situations
12 with single vaccines and combinations.

13 And I think Dick Insel had mentioned that
14 the isotype, both G-1 and G-2 are protective, and I
15 haven't seen any data that suggests there are major
16 differences there, but I think we do need more data on
17 the avidity.

18 I would also like to add for Dr. Ferrier:
19 that through the generosity of the National Vaccine
20 Program Office I am doing some studies on combination
21 vaccines, and I will present some preliminary data
22 next week at the combination vaccine meeting.

23 I hope that if we do establish a
24 reproducible mouse model, and I think that's what's
25 needed, then we can begin to answer the questions Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Fisher has asked at various meetings: what is the
2 underlying mechanism by which we're getting protection
3 with these vaccines?

4 And hopefully we will have that data. I
5 agree we need more studies.

6 CHAIRMAN GREENBERG: We are maybe losing
7 a few panelists. So I don't want to go a lot slower.

8 Dr. Granoff, I hope this is -- I want this
9 pithy.

10 DR. GRANOFF: Pithy. But this is just a
11 little history on --

12 CHAIRMAN GREENBERG: History is not pithy.

13 (Laughter.)

14 DR. GRANOFF: Well, no, because you
15 this question is very germane to, I think, a question
16 that I spent many years addressing, and that is the
17 plain Haemophilus poly saccharide vaccine story.

18 I mean after that vaccine was licensed,
19 mean, I collected hundreds of cases of children
20 were two to five years of age who developed invasive
21 Haemophilus disease despite getting the polysaccharide
22 polysaccharide, and within months after the
23 introduction of conjugate vaccines for the same disease
24 the disease virtually disappeared, and it took
25 years to get ten cases.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And if you asked the question what is the
2 big difference between conjugate vaccine and plain
3 polysaccharide, you remember we're looking at children
4 two to five who are making pretty good antibody
5 responses to the plain polysaccharide. What is the
6 difference?

7 The conjugates give immunological memory.
8 The conjugates give high avidity antibodies that are
9 more functional. They give predominantly G-1, and
10 they also affect carriage. So I think these factors
11 are all very important in the effectiveness of
12 conjugate vaccines that need to be taken into
13 consideration.

14 Okay, and I actually agree with you. I
15 think the key at least as a noninvestigator in this
16 field is I'm not able to parse out which parts -- the
17 conjugates do affect all of that -- but which are the
18 operative modalities in decreasing rates in not clear
19 to me, and yes, they affect carriage, and they affect
20 isotype, and they affect isotype avidity, but I have
21 not heard a lot of data that would enable anybody here
22 to say it's equal parts of all of them; it's 99
23 parts/one, and that's what we need to know if we're
24 going to move forward to know what part of the
25 conjugate can't be sacrificed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Okay. Number three.

2 PARTICIPANT: I thought we were --

3 CHAIRMAN GREENBERG: Oh, number four. No,
4 we're on three. We're on three. We're on three.
5 Wishful thinking, big guy.

6 DR. FAGGETT: Harry, can we possibly get
7 CM credits for the day?

8 (Laughter.)

9 CHAIRMAN GREENBERG: You know, you've
10 buttered your bread.

11 DR. EGAN: You get two for every hour past
12 six.

13 There's a lot of historical data
14 demonstrating variables in levels of serological
15 responses with the currently licensed conjugate
16 vaccines. How should we view or can we view, use this
17 variability in considering the lowered immune
18 responses that have been observed in the comparative
19 trials.

20 And I guess we've seen some data from Dr.
21 Granoff on some lowered responses with one vaccine.
22 We've seen much data on variations between vaccines
23 from four different vaccines.

24 CHAIRMAN GREENBERG: Diane?

25 DR. GRIFFIN: I thought maybe you were

1 going to start on the other end.

2 CHAIRMAN GREENBERG: I'm a righty.

3 DR. GRIFFIN: Well, I don't think I can
4 really address this. I think in some ways it's a very
5 interesting issue. I think in some ways it's a
6 mathematical issue to try to figure out how these --
7 whether this variability all fits within a bigger
8 picture of variability.

9 And I guess the only thing I would be
10 concerned about is whether it's telling us something
11 substantive about changes in the population perhaps or
12 the fact that the organism isn't around so much and
13 that you perhaps don't get as good an immune response,
14 but basically I think it's a mathematical approach
15 that I can't help with.

16 CHAIRMAN GREENBERG: Dr. Stephens.

17 DR. STEPHENS: I really don't have much to
18 add to what's already been said because I think we've
19 addressed a lot of this particular issue other than
20 there may have been some clear effect upon the
21 influence of the organism and its ability to induce
22 priming or on cross-reactive organisms as has been
23 mentioned earlier.

24 CHAIRMAN GREENBERG: Dr. Estes?

25 DR. ESTES: I have nothing to add.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: Dr. Kohl.

2 DR. KOHL: I think I've said what I can
3 say.

4 CHAIRMAN GREENBERG: So you're seeing the
5 panel tiring here.

6 (Laughter.)

7 CHAIRMAN GREENBERG: I knew we could wear
8 them down eventually.

9 (Laughter.)

10 CHAIRMAN GREENBERG: Dr. Kim.

11 DR. KIM: I think some may be real. Some
12 may be mathematical as was said, but I think this
13 issue has been -- questions have been raised in
14 earlier discussions, is that some of the variability
15 that we have seen we clearly do not understand the
16 biologic basis for that. I think that, you know, is
17 a big puzzle that certainly requires considerable
18 investment in finding the answer.

19 CHAIRMAN GREENBERG: Dr. Faggett.

20 DR. FAGGETT: As a practicing primary
21 pediatrician, I have no comment on this question.

22 CHAIRMAN GREENBERG: Ms. Fisher.

23 MS. FISHER: I just think we should
24 be concerned about the under six month age group and
25 at what other factors have changed in the past decade.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that might be contributing, and I mentioned before
2 Hepatitis B vaccine, and I still think we should look
3 at that.

4 CHAIRMAN GREENBERG: Dr. Edwards?

5 DR. EDWARDS: There's been variability in
6 interpretation of this assay from the time when I had
7 black hair. It's been going on for at least two
8 decades.

9 CHAIRMAN GREENBERG: And from the time
10 when I had hair.

11 (Laughter.)

12 CHAIRMAN GREENBERG: Dr. Eickhoff.

13 DR. EICKHOFF: Only one thought to add.
14 I think the decreased immunogenicity of the
15 combination vaccines is real and something you need to
16 pay attention to, and we are, rather than just part of
17 the broad background of biological variability that we
18 see with all vaccines.

19 CHAIRMAN GREENBERG: Dr. Ferrieri.

20 DR. FERRIERI: I feel we have said it all.

21 CHAIRMAN GREENBERG: Dr. Fleming.

22 DR. FLEMING: Well, I'd say the goal is to
23 sort out the signal from the noise, and both are
24 impacting variability, both true effects that cause
25 estimates to differ, as well as noise that can occur,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 random variability, and my sense looking at all of
2 this data is that there is clear signal that the Hib
3 responses are lessened through combination vaccines
4 even in the presence of the noise that exists.

5 The challenge was what we were discussing
6 in the other questions. What caused the signal?

7 CHAIRMAN GREENBERG: Dr. Heath.

8 DR. HEATH: I have nothing to add.

9 CHAIRMAN GREENBERG: Dr. Robbins.

10 DR. ROBBINS: In a study in Chile the
11 Haemophilus-tetanus conjugate was injected mixed with
12 DTP and the aluminum where it projected separately,
13 and three weeks after the third injection the
14 difference between the groups were ten micrograms for
15 the separate injection and three for the combined
16 injection, but at 18 months there was no difference,
17 and there were protective levels.

18 I think we have seen very few studies
19 about the duration of vaccine induced antibodies. In
20 fact, aside from the one that I put up with six years
21 following an injection, there were none presented
22 today; is that correct? Excuse me. I'm sorry.

23 I think that has to be done. If, indeed,
24 a year or two after the primary injection there is no
25 difference by injecting these combination vaccines,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then I think we can be more reassured.

2 I would also like to see what happens
3 after the fourth injection, and I'll go back to the
4 issue of the Native American children who suffer so
5 much from this early onset disease that I think
6 special consideration should be given to them.

7 I don't think you'll find any reluctance
8 to try to set that up nor monies to finance it.
9 People are interested in trying to see what we can do
10 to protect, and I think the reluctance of some of
11 those, of that population to give blood samples or to
12 give other samples can be overcome if they can be
13 convinced that what's being done is being done for
14 their benefit.

15 CHAIRMAN GREENBERG: Dr. Levine.

16 DR. LEVINE: Nothing to add.

17 CHAIRMAN GREENBERG: Dr. Stein.

18 DR. STEIN: I agree with Dr. Robbins'
19 comments. I think I would also like to know more
20 about the cross-reacting antigens and the role in
21 boosting. Certainly in the early days of evaluation
22 of HbOC there were some children in the studies that
23 appeared to have been self-boosted, and we don't know
24 what antigen was doing that boosting.

25 So I would like to see some more studies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about environmental antigens that are cross-reacting
2 and how that influences the level of antibody in
3 conjugate immunized children.

4 I think in terms of the combination, you
5 know, we are doing studies with combinations of
6 Haemophilus DTaP and IPV in the lab which I will
7 present next week. We are seeing some reductions in
8 both Haemophilus and polio titres, and I think if we
9 can, again, as I say, get a reproducible model we can
10 begin to try to get at the mechanism.

11 As somebody who studied in red mice for
12 many years, there are huge variations in individual
13 titres in inbred mice, and if you don't have a control
14 on levels in inbred mice, it's very hard to expect to
15 have consistent levels in children.

16 So I think we need to get at the mechanism
17 to be able to try to understand what's going on, and
18 hopefully we will be able to do that in mice where we
19 can do controlled experiments in large numbers of
20 animals.

21 CHAIRMAN GREENBERG: Thank you, Dr. Stein.

22 For the record, I agree with most of the
23 comments. I would like to say that I resonate most
24 with Dr. Eickhoff and Dr. Fleming and simply state
25 that the take-home message I'm getting here is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there's a reduction in titre with combined vaccines,
2 and it's there, and it's real, and I think we should
3 not deal with this by saying it's okay, and therefore,
4 we don't have to understand it.

5 It will eventually not be okay as you keep
6 adding more and more combinations. We need to
7 understand the mechanism, and I would assume that some
8 of these mechanisms that are involved in decreased
9 titre will have relevance to other combinations that
10 are in the pipeline, and the sooner we figure it out
11 the better.

12 Can I ask one question? For Native
13 Americans -- this is a questions of ignorance -- is
14 this true for a Native Americans or -- so in South
15 America where the Indian population is huge, the
16 Native American population is hugh. Haemophilus is a
17 special problem?

18 DR. ROBBINS: There's a very good study by
19 George Siber in which they injected the polysaccharide
20 alone into I think it was Apache Indian children
21 Caucasian children in the area, and the difference
22 between the two groups was statistically significant.
23 It's one of the few genetic studies or population
24 studies that show a real difference.

25 Actually the post immunization level :

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 two year old Apache Indians was slightly lower than
2 the pre-immunization level of the Caucasian children,
3 but unfortunately they did not study Apache children
4 who are living off the reservation.

5 CHAIRMAN GREENBERG: So I just wonder
6 because there's such a large Native American
7 population in South America, is Haemophilus a very big
8 problem?

9 DR. ROBBINS: In Australia with Aboriginal
10 people it's exactly the same thing. The attack rate
11 of Haemophilus meningitis is about eight to ten times
12 higher in Aboriginal children in Australia than it is
13 in the Native population.

14 CHAIRMAN GREENBERG: Dr. Daum, we are --
15 no, we're moving on to the fourth question. We may
16 get to it at the very end.

17 DR. EGAN: Okay. Again, we have touched
18 on a lot of aspects of this, but the first part of
19 this question is consider the relevance of the
20 available post marketing data from Europe for the U.S.
21 situation. So how do we interpret the U.K. data, the
22 German data, other foreign data that may exist, and
23 also if you can comment on the utility of
24 epidemiologic surveillance systems and the use of them
25 in studying the Haemophilus disease.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: I assume you're
2 including the U.S. post marketing data.

3 DR. EGAN: Yes, the U.S. post market to
4 this.

5 CHAIRMAN GREENBERG: Okay. Diane.

6 DR. GRIFFIN: I think the post marketing
7 surveillance, certainly the epidemiologic surveillance
8 is absolutely critical. I mean that's how various --
9 I mean it's how things were detected in Alaska as
10 there being an upsurge in this disease.

11 I certainly think that we can pay
12 attention to the European data, but I don't think we
13 can use it for our population because it's just a more
14 diverse population.

15 CHAIRMAN GREENBERG: Dr. Stephens.

16 DR. STEPHENS: I hope this is not implying
17 that we endorse these combination vaccines and,
18 therefore, will then study them in a post marketing
19 kind of situation because I don't think any of us
20 around this table, at least certainly not me, are
21 endorsing that particular concept.

22 There are a number of very fine
23 surveillance studies ongoing in this country as some
24 of you know. The ABCs have been mentioned before.
25 We, for example, began that, an active population

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 based study in 1988 for Haemophilus influenza B
2 disease and have continued that for the last 12 years.

3 What we saw in adults was a decreased rate
4 of Haemophilus influenza B disease. This was
5 bacteremic disease associated with epiglottitis,
6 associated with bacteremic pneumonia in adults in
7 conjunction with the introduction of the conjugate
8 vaccines.

9 That has remained. We virtually do not
10 see in adults Haemophilus influenza B disease
11 currently, and we're continuing that surveillance. I
12 think this is true for the entire ABC surveillance
13 nationwide.

14 So it certainly has had a major impact
15 upon adult disease and continuing that kind of
16 surveillance is obviously important for any of the
17 vaccines that we're currently thinking about.

18 So those are two points.

19 CHAIRMAN GREENBERG: Dr. Estes.

20 DR. ESTES: I had just one other point to
21 add. It sounded like the information from Canada
22 might be interesting. We didn't hear a lot about
23 that. The population there may be a little more
24 similar to the population in the United States. That
25 certainly should be considered.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: Dr. Kohl.

2 DR. KOHL: These kinds of studies are
3 critical. I would urge that they be linked with
4 better year 20 -- 2000 at least immunological data;
5 that especially if we hook in with other societies,
6 Pediatric Infectious Disease Society, the American
7 Academy of Pediatrics.

8 The question was asked: how can we get
9 samples on these kids? Most of these kids, I think,
10 are taken care of in hospitals. Most of these kids
11 are taken care of by, I hope, pediatric infectious
12 disease consultation, and I think those samples, if
13 it's made obvious that they're needed, will be
14 forthcoming.

15 CHAIRMAN GREENBERG: Dr. Kim.

16 DR. KIM: Yeah, I concur with what Dr.
17 Stephens said, that certainly I think that we are not
18 discussing this issue, assuming that these products
19 will be available to the public at this time, but
20 again, with that in mind, I would concur with all the
21 comments that have been made.

22 Certainly the experiences from other
23 countries will be useful, but certainly would not
24 substitute what is going on here.

25 CHAIRMAN GREENBERG: Dr. Faggett.

1 DR. FAGGETT: I would hope that the post
2 marketing data would not only include efficacy but
3 safety issues as well. I don't think we've really
4 talked enough about that today, but here's one area.
5 I think we should emphasize that as well.

6 CHAIRMAN GREENBERG: Ms. Fisher?

7 DR. EGAN: If I can just comment, I don't
8 think we're -- you know, I think we're talking in the
9 context of equivalent safety, not trading off safety
10 for convenience.

11 CHAIRMAN GREENBERG: Ms. Fisher.

12 MS. FISHER: Yeah, and I think that's
13 important when you're looking at combination vaccines,
14 the safety factor, but in terms of the relevancy of
15 the foreign data, I think it could be very important
16 if the studies conducted in other countries would look
17 at vaccine failures and do immune panels and do
18 serological work to find out if there are genetic
19 differences that could possibly apply to our country.

20 And, again, I also agree that we're not
21 talking about post marketing surveillance in terms of
22 assuming that we're going to combine these vaccines
23 without further research.

24 CHAIRMAN GREENBERG: Dr. Edwards.

25 DR. EDWARDS: I basically agree with all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's been said. I think the only other thing that
2 might be -- it's always controversial when you ask to
3 collect DNA on anyone, but I think the vaccine
4 failures, if possible, certainly we're going to have
5 the genome soon, and if possible that might be
6 something that could be looked at as well.

7 CHAIRMAN GREENBERG: Dr. Eickhoff.

8 DR. EICKHOFF: Really nothing to add.

9 CHAIRMAN GREENBERG: Dr. Ferrieri.

10 DR. FERRIERI: In earlier remarks I
11 supported a number of these directions, and I would
12 like to emphasize the importance of some of the
13 genetic susceptibility, and we didn't touch on this
14 because it relates to meningococcal disease, but a
15 relatively good proportion of meningococcal disease
16 may be related to a unique genetic susceptibility, and
17 we don't understand this as well perhaps in some of
18 the Haemophilus studies.

19 CHAIRMAN GREENBERG: Dr. Fleming.

20 DR. FLEMING: I agree with the comments
21 that have been made. It will be very enlightening to
22 have careful surveillance, active and passive
23 surveillance, in understanding and I agree the
24 question does really directly suggest that the
25 strategy will be licensed, and we're answering the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question in that context, and I share the concerns
2 that have been expressed by others about whether that
3 is the right step.

4 But assuming that that step were taken, it
5 would be very important as many presenters have
6 already acknowledged today to do this type of active
7 and passive surveillance to understand, as I see it,
8 all three components here we've been talking about.
9 What is the effect of changing strategies here? What
10 is the effect going to be over time on carriage and
11 the pressure? What is the difference that will evolve
12 in the actual level of protection in those who
13 complete three doses, and what is the impact in those
14 who, in fact, complete less than three?

15 To really best then use that data post
16 marketing, if that's where we end up, then it would be
17 best to have similar type of data pre-marketing to
18 serve as the control. So I strongly endorse that we
19 be attempting to get that information now.

20 I also acknowledge comments that others
21 have said about the European data. It's certainly
22 informative, but there are important differences that
23 can exist in populations and in the vaccine schedules
24 that might be delivered.

25 And, in fact, I haven't heard enough to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 as confident as we would need to be about the
2 surveillance system in place there and how effective
3 it is in capturing. If what we are talking about, as
4 I have heard stated several times today, is
5 sensitivities to small increased because even small
6 increases would be unacceptable, is what I'm hearing
7 today.

8 That means you have to have a surveillance
9 system in place that is very sensitive, and I would
10 need to know a lot more about the European systems
11 that have been in place to know whether they would
12 satisfy that criterion.

13 CHAIRMAN GREENBERG: Dr. Heath.

14 DR. HEATH: Well, perhaps we could talk
15 more about the European surveillance system
16 afterwards. Clearly we believe that post marketing
17 surveillance is very important, and also not a
18 particularly expensive tool to implement.

19 CHAIRMAN GREENBERG: Dr. Robbins.

20 DR. ROBBINS: Just one comment. The
21 number of vaccine failures in the United States
22 children that have been fully vaccinated where we know
23 the history are very few, very few. Most of the
24 vaccine failures are due to incomplete vaccination
25 other things. I doubt very much if we're going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have any substantial information from attacking that
2 problem.

3 It would be very difficult to get those
4 samples, and you won't get many of them

5 CHAIRMAN GREENBERG: Dr. Levine.

6 DR. LEVINE: Thanks.

7 I think I would just echo the points that
8 the surveillance is critical, and David Stephens
9 pointed out that post marketing surveillance for
10 invasive disease is good, but I also see us having
11 some gaps here that there's incomplete surveillance
12 for changes in susceptibility. Basically what I mean
13 are changes in immunogenicity of vaccines as they are
14 routinely used, and there's very little support for
15 surveillance for colonization, and I think we're about
16 to introduce pneumococcal conjugate vaccines and
17 perhaps meningococcal vaccines, and maybe we ought to
18 be thinking about this as a lesson in forethought for
19 those.

20 CHAIRMAN GREENBERG: Dr. Stein.

21 DR. STEIN: It seems that I have the last
22 work. I'd like to just thank everybody who's shared
23 their data today. I think it's been a very helpful
24 discussion.

25 CHAIRMAN GREENBERG: You don't have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 last work.

2 DR. STEIN: Oh.

3 (Laughter.)

4 CHAIRMAN GREENBERG: But thanking
5 everybody --

6 DR. EGAN: This isn't even the last
7 question.

8 CHAIRMAN GREENBERG: It's not even the
9 last question.

10 So I have nothing to add to this
11 surveillance. Post marketing surveillance is critical
12 in almost all cases of vaccination and certainly in
13 this case.

14 Now, there is a last question which is
15 sort of an amazing question here. It's the stop gap
16 question. It's have you missed anything.

17 DR. EGAN: Yeah, I mean this is where we
18 make up for our -- this is where we at FDA make up for
19 our ignorance and ask you where are we missing the
20 point.

21 PARTICIPANT: Where are we missing the
22 boat.

23 CHAIRMAN GREENBERG: So I have interrupted
24 people along the way, and this is a chance.

25 DR. EGAN: But, yeah, but if -- Dr. Levine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just a little while ago presented some very, very
2 interesting data about serum antibody levels and
3 carriage from his study in the Dominican Republic, and
4 I think if anybody else has additional data similar to
5 that, it would be very nice to hear it as we cover
6 this stop gap question.

7 CHAIRMAN GREENBERG: Can I ask a question
8 about carriage, which was obviously very important and
9 has been mentioned just in passing?

10 Am I correct that basically most of the
11 data on measuring carrier state is simply a yes/no
12 carrier state, not a quantitative carrier state?

13 And if that is the case, is quantitation
14 important to this or is it the yes/no is all you need
15 to know?

16 DR. FERRIERI: I think quantitation is
17 important, and I did work with Haemophilus in rats, of
18 course, years ago, and I think that it's not
19 sufficient to have yes/no, but another technical point
20 is the system you are using to assess colonization to
21 be culture positive.

22 John, Dr. Robbins, may have a comment on
23 this. I have a recollection that he had provided us
24 with very potent antibody, and that you can
25 incorporate it into auger and then look for halos

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 around the organism, and, John, is that a good way to
2 be assessing carriage rather than just using, you
3 know, a Haemophilus isolation auger?

4 Can you comment on that?

5 DR. ROBBINS: Just to set the record
6 straight, that technique was discovered by Petri.

7 DR. FERRIERI: Oh, sorry.

8 (Laughter.)

9 DR. ROBBINS: And Margaret Pittman used it
10 to standardize serum, but I think Orin Levine probably
11 has had a lot of experience with using the technique
12 in studying the problem. I wish he would comment on
13 it.

14 DR. LEVINE: Well, we have consistently by
15 the graciousness of the John used antiserum from his
16 Burroughs pool to prepare the Hib antiserum agar
17 plates. The quantification is difficult though.

18 There was a paper by Stonebreaker and
19 Michaels in which they tried to quantitate that. I'm
20 a little bit nervous about it, and I think while it
21 would be nice, it's going to be very difficult. I
22 would think it difficult to interpret those data.

23 When you put the goop on a plate, you
24 know, we try to conserve the antiserum in the
25 preparation of antiserum agar plates, and so we use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the smaller Petri dishes. It's very hard to get a
2 spread so that you could actually count meaningful
3 differences in the numbers of colonies.

4 CHAIRMAN GREENBERG: There's no
5 quantitative PCR techniques that can now be brought to
6 bear on this?

7 DR. LEVINE: I'm just an epidemiologist.
8 (Laughter.)

9 CHAIRMAN GREENBERG: I mean, are
10 quantitative PCR is being used in microbiology all
11 over the place. I would assume it would work just
12 fine here if somebody would work it out.

13 DR. ROBBINS: There are differences in the
14 infectivity of carriers, and that's been documented in
15 many studies, including those done in Jamaica in the
16 1950s and '60s. I'll just tell you one.

17 Parents and siblings of children with
18 meningitis invariably are colonized, but the parents
19 and siblings of a carrier who's asymptomatic are
20 rarely colonized. So there must be differences
21 in infectivity.

22 I would think, Orin, that if you have a
23 plate with one organism or two organisms as opposed to
24 a confluent culture, you could give some guesstimate
25 of how much is on the plate. It might be difficult

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do in the interim, and you can use the large plates as
2 long as the Burroughs syrup holds out, but
3 unfortunately, poor Burrough 132 died after many years
4 of service. He was 26 years old. That's an old
5 monkey.

6 CHAIRMAN GREENBERG: Never had Haemophilus
7 disease.

8 DR. ROBBINS: Well, we always thought
9 Burrough 132 was a he until he had a child, and we
10 thought that might be due to the Haemophilus, but --

11 (Laughter.)

12 CHAIRMAN GREENBERG: Are there other
13 issues on the panel in the audience that you feel will
14 be helpful to the FDA in dealing with this?

15 I'm looking. Do you see anybody? I'm
16 missing -- ah.

17 DR. BOSLEGO: John Boslego, Merck.

18 I just wanted to amplify on some of the
19 comments made by Dr. Granoff on the decline of HbOC
20 over the years. We have not seen that with PRP-OMPC.
21 It's been steady. The decade that we've looked at at
22 the antibody response has been steady.

23 CHAIRMAN GREENBERG: So is this yet
24 another difference in serology between studies?

25 DR. BOSLEGO: It's been very variable from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 study to study.

2 CHAIRMAN GREENBERG: Dr. Fleming, did you
3 have your hand up?

4 DR. FLEMING: Yeah. I think you promised
5 me a 4(b) earlier on.

6 CHAIRMAN GREENBERG: I did. Good memory.

7 DR. FLEMING: And let me just reiterate
8 what 4(b) was, and that was we gave essentially the
9 majority of the panel gave an affirmative answer to
10 1(a), which was that the FDA currently using
11 immunologic correlates of protection to assess
12 efficacy was endorsed and, in fact, 1(a) was
13 specifically referring to using serum antibody
14 concentrations, i.e., anti-PRP levels above .15, and
15 is this still appropriate to assess efficacy?

16 And my question was if, in fact, it is and
17 the rationale as I recall for saying it was based
18 on biological arguments for why this could be the
19 mechanism, although I've heard an awful lot of
20 discussion about how this may be, in my words, a crude
21 overall aspect of what the actual true mechanism is
22 that might be fine tuned by understanding functional
23 antibodies, et cetera, et cetera. What I haven't
24 heard any discussion about is if it's going to be used
25 as the way I would anticipate the FDA would use it as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 has been outlined methodologically by Dr. Horne, one
2 would need to define what level of difference you
3 would allow in order to conclude that you have
4 maintained efficacy at the level you wish to maintain
5 it.

6 And this is really -- as I see it, the
7 arguments for this has really been based more on the
8 susceptibility issue. You're protecting an individual
9 as opposed to what Dr. Robbins had pointed out might
10 be the more influential mechanism of protection in the
11 population for effectiveness, which is achieving
12 reductions in the overall burden or pressure to the
13 population.

14 And there, too, we've just heard something
15 that certainly makes sense to me as well, if I'm
16 quoting Dr. Robbins correctly, that there are
17 differences in -- i.e., just that you're a carrier
18 isn't, in essence, enough to know what the actual
19 infectiousness is. So that, too, is in a sense a
20 surrogate.

21 So if we are endorsing a positive answer
22 to Question 1(a), which if you might not know I'll say
23 directly I have a great reluctance of endorsing that
24 because I don't know what the answer is as to what
25 level of reduction you will allow in this proposed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 surrogate as adequate evidence that you're maintaining
2 efficacy or effectiveness.

3 CHAIRMAN GREENBERG: Well, I think, Tom,
4 you're appropriately pushing people here, and does
5 anybody -- does the FDA want to step up to the plate
6 with this or does -- I don't the panel is exactly who
7 would answer this. It's the FDA who's going to have
8 to figure out are there any answers or is this --

9 DR. GOLDENTHAL: Well, this is Karen
10 Goldenthal.

11 I don't think we have an answer to that,
12 but I believe we've selected the delta ten percent as
13 a way of asking the question of is there a difference.
14 So, you know, selection of any particular delta has an
15 element of arbitrariness, but that's the approach
16 we've taken.

17 CHAIRMAN GREENBERG: I think that's as far
18 as you're going to get.

19 Are there any other thoughts?

20 (No response.)

21 CHAIRMAN GREENBERG: Okay. Well, then I
22 will adjourn this meeting. I want to remind you that
23 tomorrow we start with an important disease, influenza
24 at eight o'clock -- that was a joke -- and it's going
25 to be -- as you recall, this is a very important

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meeting tomorrow. I want you all to be here bright
2 eyed and bushy tailed.

3 Oh, a couple of other announcements.

4 (Whereupon, at 6:48 p.m., the meeting was
5 adjourned, to reconvene at 8:00 a.m., Friday, January
6 28, 2000.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: Vaccines and Related Biological Products
 Advisory Committee

Before: DHHS/FDA/PHS/CBER

Date: January 27, 2000

Place: Bethesda, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

