

1 am using Power Point instead of some slides, so if you will  
2 bear with me a minute.

3 Perhaps, just as a way of introduction, and I  
4 think you would probably rather I used the microphone, I am  
5 Tom Hearn from the CDC, and the area I work in is called the  
6 Division of Laboratory Systems, where I am the Deputy  
7 Director. We have for more than 10 years conducted a  
8 performance evaluation program for laboratories that do  
9 different kinds of HIV testing.

10 Currently there are about 1,000 laboratories,  
11 roughly, a little bit more than that, that participate in  
12 that program. These are clinical laboratories, independent  
13 laboratories, hospital laboratories, as well as laboratories  
14 who are the primary reference laboratories in their  
15 countries. So we have about 140 laboratories outside the  
16 U.S. who participate. For most of the purposes of this  
17 presentation I have tried to exclude their data, focusing  
18 only on U.S. laboratories. Where there is some  
19 international lab data included, I will point it out so that  
20 you are not misled by anything.

21 And how are we doing with technology here? If you  
22 want to get up and stretch, that's okay. It's been a long  
23 morning already. Mr. Chair, is it okay if they just stand?

24 DR. HOLLINGER: We are going to take a 15-minute  
25 break right now. It is a quarter til 11:00, and we will be

1 back at 11:00 and we will start and finish up with this.

2 [Recess.]

3 DR. HOLLINGER: Could we reconvene, please?

4 DR. SMALLWOOD: May I ask all committee members to  
5 please return to the table, please? More appropriately, to  
6 your seats. Please, may I have the attention of the  
7 audience? We are ready to reconvene.

8 DR. HOLLINGER: I'm sorry, Dr. Hearn. I think we  
9 will go ahead and start with your presentation, please.

10 DR. HEARN: Thank you, and frankly, I appreciate  
11 having had the break. It worked for me, and I believe that  
12 there was scientific evidence that others appreciated it as  
13 well.

14 What I want to talk to you about, really, is  
15 Western blot testing performance from a national  
16 perspective, how well do labs perform, and how do they do  
17 it. I think I would like to start off, we have kind of  
18 given you the broad message, as you know, HIV testing has  
19 really done very well, and it has done well for a lot of  
20 reasons. The technology is really very good. Labs are  
21 really committed to quality assurance, as is the Department,  
22 and in fact I think another contribution has been that we  
23 have really worked carefully to have evidence-based policy  
24 decisions which enable the outcomes to really be really very  
25 good.

1           So, in summary, what I am going to talk about are  
2 Western blot testing practices primarily in the United  
3 States laboratories, how much testing, how they do it, what  
4 kind of criteria are they using now, and also then give you  
5 some data about test performance. And clearly our focus in  
6 this meeting is on the analytic performance, and it is kind  
7 of interesting.

8           It is very important, but when you think about a  
9 laboratory test, so often we have put a lot of energy into  
10 how well the lab does its part and we don't talk much about  
11 pre-analytic considerations and post-analytic  
12 considerations, and they, particularly in clinical settings,  
13 are very important. If samples sit out too long, does it  
14 have an adverse consequence on the test result, no matter  
15 how well the laboratorian did the test? So I only bring  
16 that up to say we are not talking about that part here. We  
17 are going to focus on the lab performance part.

18           Most of the data, essentially all of the data that  
19 I am going to present to you this morning comes from the  
20 CDC's Model Performance Evaluation Program for HIV Testing.  
21 As I said earlier, this program has been running for more  
22 than 10 years now, incorporates about 1,000 U.S.  
23 laboratories as well as laboratories from around the world.  
24 And, again, these data only give you one more piece of the  
25 puzzle. We really heard good presentations earlier today

1 that laid out other important considerations, as well.

2 Well, why do we do the performance evaluation  
3 program? It is an important, challenging quality assurance  
4 component for laboratories, and in that respect we think it  
5 is a way to help prevent mistakes from happening. If  
6 laboratories can closely monitor how well they are doing  
7 testing by external quality assurance efforts, we think that  
8 is beneficial. They can detect problems before they become  
9 real problems.

10 And then, last, I think it gives us all some data.  
11 It gives us a way to monitor how testing is done and how  
12 well it is done, so that way we see that changes are  
13 occurring. We can come up with good decisions about which  
14 direction to go next.

15 I am not going to spend a lot of time on this. We  
16 take a lot of steps so that the data we do get from this  
17 program fairly accurately reflect day-to-day practice, day-  
18 to-day accuracy. What we do is mail out samples to  
19 laboratories and people say, fairly skeptically, say, "Well,  
20 you know, labs know that these are performance evaluation  
21 samples. Don't they do their best job?"

22 We instruct laboratories really to treat them  
23 routinely. This is a voluntary program. It is not a  
24 regulatory proficiency testing program. We use samples that  
25 really closely mimic exactly what they get in their

1 laboratories every day, and we do a lot of pretesting of the  
2 samples and the donors who are giving us the blood to make  
3 the samples, so that we have pretty good assurance of the  
4 HIV infectivity of these samples.

5           In terms of an epidemiologic study, the data that  
6 I am showing you today probably could be thought of as a  
7 convenience sample because they are participants in a  
8 voluntary program. Nevertheless, we think that probably  
9 more than 70 percent of all U.S. laboratories voluntarily  
10 participate in this program. The data come from two really  
11 kind of different sources.

12           Every two years we have mailed out a  
13 questionnaire, a fairly lengthy testing practices  
14 questionnaire, to get really good data about how labs do  
15 tests, how many tests do they do, what sorts of quality  
16 assurance practices do they have. And these data that I  
17 will show you today are from March of '99, and then we also  
18 mail out samples twice a year for evaluating performance.  
19 The data that I will share with you today are from August of  
20 '98 and January of '99.

21           To repeat that we mail out samples two times per  
22 year. Each laboratory will receive samples in a shipment,  
23 and these samples can be a combination of any of these.  
24 They can have strong HIV antibody reactivity, weak  
25 reactivity, negative samples, as I show here.

1 Well, who are the laboratories that are  
2 participating? And this is U.S. laboratories. As you can  
3 see, they are predominantly hospital laboratories, followed  
4 by independent laboratories, public health laboratories,  
5 blood bank laboratories, and a group of others which I  
6 believe includes all U.S. manufacturers. It does, I know,  
7 for EIA testing, and I believe it does for Western blot  
8 testing as well.

9 How much testing do these laboratories do?  
10 Clearly, Dr. Stramer showed us that the Red Cross is  
11 involved in a lot of testing, but that is one part of  
12 testing in the U.S. In fact, we have estimated that there  
13 are probably about 40 million HIV tests done a year. That  
14 would include in the blood bank setting.

15 If you look at the red bars, we ask labs, "Could  
16 you just tell us in a representative month, a recent  
17 representative month, about how many samples you test?"  
18 This is the kind of distribution you get, and this is for  
19 laboratories that also do Western blot testing, this  
20 distribution is. And in the white bars, you can see the  
21 distribution for how many samples a month are tested by  
22 Western blot. Just as a crude estimate, if you took  
23 midpoints and multiplied it times the frequency and then 12  
24 months a year, you would come up with roughly about 400,000  
25 Western blots a year by this group of labs who provided this

1 data.

2           This is the distribution of test kits used, and  
3 what I would like to tell you on this slide is, this does  
4 include the international laboratories. So the group of  
5 "other" here includes mostly kits not used in the U.S.A. and  
6 not licensed for use in the U.S.A. If in fact we focus down  
7 on the United States laboratories, the other group gets  
8 fairly small, with the users of the Epitope/Organon Teknica  
9 kit being about 38 percent; the Bio-Rad kit, about 36  
10 percent; and the Cambridge test, about 25 percent. And I  
11 must admit, as companies change names and acquire each  
12 other, these names may be the ones that were used at the  
13 time of the survey; they may have changed slightly since  
14 then.

15           We then ask the laboratories, through this  
16 questionnaire process, what sort of criteria are you using?  
17 And for sake of completeness, I know we are not talking  
18 about criteria for a reactive blot here, but this is what  
19 laboratories, U.S. laboratories, tell us that they are using  
20 for the criteria for a reactive test. The top of these  
21 criteria is the criteria recommended by the CDC and the  
22 Association of Public Health Laboratories, and about 85  
23 percent of the laboratories say they use these criteria.

24           This was fairly interesting, that we also asked  
25 them, what do you use for determining a non-reactive blot,

1 and about 70 percent say they follow the recommended  
2 practice of no bands; about 29 percent say that they use the  
3 criteria of no HIV-1 specific protein bands present. I was  
4 asked, in gathering this data, what kinds of laboratories  
5 these are, and in both groups we really find labs who self-  
6 report as all kinds of laboratories you saw on our earlier  
7 slide. And as a proportion, in fact, we find that public  
8 health labs, independent labs, hospital labs tend to be  
9 using "no bands present" preferentially.

10 This is just a repeat of what Paul Mied showed you  
11 this morning, with some extra words here. Up until 1999,  
12 the Association of Public Health Laboratories'  
13 recommendation for interpretation of a non-reactive Western  
14 blot had these other words at the end that is kind of an  
15 out. It says, "Laboratories with extensive experience and  
16 confidence in interpreting Western blot bands may consider a  
17 non-reactive blot to be one that contains no viral specific  
18 bands. If there is any question as to whether a band is  
19 viral or non-viral, the blot should be called  
20 indeterminate." And so up until 1999 when, as Dr. Stramer  
21 so accurately pointed out, the Association of Public Health  
22 Laboratories decided to revise the recommendation, this is  
23 what they had used.

24 I show this slide just to let you know that it has  
25 been a struggle, actually, to get uphill to laboratories



1 uniformly using criteria. It has taken a while. You heard  
2 that the MMWR was first published in 1989, specifying  
3 criteria to be used. At that time we all would admittedly  
4 know that there were a number of different criteria being  
5 proposed to use and being used. So it took a few years for  
6 laboratories to adopt these criteria, and when we follow  
7 this from participants in our program, we see that now  
8 almost 90 percent seem to be following the criteria. It did  
9 take some time to get that up to that point.

10 Okay. If I could switch gears a little bit, that  
11 is a little bit about testing practices. Most of you on the  
12 committee, I know, have a handout. The next four slides  
13 just are here to give you a global picture of how well labs  
14 do with the bottom line. Do they call positive HIV infected  
15 samples positive? Do they call negative HIV infected  
16 samples negative? Just to give you some sense of how that  
17 works. And, as I said earlier, they do a pretty good job.

18 In this slide from August 1998--and this is one of  
19 those that is everybody, this is all participants, including  
20 the national laboratories--to give you a frame of reference,  
21 because you are going to have to read the next four slides  
22 the same way, we actually had 14 people who donated the  
23 blood, the plasma, to make up the samples that went into  
24 this shipment. Every laboratory, I told you earlier, gets  
25 six samples. When they get their mail and it is a package

1 from CDC, they get six little vials, and in this particular  
2 event five of them, five of the samples were reactive. Of  
3 this six, some of them were weakly reactive; that is that  
4 when you run these samples as we have collected them with  
5 all the licensed kits, they did not have bands from all of  
6 the significant viral bands.

7           So we had 3,545 results from 701 laboratories. Of  
8 those, eight called those--eight were non-reactive. So a  
9 small percentage called a positive sample non-reactive.  
10 Again, all of the samples we used in our shipment were HIV  
11 infected samples.

12           When we look at Western blot testing for those  
13 samples, we had 1,302 results from 261 laboratories. Almost  
14 90 percent called them reactive; 131, or about 10 percent,  
15 indeterminate, and this could be considered a correct answer  
16 because, as I told you, we did have weakly reactive  
17 seroconverting samples in this panel; and there were no  
18 calls of non-reactive for these positive samples.

19           Now if we take that same shipment and every lab  
20 would have received one negative sample, they didn't know it  
21 but they did, and there were two donors, individual donors,  
22 whose blood was divided up to make these, so for 701 labs we  
23 had 708 results. Some laboratories may be doing more than  
24 one test, evaluating a new kit, and it is perfectly okay if  
25 they give us results from more than one test, so that is why

1 there could be a slight difference there.

2           For the negative sample we had four that were  
3 called reactive. For the Western blot--and we do ask the  
4 laboratories, "Test these just like you would any other  
5 sample," so you are going, "Why do we have any Western blot  
6 test results?" Some laboratories decide to go ahead and  
7 test them anyway. Some laboratories are manufacturers or  
8 research laboratories, and they provide that information,  
9 and that is fine. So we do have some data here, but we all  
10 recognize that ordinarily negative EIA tests, the samples  
11 would not be referred on for Western blot testing.  
12 Nevertheless, we had 131 test results. Ninety-eight percent  
13 were non-reactive, and we actually had two results of  
14 indeterminate.

15           These data look very similar from the previous two  
16 slides. The same donors were actually used to make up the  
17 samples sent out, but they weren't sent in the same  
18 configuration, they weren't labeled in the same way. And in  
19 fact in this particular shipment, instead of each laboratory  
20 receiving five reactive samples, they received four; so when  
21 they got their six vials, they didn't know it, but they  
22 actually had four reactive samples and two non-reactive  
23 samples.

24           And so in this case, in this shipment we had 724  
25 laboratories doing EIA testing, including the international

1 laboratories. We had five non-reactive calls for these  
2 positive samples. By Western blot, we had 1,070 results  
3 with two non-reactives, 82 indeterminates, and one of these  
4 indeterminates was for a reactive sample that in our hands  
5 and by the judgment of most everyone else who ran these  
6 samples had bands from essentially--had essentially all  
7 viral bands except for maybe the p17. It had a 24, 31,  
8 gp41, 51, 55, 66, 120/160. So to call that indeterminate is  
9 probably pretty shaky.

10 Here is how labs did in that same event for the  
11 negative samples. About 724 labs again. We had 1,452  
12 results, because remember I told you when they got their box  
13 of six, they had two non-reactives in there. And we had  
14 four calls of reactivity by EIA for the negative samples.  
15 For Western blot, again you wouldn't have expected any  
16 results, but we had 258 results, one of them called reactive  
17 and four indeterminate.

18 So, let's shift gears. So if we just looked at  
19 the big picture, you know, people say, "Well, people  
20 shouldn't have missed anything," but a few did. But the  
21 issue that we are talking about here is, how do labs do with  
22 individual band detection? And as an epidemiologist, if you  
23 were to do a really nice 2 by 2 table, which I could have  
24 done but I put it in words, there really are these four  
25 decision outcomes when you do Western blot results.

1           One is, that you hope everybody does, is they get  
2 --they identify the right bands and they make the right  
3 interpretation, depending on which bands are there. They  
4 use the right interpretive criteria and they apply them  
5 correctly. The other three dot points really have to do  
6 with when labs don't come out with the right answer, how  
7 could they have done that?

8           And we did a study of that several years ago. We  
9 did a study of that, presented it in two different places,  
10 including the International AIDS Conference in Berlin,  
11 looking at data over several years and saying, well, when  
12 labs have problems, where do they have problems? Is it in  
13 using the criteria correctly? Is it interpreting the bands  
14 correctly? Or is it in both?

15           And we looked over a period of years, and as we  
16 tracked it at that time, most of the time that there was a  
17 mistake, the mistake had to do with not correctly  
18 identifying bands. You know, what they do is, they make a  
19 mistake in identifying but they apply the right criteria and  
20 get the wrong answer. That happened about twice as often as  
21 when correct bands were reported and the criteria weren't  
22 used correctly. And then very infrequently do labs do both  
23 things, have incorrect bands with incorrect interpretation.

24           The natural question is, does that hold today? I  
25 don't know. We would have to look at our data. I suspect

1 it wouldn't be widely different, but without looking I  
2 couldn't tell you for certain.

3           But let's look a little closer and see exactly how  
4 laboratories who arrived at the right answer, as I showed  
5 you four or five slides ago, did with identifying individual  
6 bands. And let's focus on two groups of samples, and these  
7 are for U.S. labs only, and it is from pooling the results  
8 across these two shipments.

9           If we look at strong positive samples, and in  
10 those two shipments we had 11 donors who by testing in our  
11 hands with all licensed kits again had p24 bands, p31, on up  
12 the line through 160, and so we had 1,296 test results;  
13 1,295 were reactive calls, and this is for Western blot; one  
14 indeterminate result.

15           Then I asked the people in our group to take a  
16 look at bands that should have shown up, and we could have  
17 picked others but we picked 24, 31, 41, or 120/160. How did  
18 labs do? They should have gotten, everybody should have  
19 gotten them. They were there, they were strong. But in  
20 fact there were 48 results that did not include one of  
21 these, and so that is about 4 percent of the results.

22           Looking at negative samples, and there were  
23 absolutely no bands in pre-shipment by any of the commercial  
24 tests, and that includes no viral bands, we had 275 test  
25 results. Again, you wouldn't have expected to have many

1 test results for a Western blot. We had four indeterminate  
2 calls for these negative samples, and five reported viral  
3 specific bands. The origin of that problem we don't know.  
4 It could be carryover when they do their testing. You could  
5 imagine other reasons.

6 So I said, "Well, what is another way we could  
7 look at"--

8 DR. HOLLINGER: Dr. Hearn?

9 DR. HEARN: Yes?

10 DR. HOLLINGER: We are going to come--I am going  
11 to ask you to probably, if you could, to just pick up the  
12 most key points at the end here because we are running a  
13 little bit overtime.

14 DR. HEARN: Okay. I can do that.

15 How many different blot patterns do you get for  
16 negative samples, positive samples, weakly reactive samples?  
17 This slide says that for the two negative samples in this  
18 column, if we had the perfect world, I would have shown a 1,  
19 comma, 2. That says there had been one pattern and we would  
20 have seen it twice. In fact, for one sample we had three  
21 patterns and the other five. That is not bad.

22 For positive samples, for one sample we had seven  
23 different patterns observed by participants who analyzed the  
24 sample. For another sample up here, we had 29 different  
25 patterns observed. When the samples get harder, when they

1 actually have fewer bands, you actually have more patterns,  
2 so labs have a hard time then making fine distinctions  
3 across bands.

4           These are viral bands. This slide only provides  
5 further evidence of that, and to show you in our hands this  
6 is what the test results were. For this 120/160, 43 or 53  
7 percent of the laboratories who tested it said that there  
8 was a 160. It was equivocal in our hands, not meeting  
9 criteria for positive. Here there was no 31 band; a few  
10 laboratories reported the 31, 32. The same kind of trend  
11 was shown for a different sample, a seroconverter.

12           The ultimate test is, how do labs do with non-  
13 viral bands. As I told you, we haven't sent out samples to  
14 our knowledge that have non-viral bands, but we give  
15 laboratories an opportunity to report all bands they see.  
16 So I did ask just yesterday, and this is very fresh, "Show  
17 me kind of some data about what labs reported."

18           And what we found was, non-viral bands were not  
19 detected for any samples in pre-shipment. That is, we  
20 didn't think they had any non-viral bands. For 15 of the 18  
21 samples, however, we had some results where laboratories  
22 indicated they saw non-viral bands. The non-viral bands  
23 were infrequent for negative and weakly reactive samples,  
24 but they were fairly frequent for the positive samples, and  
25 you heard a lot this morning. You heard something about p42



1 and p70, but in fact this is just one sample, but for a  
2 reactive, highly reactive sample, these are all the  
3 different non-viral bands that laboratories told us they  
4 saw.

5           So, in summary, what would be the take-home  
6 message here? One is, I think we all agree that Western  
7 blot testing performance overall is pretty good, and that  
8 laboratories arrive at the correct interpretive results, but  
9 there is some problem with detecting individual bands and  
10 making distinctions between those bands. I think that  
11 currently interpretive criteria take into account laboratory  
12 performance capabilities, but clearly at some cost in  
13 testing specificity.

14           And we would agree with everyone who has already  
15 presented that reducing the number of HIV infected persons  
16 who receive indeterminate test results is important.  
17 Nevertheless, we are uncertain that the data are really  
18 available for predicting the outcomes that could be  
19 associated with making the kind of change, at least one  
20 change that has been talked about here.

21           And we also know that outcomes associated with  
22 changing the criteria could be different for more or less  
23 experienced laboratories, and may depend on HIV prevalence  
24 in tested populations. I didn't show data here, but we did  
25 an extensive multivariant analysis several years ago,

1 published it, where we looked at what kinds of things lead  
2 to good performance, better performance. And certainly  
3 having experience in high test volume was one of the  
4 criteria that said you are likely to do better.

5 And then, to repeat what you have already heard  
6 today, in recognition of the problem, you know that we have  
7 circulated pretty broadly a draft of the revised counseling,  
8 testing, referral guidelines where we have now stated that  
9 persons with an indeterminate test result can be told they  
10 can be retested in 30 days, and if they have a repeat  
11 indeterminate test result, counseled that they are highly  
12 unlikely to be infected.

13 So that is kind of where we are, and I would be  
14 glad to open it up to questions.

15 DR. HOLLINGER: Thank you.

16 Yes, Dr. Koerper?

17 DR. KOERPER: I am not a blood banker, so I just  
18 have a point of clarification question. These bands, and we  
19 have seen some pictures of patterns of bands, is each band  
20 read by a person visually, or is there a scanner or a reader  
21 that reads the bands? And if so, then is it confirmed by  
22 visual inspection?

23 DR. HEARN: To my knowledge, for routine practice,  
24 they are always read by a person. Anyone disagree?

25 DR. KOERPER: And then a corollary. Is there any

1 plan to develop some kind of scanner or reader to eliminate  
2 some of the variability that might come up by visual  
3 scanning or reading of this?

4 DR. HEARN: I don't have any information regarding  
5 that. If anyone else does, they should respond. Good  
6 question.

7 DR. HOLLINGER: Okay. Now we are going to have a  
8 session that is going to be opened up to the public  
9 hearings, and there have been several groups that have asked  
10 to respond. The first one will be Dr. Joseph, who is  
11 responding for the Association of Public Health  
12 Laboratories. And I am going to ask you to try to keep your  
13 remarks to around five minutes, please, for each group. You  
14 may come up here, if you like.

15 DR. JOSEPH: I can do it from here, thank you.

16 DR. HOLLINGER: Okay.

17 DR. JOSEPH: Yes. I am Dr. Joseph, Director of  
18 the Laboratories Administration of the Maryland State  
19 Department of Health and Mental Hygiene. I chair the Human  
20 Retrovirus Testing Committee, and that committee is a  
21 committee of the Association of Public Health Laboratories.

22 The statement that I am going to make was one that  
23 was recommended by the Human Retrovirus Committee in March  
24 of 1999 on the Western blot criteria, and approved by the  
25 board for the Association of Public Health Laboratories.

1 Again this year, in fact just last week the committee met  
2 again, and the attendees there reconsidered the criteria for  
3 Western blot interpretation and reaffirmed what was acted on  
4 a year earlier.

5           And you have heard from Dr. Stramer referring to  
6 that statement, and basically it says that only viral bands  
7 should be used in interpretation of Western blot. If there  
8 are non-viral bands only present, then it should be read as  
9 a negative or non-reactive; and that if viral banding is  
10 present but doesn't meet the criteria for positivity, it  
11 should be reported as indeterminate.

12           And I think you also heard from Dr. Stramer the  
13 rationale for that a year ago, and repeated again this year,  
14 is that with the presence of non-viral bands, over the  
15 period of time since 1991, there has not been a single  
16 instance where it was associated with a different subtype of  
17 HIV or a seroconversion to HIV or some other disease  
18 involved. So it is clear that if you read, reported viral  
19 bands from p24 up through p160, as indicated in the package  
20 insert, there shouldn't be difficulty.

21           The group also recognized the problem of the  
22 experience of individuals reading the Western blot. As in  
23 the data presented by Dr. Hearn, clearly there is a problem  
24 of training and retraining of individuals, especially those  
25 that do very few Western blots and new, those who have come

1 in new into this area. So it was felt that the Association  
2 ought to take on this training through their National  
3 Laboratory Training Network, and that was another  
4 recommendation from the committee last week, that it was  
5 urgent to move promptly to provide this training for the  
6 performance and interpretation of Western blot.

7           And the National Training Laboratory Network is a  
8 joint venture between the CDC and the Association of Public  
9 Health Laboratories, with seven regional offices across the  
10 country to cover all 50 States. We do have a meeting with  
11 at least Region 3 in this part of the country next week, to  
12 begin planning the training to be offered in this region,  
13 and then that will be exported to the other regions to  
14 perform that. We think it is very important, and some of  
15 the data that Dr. Hearn has provided might identify the  
16 groups that we really need to get to to provide the  
17 training.

18           And the other issue, of course, is raising the  
19 funding to do that, but we are going to be working with it.  
20 And I wanted to say that it is pretty clear to us that that  
21 training and retraining has got to occur periodically over  
22 the years, so it is an important issue.

23           And that is my statement for the Association of  
24 Public Health Laboratories, and I thank you for the  
25 opportunity to make that statement.

1 DR. HOLLINGER: Just a second, Dr. Joseph.  
2 Dr. Chamberland?

3 DR. CHAMBERLAND: I have a question. Given that  
4 the Association of Public Health Laboratories made this  
5 recommendation in 1999, so I guess it was about a year ago,  
6 can you give us any information as to what proportion--and I  
7 don't know what the denominator is, I should, but I don't  
8 know how many laboratories fall into this Association of  
9 Public Health Laboratories--but can you provide us with any  
10 information as to what proportion of laboratories have  
11 changed their Western blot interpretive criteria to the non-  
12 viral bands being read as negative?

13 DR. JOSEPH: In public health laboratories we had  
14 this survey that was conducted, which we do each year with  
15 regard to this meeting, and I think there were 77  
16 laboratories involved. I believe that those laboratories  
17 use the criteria of the Association, but they are all public  
18 health laboratories. I think many of them have the  
19 experience and probably would read bands that would be  
20 considered non-viral, they are probably still, for most of  
21 them, reading them as indeterminate, and until the criteria  
22 change. Maybe someone has information.

23 DR. HEARN: Just maybe this will be a little bit  
24 helpful. Again, what people tell you on a questionnaire is  
25 not always exactly what they do, but approximately, when I

1 looked at the data where labs said that they used the  
2 criteria of "no bands present," we had 48 public health  
3 laboratories respond to that question, and 39 of them said  
4 that they used the criteria "no bands present" as opposed to  
5 nine who said that they used "no viral bands present."

6 DR. JOSEPH: Good. Thank you.

7 DR. HEARN: That was in 1999.

8 DR. JOSEPH: Okay. Any other questions?

9 DR. HOLLINGER: Thank you, Dr. Joseph, Dr. Hearn.  
10 The next person who asked to present is, I don't  
11 have a name but it is someone from Calypte. Is that right?  
12 Is there someone here from that organization or from that  
13 company? I guess not.

14 VOICE: Calypte is here but we did not ask to  
15 present.

16 DR. HOLLINGER: Oh, okay. Sorry about that.  
17 Then Andrew Goldstein from Epitepe.

18 MR. GOLDSTEIN: In case I get cut off for  
19 exceeding the five minutes, I would like to make one point  
20 in the way of a conclusion from what I have heard so far,  
21 and that is that it is certainly clear to me that there is a  
22 variability in the nomenclature which is being assigned to  
23 what are being called non-viral bands, or perhaps bands  
24 which in fact are viral. And partially in response to Dr.  
25 Mied's presentation, there might be the need for some

1 additional studies to come to some agreement on in fact what  
2 are viral and non-viral bands, because there are things like  
3 intermediate breakdown products of the HIV genome which in  
4 fact could be called non-virals by some and virals by  
5 others.

6           With that, let me go quickly through some points  
7 that I have here. First, Epitope manufactures two Western  
8 blots, one for serum and plasma and one for oral fluid.  
9 These products are distributed by Organon Teknica  
10 Corporation. And of course, as I think everyone knows, if  
11 you make a Western blot using viral lysates, you are going  
12 to get cellular proteins in there, and some of those that  
13 have been described in the literature are the HLA Class I  
14 and II and actin.

15           There are two primary non-viral bands in the  
16 Epitope Western blot, one which we call p70, which you have  
17 heard before, and the other which we call p45. Now, perhaps  
18 this is very similar to the p42 that has been described  
19 elsewhere.

20           We also refer to two what we call "pseudo bands"  
21 which we believe, and have some data to support, are in fact  
22 gel marks, which is the top and bottom of the polyequilamide  
23 gel which at a certain point in the process is mated to the  
24 nitrocellulose to transfer the bands, and I will show you a  
25 picture of that. And we have done some studies to show that



1 when you use monoclonal antibodies against gag, pol, and env  
2 gene products, you don't see at least these non-viral bands  
3 referred to here. Next overhead, please.

4 And I have simply two other points. Epitope's  
5 history with regard to customer complaints is that there  
6 have been six customer complaints since 1994 regarding non-  
7 viral bands on otherwise HIV negative serums with our serum  
8 blot as the cause of an indeterminate result, and with the  
9 oral fluid Western blot since its approval in 1996 there  
10 have been no complaints about non-viral bands. Next  
11 overhead.

12 Here is a simple graphic of where we have assigned  
13 our two non-viral bands. One is at p70, which again I think  
14 is probably shared in common with the other Western blots  
15 that have reported it, and I would suspect is the same  
16 protein although I can't tell you exactly what that is. And  
17 then p45, which resides directly above gp41, and then these  
18 two gel marks, one very close to the bottom of the strip and  
19 then the other one above gp160, right near this green  
20 reference line on top. Next overhead, please.

21 Here is an actual photograph of these two bands.  
22 What we did in this particular study was to take a very  
23 strongly non-viral HIV negative serum and then both run it  
24 separately with the negative control in our product, and  
25 then to combine it with both our high positive and low

1 positive controls to show you exactly where these bands  
2 reside. And in the case of the high positive, you can see  
3 the p45 directly above the gp41 in both the high positive  
4 and low positive, and the p70 which resides about 2  
5 millimeters above the p66. Next overhead, please.

6 In the next few overheads, these are simply taken  
7 from various clinical studies we have done. These are  
8 comparing the oral fluid Western blot, which is the purple  
9 band and white background, with the serum Western blot, and  
10 in these instances you can see the occasional p45 here and  
11 here, and here is the gel mark. That is fairly weak, but on  
12 top you can see what appears to be a band but in fact we  
13 believe is the edge of the gel, and of course that is well  
14 above the gp160 shown in the control. Next overhead.

15 And here is another example. There is the p45  
16 here, here and here, and occasionally you will see a blood  
17 sample where both the p70 and the p45 will appear in the  
18 same sample. Next overhead, please.

19 And once again in this overhead of what we called  
20 noisy negative samples--we actually look for these as a way  
21 to evaluate our blots on an ongoing basis--there is that p45  
22 again, which we think is the actin band. Here are some  
23 examples of the lower gel mark, and there is the upper one  
24 there, again well above the gp160. And then also, just FYI,  
25 these are some p24 indeterminates which appeared i these

1 noisy specimens.

2           And then finally this is a picture of a study done  
3 with monoclonal antibodies against gag, pol and env, and I  
4 apologize for the weakness and the fact that they are not  
5 terribly visible. But if you look at strip number four in  
6 each of these sets, you can see that in the case of the  
7 anti-gag there is reaction with p24; the env is reacting  
8 with the 160/120; and the reverse transcriptase, with the 51  
9 and the 66; and then we pooled them all. In all cases and  
10 in many studies, we were never able to visualize either the  
11 p70 or the p45 with these and other monoclonal antibodies.

12           This is just to show you that if you do a  
13 literature search, there have been many studies done by  
14 investigators on the presence of non-viral bands and  
15 indeterminate blots in general. I particularly call your  
16 attention to reference number six, Connie Celum et al, where  
17 they did a rather extensive study back in the early '90s on  
18 the effects of indeterminate Western blots, especially with  
19 regard to patient anxiety. The next, please.

20           And this is a page from our product insert for our  
21 serum Western blot, and here I am simply pointing out the  
22 frequency of the indeterminate Western blots which were  
23 found in our clinical studies, with a 16.2 percent  
24 indeterminate in the EIA from repeat reactives in the low  
25 risk population, 9.8 percent in the EIA negatives. But in

1 the high risk population, as I think many people have  
2 observed, when you look at the EIA negatives, in fact you  
3 see a rather high incidence, in this case 16.9 percent, of  
4 non-viral bands showing up on high risk individuals, which  
5 we believe at least in part is a function of other aspects  
6 of their health and HIV infection. And the final overhead.

7           The conclusions that I would like to leave you  
8 with this morning is, first, that the scientific literature  
9 demonstrates that nearly all indeterminate Western blots due  
10 to non-cardinal bands are not indicative of HIV-1 infection.  
11 At least in the Epitope Western blot, two non-viral bands,  
12 p45 and p70, account for most of the non-viral indeterminate  
13 Western blot interpretations. And these two bands we  
14 believe are readily distinguishable from the cardinal viral  
15 bands, 160/120, gp41, and p24, which are the hallmark bands  
16 for determining whether a Western blot is positive.

17           And then, finally, it is our opinion that proper  
18 interpretation of non-viral bands in HIV-1 Western blots can  
19 be ensured by adequate product inserts that explain the  
20 phenomenon; perhaps the use of electronic media such as CDs,  
21 videotapes, and information manufacturers' web sites; proper  
22 training, including independent instructional programs, and  
23 an example of that is the CDC Distance Learning Program; and  
24 then, as you heard before, the Model Performance Evaluation  
25 Program provided by the CDC; and then finally the use of

1 ongoing training programs by the manufacturers.

2           So thank you very much for your time, and I will  
3 entertain any questions.

4           DR. HOLLINGER: Yes? Questions?

5           DR. CHAMBERLAND: I just have a question about  
6 your last slide there, ongoing manufacturing training  
7 programs. What currently--I guess you can only speak to  
8 your organization--but what currently do manufacturers or  
9 your firm do, and how do--do they in any way evaluate a  
10 client's proficiency at doing their--using their test kit,  
11 and particularly their ability to distinguish the non-viral  
12 bands?

13           MR. GOLDSTEIN: Well, what I can tell you is that  
14 we work very closely with Organon Teknica, who provides the  
15 technical service to the laboratories who use our product.  
16 We carefully monitor customer complaints, and at times we do  
17 see examples when they are concerned about the non-viral  
18 bands, and what we try to do is to help them to understand  
19 what these bands probably represent, although we do always  
20 refer them back to the product insert, to follow what that  
21 has to say about interpretation. So I guess it is a matter  
22 of watching customer complaints and then working closely  
23 with our distributor to help people understand indeterminate  
24 blots.

25           DR. HOLLINGER: Okay. Thank you.

1 MR. GOLDSTEIN: Thank you.

2 DR. HOLLINGER: The next speaker is Dr. Louis Katz  
3 from the American Association of Blood Banks.

4 DR. KATZ: It is odd to come to the Blood Products  
5 Advisory Committee and finally realize that there is nobody  
6 left on the committee that was on when I was on. Somebody  
7 is getting older.

8 For those members of the committee who are less  
9 familiar with blood banking, I would like to begin by saying  
10 the AABB is a professional society for 9,000 individuals and  
11 22,000 institutions that include community blood centers,  
12 the Red Cross, hospital transfusion services, and  
13 individuals responsible for collecting and processing almost  
14 all the blood in the U.S. blood supply. Our highest  
15 priority has been to maintain and enhance the safety and  
16 availability of the nation's blood supply.

17 We are grateful for the attention of FDA and the  
18 Blood Products Advisory Committee on this issue. You have  
19 heard today data drawn from large numbers of blood donors  
20 that are a testament to the ability of our donor history  
21 screening methods, in concert with high sensitivity  
22 screening assays, to protect the blood supply from HIV  
23 infectious donors.

24 The consequence of the extraordinary sensitivity  
25 of these in vitro diagnostics, when applied to a population

1 these patterns should be counseled that they are not  
2 infected. They should be reenterable according to already  
3 accepted reentry algorithms and any new ones that come down  
4 the line, when subsequent testing at appropriate intervals  
5 is negative. No decrement in blood safety will result, and  
6 our effort to reassure these donors will be reinforced by  
7 our acceptance of their badly needed gift.

8           While the impact on the total blood supply may not  
9 be operationally significant, our credibility with this  
10 subset of our donors will improve. It is important that  
11 similar advantages will accrue to the many clinically  
12 oriented HIV testing services around the country, and while  
13 I have focused on the blood sector, if anybody wants to talk  
14 about my HIV clinic or my STD clinic where we do a lot of  
15 this, I will be glad to do so afterwards.

16           The excellent beginning that discounting non-viral  
17 bands will represent should be a preface for considering  
18 similar approaches to other clearly non-specific Western  
19 blot patterns, for example, isolated p24 reactivity. In the  
20 blood donor setting, seroconverting donors with  
21 indeterminate blots, as we have heard from Drs. Busch and  
22 Stramer, universally have positive NAT testing even in the  
23 minipools we are using currently.

24           An enormous amount of historical experience, and  
25 now almost a full year of NAT data obtained under IND,

1 informs us that indeterminate immunoblot patterns, in the  
2 absence of full seroconversion in a very short time frame,  
3 do not represent HIV or other pathogenic retroviral  
4 infections. Roger Dodd says "non-viral" means just that.

5 If the data we have heard from Dr. Stramer at the  
6 Red Cross are generalizable, there may be as many as 5,000  
7 blood donors annually in the United States with  
8 indeterminate tests being stigmatized by our inability to  
9 plainly state that their results are medically irrelevant.  
10 The FDA should start considering the use of nucleic acid  
11 amplification testing and repeat serologic testing to  
12 address the distressing mixed messages we are currently  
13 compelled to deliver. Thank you.

14 DR. HOLLINGER: Thank you.

15 Any questions?

16 [No response.]

17 DR. HOLLINGER: If not, then the final person who  
18 has asked to speak today is Celso Bianco, representing  
19 America's Blood Centers.

20 DR. BIANCO: First I want to thank you for the  
21 opportunity to present before the Blood Products Advisory  
22 Committee. My name is Celso Bianco. I am the President of  
23 America's Blood Centers. That is an association of 73  
24 community-based, not-for-profit independent blood centers  
25 that collect about half of the blood supply in the country.



1           We talked a lot about the technology, and I want  
2 to reexpress your concern that actually Lou Katz expressed  
3 very eloquently about volunteer blood donors. That is a  
4 rare species that is on the verge of extinction, and when we  
5 notify donors of false positive results--they are rather  
6 frequent because our population is essentially negative--we  
7 are giving a message not only to them. We are giving not  
8 only to the 5,000, but we are giving a message to their  
9 families, we are giving a message to donor groups, and there  
10 is a tremendous amplifying effect that actually is one of  
11 the reasons for the mistrust that the public has on the  
12 blood collection and blood collecting system.

13           They actually, donors have told me, more than one  
14 occasion, "We cannot make decisions. Either I am positive  
15 or I am negative. What are you trying to tell me?"  
16 Actually, we recognize this even with tests that are  
17 licensed. For instance, there is a fluorescence assay for  
18 confirmation of HIV--or a supplemental test, Dr. Mied, I'm  
19 sorry--that on the basis of fluorescence you call a cell  
20 fluorescent or not fluorescent, positive or negative, and we  
21 suppress the indeterminate in our brains.

22           On these days in which we have nucleic acid  
23 amplification, in which we have an extremely important  
24 autoassay that is timed to seroconversion, and with the  
25 amount of evidence that we have that within 30 days an

1 individual will have seroconverted or not, we should  
2 eliminate the interpretation of non-viral bands from the  
3 indeterminate category. I think that Dr. Dodd is correct in  
4 saying that non-viral bands are just that. I just think  
5 that there is a corollary to that, is that non-viral bands  
6 are not transmissible.

7           And, finally, I would like to state that despite  
8 all the pressure that we have had to come to make the blood  
9 supply as safe as it is today, extremely safe, we cannot  
10 consider treating our donors as raw materials for the  
11 manufacture of pharmaceuticals. I think that we have to  
12 respect them, and this is a wonderful step in that  
13 direction. Thank you.

14           DR. HOLLINGER: Thank you, Celso.

15           Who is this Dr. Dodd that everybody talks about  
16 here? He should stand up so we can--

17           [Laughter.]

18           DR. HOLLINGER: This concludes at least the formal  
19 presentation for the open public hearing. Is there anybody  
20 else who would like to have anything to say from the public?

21           Dr. Alter? Okay.

22           DR. ALTER: Thank you, Blaine, for that  
23 enthusiastic endorsement. I have another one of my  
24 simplistic solutions here. It seems to me that we have used  
25 the Western blot as the gold standard for an EIA reactive

1 result, and we have known since 1985 that this was really a  
2 fool's gold standard; that 20 percent of normal people will  
3 give an indeterminate blot, even if they are EIA negatives.  
4 This is a very, very bad gold standard.

5           And we have evolved to the point where we have a  
6 real gold standard now in RNA testing, so I would propose  
7 that we don't just say we aren't going to count non-viral  
8 bands; I would say we move, we drop the Western blot, and we  
9 move to using RNA testing as the back-up for an EIA. We  
10 have seen beautiful data from Sue that the RNA test is  
11 always positive when the Western blot is positive, and is  
12 not positive when the Western blot is indeterminate or  
13 negative. It is very close to a true gold standard.

14           And if you don't want to be that radical at this  
15 point to totally drop the Western blot, what you could then  
16 do is that when one gets an indeterminate Western blot, that  
17 it is reflexed to individual PCR or TNA, whatever  
18 amplification you are using. And it is not reported as a  
19 Western blot indeterminate, it is reported as a combination  
20 of the two, and if the RNA test is negative, the donor is  
21 negative.

22           DR. HOLLINGER: Thank you, Harvey.

23           Yes, Dr. McCurdy? Is this a comment you want to  
24 make to Harvey before we get started on our deliberations?

25           DR. MCCURDY: Well, it sort of relates to what

1 Harvey said but a number of other people said. First place,  
2 I believe in NAT tests, and I think they are likely to be a  
3 better gold standard than anything else. The question I  
4 have is, with the numbers that we have available now, what  
5 are the confidence limits that what is being said that is a  
6 negative NAT is going to be a non-infectious unit? What are  
7 the confidence limits of that statement?

8 DR. ALTER: I have unlimited confidence.

9 DR. McCURDY: No limit.

10 DR. HOLLINGER: Just before we do, because we want  
11 to get into the committee, is there anyone else in the--yes?  
12 Dr. Busch?

13 DR. BUSCH: I just wanted to make one comment.  
14 The data we saw from CDC on wide-scale proficiency testing,  
15 that suggested that there is a lot of poor reproducibility  
16 or accuracy of interpretation, I want to just point out that  
17 these Western blots, one is, we are dealing with multiple  
18 manufacturers that have highly discordant band patterns, so  
19 the CDC says it is negative but I don't know whether they  
20 actually validated it as "negative" on all these blots.

21 But the other is, each of these Western blot kits  
22 is a completely distinct viral lysate prep transferred to a  
23 piece of paper, and there is enormous strip-to-strip, lot-  
24 to-lot variability. So you can take a single sample and  
25 test it over time, and it will give you patterns and then

1 they will disappear because these kits are extremely  
2 inconsistent over time, especially in terms of these non-  
3 viral band contaminants.

4 DR. HOLLINGER: Thank you, Mike.

5 Yes, Dr. Tuazon?

6 DR. TUAZON: May I just make a comment, too? I  
7 completely agree with Dr. Alter. As a clinical infectious  
8 disease practitioner, I don't think we have used the Western  
9 blot in the last couple of years, since the availability of  
10 the PCR RNA, in the diagnosis and follow-up of our patients.

11 DR. HOLLINGER: Yes? Please state your name.

12 MR. KAY: Yes. I am John Kay from Oregon Teknica.

13 And over the years as we have pushed these assays, I am  
14 going to talk about ELISA for just a minute, because if you  
15 push an ELISA assay to its ultimate, there is a very fine  
16 line between a specificity of 99.95 or greater and 99.8.  
17 99.8 gives a lot of reactivity in an ELISA assay, and we  
18 have confused that with sensitivity, and because of that we  
19 have thrown a tremendous amount of noise at the Western blot  
20 system that higher specificity tests wouldn't send there.

21 So I think we need to consider the NAT testing  
22 thing on the other side. Where you now have a very high  
23 specificity on that side, we ought to look for a high  
24 specificity on the antibody side and preserve both the  
25 donors and the recipients. Maybe it is time to face that

1 issue.

2 DR. HOLLINGER: Anyone else from the public?

3 [No response.]

4 DR. HOLLINGER: If not, I am going to close the  
5 public hearing and we will open up the committee discussion  
6 on this topic. I think, before we do, let's have the  
7 questions at least once again presented, so we know what we  
8 are going to deal with here today. So if we could have just  
9 the questions run by again, and then we will open it up for  
10 discussion.

11 DR. MIED: Should FDA permit indeterminate blots  
12 with only non-viral bands to be interpreted as negative?

13 DR. HOLLINGER: Let's go ahead and deal with this  
14 question here. Who would like to start? Dr. Schmidt?

15 DR. SCHMIDT: Dr. Alter has brought up something  
16 which has never happened in the history of mankind. We have  
17 never dropped a test applied to blood or substituted it with  
18 anything else. So my question really is, since we were  
19 asked specific things to consider by the FDA, are we allowed  
20 to consider the NAT situation in our committee discussions?

21 DR. HOLLINGER: I think we need to open it up. I  
22 mean I think we need to consider everything, at least from  
23 my standpoint. Okay? So I think we should.

24 But I think Dr. McCurdy has brought up some  
25 important questions about, if you are going to deal with NAT

1 testing, how many false negatives are there in the group, if  
2 any? And, secondly, if you have got problems at  
3 laboratories who are out there doing all these tests with  
4 the several assays that are out there right now, as  
5 presented by Dr. Hearn, with abnormalities, how much do you  
6 think you are going to find in laboratories who are doing  
7 Nucleic Acid Testing in terms of responses? How many false  
8 negatives and false positives are you going to find out  
9 there in these labs? We are now talking about real good  
10 laboratories that are doing them, but there will probably be  
11 a lot of other laboratories that are doing them, perhaps  
12 other laboratories doing them, too, and what are the issues  
13 there? Yes, Dr. Simon?

14 DR. SIMON: It seems to me that on question number  
15 one, that we have had overwhelming evidence to say yes,  
16 basically, that indeterminate blots with only non-viral  
17 bands could be interpreted as negative. This would improve  
18 our message to donors, give us some degree of additional  
19 units through the reentry process, and particularly allow us  
20 to reenter certain particular donors like the O neg, CMV  
21 negative, or high titer specialty donor, that sort of thing.

22 It seems to me the NAT discussion relates to the  
23 question to come, in terms of what additional studies we  
24 would ask the FDA to do. I don't think we could deal with  
25 it today because it is a non-licensed test and the full data

1 aren't there, but certainly to pursue that from an  
2 investigative point of view as to how the NAT could  
3 substitute for Western blot and be more definitive, I think  
4 that would be very useful in the future. But this would be  
5 a step that we could take, I think, that would be very  
6 helpful to donors and would help a little bit with supply.

7 DR. HOLLINGER: Dr. Ng?

8 DR. NG: I would like to speak from the diagnostic  
9 clinical laboratory perspective, since your recommendations  
10 here for the blood donors will apply across the board.

11 As a clinical laboratorian, I feel very strongly  
12 that the results we generate should be the truth. And  
13 because you have reactivity with bands, you do not have a  
14 true negative blot, so I actually favor Dr. Mied's second  
15 compromise which is on the next slide, that the report be  
16 indeterminate with a distinction between viral versus non-  
17 viral bands, and you leave it to the individual clinician to  
18 decide how to interpret that.

19 I would like to bring up two other points which  
20 relate to NAT. Dr. Simon briefly referred to the big  
21 problem we have in clinical labs. It is not FDA-approved  
22 for diagnosis, so any test we do which is used for a  
23 diagnostic purpose runs into problems with our ability to  
24 get reimbursement and, more importantly, to be investigated  
25 by the OIG for fraud if in fact we do bill for that purpose.



1 I do want to comment that the use of NAT testing--  
2 the third and final point--in the acute diagnostic setting,  
3 we do not know, in our limited studies that we have done at  
4 San Francisco General, we do not know what the false  
5 negative rate is. We do know the false positive rate ranges  
6 between .5 to 3 percent, and a certain subset of these  
7 indeterminate Western blots, if you are going to use that in  
8 your stratification, will certainly fall into this false  
9 positive group. The viral load ranges, just FYI, tend to be  
10 under 10,000.

11 DR. HOLLINGER: Dr. Chamberland? Oh, excuse me,  
12 Dr. Boyle. I have been ignoring you. Sorry.

13 DR. BOYLE: That's all right. I just need to  
14 understand one thing, and I want to sort of follow up on  
15 what Marion did, in the interpretation of a Western blot, we  
16 now think it is done by a human, but is it done by one  
17 person or does it require agreement between two readers?

18 DR. HOLLINGER: I imagine it probably doesn't  
19 require agreement between two readers, but somebody who is  
20 working in the laboratories--maybe you could tell us, Susan,  
21 in the American Red Cross, do you require it to be confirmed  
22 by another person or not?

23 DR. STRAMER: All supplemental test results,  
24 whether they are the HIV-1 Western blot or any test that we  
25 do, has to be concurred by a second individual. If there is

1 any disagreement, a third person resolves the disagreement.  
2 But again, I can only speak for Red Cross.

3 DR. HOLLINGER: Does anyone else have--yes, Celso?

4 DR. BIANCO: Yes, that is a standard that we also  
5 have at New York Blood Center, and actually they will enter  
6 it into a software that will compare the two readings and  
7 flag the result for review, supervisory review.

8 DR. HOLLINGER: Thank you. So it looks like,  
9 John, that most of them are using two readers, at least a  
10 confirmatory, another confirmatory test.

11 Yes, Dr. Chamberland?

12 DR. CHAMBERLAND: Just to follow up on that  
13 immediate discussion, I am not sure, does anybody have any  
14 information about the concurrence of multiple readers for  
15 indeterminate Western blots in non-blood bank testing  
16 settings? For example, in the public health laboratory  
17 network, are multiple readers required as they are in the  
18 blood banking situation? CDC? Tom?

19 DR. HEARN: Mary, I can't directly answer that  
20 question, but this thing about how many readers look at a  
21 Western blot, when we ask laboratories how many people are  
22 doing the testing, a little bit less than 40 percent--I  
23 can't remember--it was 35 percent of the labs, roughly, had  
24 two or less people doing testing, and this is all  
25 laboratories.

1           So please don't assume that in every laboratory  
2 there are three or more people involved in interpretation.  
3 I don't know how many people are. It could be that the  
4 analyst, the tech, reads it, and the supervisor, technical  
5 supervisor, may then review it, but I can't tell you what  
6 the actual number is. But I do know that at many  
7 laboratories there are not three or four people who do  
8 testing, altogether.

9           DR. HOLLINGER: And I think not only that, but as  
10 a person is in the laboratory, they have various degrees of  
11 expertise and skills. Obviously if somebody starts out as a  
12 new job, they are not going to be quite the same as a person  
13 who has been around looking at Western blots or bands for  
14 many years. So that always creates somewhat of a problem, a  
15 potential problem, is you have new people come in and are  
16 learning how to read these finite bands.

17           Yes, Dr. Chamberland?

18           DR. CHAMBERLAND: Yes. I guess I wanted to lay  
19 out on the table a different perspective from Dr. Simon's,  
20 which is, I don't really think that the question that has  
21 been posed to the committee really turns on do non-viral  
22 bands represent anything other than what Roger Dodd has said  
23 is not HIV infection. I think that there really is a  
24 substantial body of information and data to suggest that  
25 non-viral bands are just that.

1 I think the question really turns more on issues  
2 of proficiency and the expansion of this question to a non-  
3 blood donation testing arena. I think the data presented by  
4 Sue and by ABC and others, I mean I think we have a  
5 tremendous amount of confidence that these are really good  
6 labs with really good QA in place. They have the advantage  
7 of dealing with large volumes of test materials, and there  
8 is also the safety net of NAT that is in place.

9 And while I agree with Dr. Ng's comments that as a  
10 clinician in a diagnostic setting, one-on-one, I would  
11 certainly like to see NAT done as additional follow-up  
12 testing to try and sort through these uncertain cases, there  
13 is no guarantee in the wider arena of diagnostic testing and  
14 public clinics and whatever, that the NAT is going to be  
15 available as it is now systematically, really part of the  
16 algorithm of testing for blood donations.

17 And, furthermore, we know that the blood banks are  
18 testing low prevalence populations. That is part of the  
19 problem, is because they are so low prevalence, the issue  
20 that you end up dealing with are these individuals with  
21 false positives. And we have seen data that has been  
22 presented, it was summarized in the statement that Paul Mied  
23 made, that the committee got in writing, information about  
24 what proportion of all indeterminate Western blots have non-  
25 viral bands, and since indeterminates represent about 45

1 percent of all repeat reactive samples, 67 percent of these  
2 are non-viral bands only.

3           We haven't seen comparable data presented for  
4 other venues, the anonymous testing and counseling sites,  
5 the STD clinics, drug treatment centers, where patients  
6 clearly in higher risk populations are presenting for  
7 testing. And I have to say in that kind of a setting, with  
8 a patient, individual patients with high-risk behaviors who  
9 have EIA repeat reactives in duplicate and a Western blot  
10 that is being read out as non-viral bands, I think on a one-  
11 on-one, my confidence in saying "Non-viral bands only, it's  
12 negative, you're not infected," I really think that I would  
13 want to have the safety net of an opportunity to retest that  
14 patient.

15           So I think that is what I would bring out in the  
16 discussion here, that I think we have to think beyond just  
17 the blood bank setting. And it is kind of an unusual  
18 situation that the BPAC is being asked to address something  
19 that goes just beyond the blood bank testing arena.

20           DR. HOLLINGER: Yes, I think those are really good  
21 points. I think you see it enough in the clinical arena. I  
22 think donors are--this is going to be a little broader here.  
23 And I don't think there is any question in my mind that when  
24 you see patients who come in, or you see laboratory results  
25 come in, they are interpreted very erroneously by different

1 individuals, what these mean, what it means.

2           And I think there is some benefit to not only  
3 saying that there are some bands here and they are probably  
4 non-viral, if they are non-viral, the patient is not  
5 infected, but the patient really needs to have something  
6 else. And a Nucleic Acid Test of some sort would be very  
7 good information to have, to be able to be very secure in  
8 your being able to tell that patient that "You're not  
9 infected." I have no problem with that, at that juncture  
10 saying "Look, you've got, I mean it looks like these are  
11 non-viral bands here, and the NAT testing is negative. I  
12 can assure you that you're not infected."

13           Yes, Mr. Rice?

14           MR. RICE: The question we have before us now  
15 leads me to the corollary that Dr. Alter presented, using  
16 the NAT as the confirmatory test. If we were to yield to  
17 question one and permit question one to be a yes, and then  
18 eventually evolve into criteria which makes NAT an  
19 approvable secondary confirmatory test, how willing would  
20 industry be to basically, again basically take a back step--  
21 they have been able to operate under the situation proposed  
22 in question one--and now reintroduce this confirmatory test  
23 at a later date? I think that probably industry would be  
24 much less willing to go back than forward.

25           DR. HOLLINGER: Yes, Dr. Katz?

1 DR. KATZ: I share Mary Chamberland's concerns,  
2 because I am schizophrenic and actually take care of  
3 patients, but I would point out that high risk individuals  
4 seeking testing are almost universally candidates for  
5 retesting in the time frame of seroconversion, so the  
6 appropriate counseling message to high risk people is not  
7 much altered by a change in Western blot interpretations.

8 DR. HOLLINGER: Thank you, Louis.

9 Yes, Dr. Schmidt?

10 DR. SCHMIDT: Is the FDA asking us to consider the  
11 question in relation to testing blood donors, blood  
12 products, or the entire clinical laboratory field?

13 DR. HOLLINGER: I think it is just limited to  
14 blood donors but, Jay, do you want to comment about it? But  
15 it will have ramifications elsewhere

16 DR. EPSTEIN: No, actually it is the other way  
17 around, Blaine. We have not separated interpretations for  
18 blood donor testing from general medical testing, and we do  
19 have within our regulatory purview the oversight of all HIV  
20 or AIDS-related tests in the blood program. So you are  
21 being asked a question pertinent to the use of these tests  
22 in all medical settings, not just the donor setting. Now,  
23 there are some particular issues that have been brought  
24 forward about the donor setting because of the low  
25 prevalence population, but this is general.

1 DR. HOLLINGER: You know, if you read the  
2 statement correctly, if everything is true with the  
3 statement, it says "Should FDA permit indeterminate blots  
4 with only non-viral bands," and we are not talking about is  
5 it falsely non-viral or is it true, then the statement is  
6 pretty clear. I don't think anybody on this committee is  
7 going to have, I would think, from what I have listened to,  
8 I don't hear much dissention that you could call those  
9 negative. So I think that is--I mean, if you just take the  
10 statement at face value, it seems to be pretty  
11 straightforward with this issue here.

12 Yes, John?

13 DR. BOYLE: But, Blaine, I think part of the  
14 question is that, are you setting the standard at the level  
15 of the good laboratory, or are you setting the standard for  
16 the person who has been described as the new person, only  
17 one in the laboratory, doesn't see many of these things come  
18 through, and do you want it to have it's just blank is okay,  
19 or you have to make some interpretive decisions?

20 DR. HOLLINGER: Yes, I think probably you need an  
21 algorithm that follows a little bit further along here, and  
22 I think that is what some of us have mentioned, about some  
23 sort of another test that would help in that way.

24 Sue?

25 DR. STRAMER: I just want to say, to those



1 laboratories who aren't proficient in Western blot testing,  
2 or those individuals who aren't proficient, they shouldn't  
3 be reporting. The issue is greater than just not reporting  
4 p70's. It is perhaps not reporting the confirmed positive.  
5 So, I mean, p70's are really a very minor part of the  
6 problem, and in a high risk population, most of what you see  
7 on a Western blot is going to be a confirmed positive. You  
8 are not going to have these issues with p70's or p5's on  
9 mostly negative strips. In a high risk population or a  
10 public health laboratory, it is much easier and it is much  
11 more clear-cut.

12           And I also would ask the question, how many public  
13 health laboratories are truly reporting out p70's? I mean,  
14 you know, they are really--I know Dr. Hearn's surveys, but I  
15 think the practical sense of it, even though 39 out of 45 or  
16 whatever the number was said they are reporting out, it is  
17 the way the question is written. But I would guarantee you  
18 that if you went into a public health laboratory and said,  
19 "How many of you would report this out as a gp120 or 160,  
20 when you see a band way above that?" they would all tell you  
21 they are not.

22           When I presented our data at the American Public  
23 Health Laboratories Association, I know after I told them  
24 what our criteria are, that we are reading background and we  
25 are reading p3's and we have to make up molecular weights

1 for garbage we see on Western blots, they have all told me  
2 that I am nuts, and how in the world would the Red Cross be  
3 doing this? How can, as a public health laboratory, we be  
4 giving out these kinds of messages to individuals? That is  
5 bad public health.

6 But I have to refer to the fact, this is what is  
7 defined in the package insert. Truth or non-truth doesn't  
8 matter. It only matters what is written in black and white  
9 on the package insert. So that is why this change, albeit  
10 for anywhere from 14 percent to greater than 70 percent of  
11 non-viral band indeterminates, depending on the  
12 manufacturer, would actually be improving the public health  
13 message that we give to blood donors and other individuals.

14 DR. HOLLINGER: Thank you, Sue. Yes, I mean if  
15 you really look at all those tests that were presented, I  
16 think Dr. Mied also showed some data there, you can almost  
17 select the test you want. If it is positive in one, you get  
18 a p7, p5, and another test doesn't usually pick up p7, p5,  
19 you could use that assay and find this person now negative  
20 for all bands. I mean, I think that could be pretty clear.  
21 You could almost select your assay after that.

22 If there are no other--yes?

23 DR. CHAMBERLAND: I guess I just wanted to comment  
24 that, I think as most people know, I am not a laboratorian,  
25 but when CDC became aware that this agenda item was going to

1 be placed before the BPAC, it engendered a fair amount of  
2 discussion among the Division of HIV Laboratories at CDC. I  
3 mean, I think my understanding of those discussions is that  
4 most people would certainly not quibble that if labs are  
5 going to get an EIA positive, Western blot positive, the  
6 chance that they would be told they are negative on a  
7 Western blot, everybody agreed was fairly unlikely.

8 I think the concern more turned a little, as I  
9 understood it, turned on individuals who were EIA positive,  
10 Western blot indeterminate, who might be in a seroconverting  
11 window period. And as I understand it, yes, the vast  
12 majority of those people exhibit a very typical sort of  
13 banding pattern as they are seroconverting.

14 But again, and I have to rely on my laboratory  
15 colleagues, I understand that there are instances in which  
16 there could be things like a solitary, you know, p65 showing  
17 up. Well, would some labs think that was a 70 or something  
18 like that? Again, I am raising questions that my colleagues  
19 have brought to me.

20 And the other piece of information to put out on  
21 the table is that the Public Health Service is fairly far  
22 along in developing a new counseling and testing  
23 recommendation and report that has, I guess, been some  
24 months in the preparation. And I understand that there is a  
25 lot of unhappiness that the current recommendations really,

1 from that '89 MMWR, suggest that testing, follow-up testing  
2 has to be done within a six-month period, and that is very  
3 difficult, for individuals to be carried along for six  
4 months, told that they might be, you know, indeterminate,  
5 "We're not sure of your status."

6 And that given the evolution of serologic testing  
7 over the last decade or so, that now the plan, as I think  
8 Tom and Paul Mied indicated, is that the guidance that has  
9 been drafted is now moving toward a recommendation that  
10 individuals who test indeterminate be retested one month  
11 later, and if that Western blot pattern has not changed or  
12 evolved, that they be told that this really can be read as  
13 an individual not being infected.

14 And I guess I throw that out as an alternative  
15 approach to this thorny problem of how do you deal with  
16 individuals who are indeterminate and the kinds of  
17 counseling messages you give them, that people should be  
18 aware that there is a move afoot to really change the  
19 counseling message to something that I think everybody would  
20 agree is much more reasonable.

21 DR. HOLLINGER: Okay. Yes, Dr. Epstein

22 DR. EPSTEIN: Two comments. First of all,  
23 regarding NAT testing, the question whether the Public  
24 Health Service should move toward recommending NAT in lieu  
25 of Western blot as the supplemental test of choice really is

1 a question for another day. We understand that, but you are  
2 still left with the issue of how do you interpret a Western  
3 blot, and the Western blot is not likely to vanish  
4 overnight. So I think that, you know, with all  
5 consideration of the emerging value of NAT, we shouldn't  
6 confound the issue. There is still the question of how to  
7 interpret the blot. It should stand in its own right. It  
8 can't be interpreted one way or the other based on some  
9 other test result.

10 Having said that, the counseling message should  
11 take advantage of all available information, and there is  
12 nothing that FDA has ever said that would indicate that if  
13 you have additional data, you shouldn't use it. So, you  
14 know, I am all for incorporating results of NAT in  
15 interpretation messages--I'm sorry, in counseling messages.  
16 We have allowed that in the IND studies, and I would look  
17 forward to that being the case when there are approved  
18 products.

19 The second point that I would like to make is that  
20 what this issue is really about is the likelihood that  
21 indeterminate patterns in HIV infected individuals could be  
22 confounded with non-viral band only blot patterns. That is  
23 the error we are trying to prevent, if it is real, and that  
24 is what you are really being asked to think about.

25 I think that, as has been said, there is abundant

1 scientific data that if you were sure that it was only non-  
2 viral blots, then you are fairly sure that it has no known  
3 medical significance and is certainly not related to HIV.  
4 That is really not the hard part.

5           The hard part, again, is whether indeterminate  
6 patterns in persons with HIV infection could be confounded  
7 as non-viral only band blots. And we have seen data to  
8 suggest that they could, but it is only indirect data. It  
9 is based on recognizing a very high degree of variability in  
10 band assignment in band assignment in laboratories in the  
11 CDC-conducted proficiency studies, but unfortunately those  
12 data are not broken down by which bands were misinterpreted.

13           So let me ask a question that perhaps can be  
14 answered by some of the large testing laboratories present,  
15 represented in this room. If indeed the early seroconverter  
16 always shows p24, and if the reading of the p24 band is  
17 highly proficient, then the chance for those blots to be  
18 misinterpreted as non-viral is in fact very, very low.

19           See, the problem is that the real risk here needs  
20 to be assessed by understanding how proficient the readings  
21 are, band by band, and we don't actually have those data, at  
22 least not what we were able to gather for the committee. So  
23 I would ask if anyone can comment specifically about the  
24 ready distinction of seroconverter band patterns versus non-  
25 viral band patterns and comment on a band-specific

1 proficiency.

2 DR. BIANCO: Jay, Celso Bianco, New York Blood  
3 Center. I don't think that any lab with average experience  
4 will ever miss a p24 band. It is so clear, it is so  
5 evident, and that is, as we see in the training of our  
6 people and all that, is never a major issue. I just want to  
7 make another comment. And so I don't think that you can  
8 confuse easily a non-viral band, or miss it, for a band that  
9 is of importance.

10 DR. HOLLINGER: Celso, I think the issue was, if I  
11 understand what Jay said, the issue was, in a  
12 seroconversion, in a seroconversion, does the p24 always  
13 appear first? I think that was, if I am not mistaken, Jay,  
14 is that correct?

15 DR. BIANCO: No, you may have blots on occasion in  
16 which you have--it is always there, but in old blots you  
17 would have on occasion individuals that would have all the  
18 envelope bands before--

19 DR. HOLLINGER: But will the p24 be there? Do you  
20 know. Yes, Sue?

21 DR. STRAMER: I think Mike will address the same  
22 point. In studies we have done at the Red Cross in  
23 collaboration with REDS, and studies that REDS has done in  
24 collaboration with REDS, even though the criteria now for  
25 positivity--and hopefully this will answer Jay's question--

1 the criteria for positivity today are any two of the  
2 following: p24, gp41, or p120/160, actually in all the  
3 samples we have looked at since the beginning of combination  
4 testing in blood donors, including the Red Cross, in all of  
5 our samples since 1992 we have never found a seroconverting  
6 sample that didn't have p24.

7 All of the ones that are allowed to be positive  
8 based on envelope only, none, zero, have been RNA positive.  
9 A subset of those include follow-up, showing that those  
10 donors are not positive on follow-up, but clearly the ones  
11 that we have experienced since 1992, positives in our hands  
12 have always exhibited p24. Generally, the p24 is a very  
13 strong band, just like if you took a Sharpie on a piece of  
14 paper and drew the band. p24's cannot be confused with any  
15 non-viral band, at least in our own experience.

16 And I just want to say one thing to address Mary's  
17 comment about p65. Tell your laboratorians at CDC who say  
18 that, they need to get out in the real world. p65 is not  
19 the first band that shows up on seroconversion. It is  
20 always the same pattern. HIV seroconversion, whether it is  
21 timed by RNA concentration or by patterns on Western blots,  
22 is a completely reproducible phenomenon, and it is usually--  
23 well, always starting with p24 and high molecular weight  
24 glycoprotein.

25 DR. HOLLINGER: Thank you, Sue.



1 Yes, Dr. Hearn?

2 DR. STRAMER: And actually that is true. Roger  
3 Dodd is making another good point, reminding me.

4 DR. HOLLINGER: Can we have Roger Dodd make these  
5 points on his own?

6 [Laughter.]

7 DR. HOLLINGER: - This is a puppet show here.

8 DR. STRAMER: The cutoff criteria, cutoff criteria  
9 for the blot is the weak positive control, that actually you  
10 are required to read p24 to interpret the blot as valid, the  
11 whole strip. That is your cutoff criteria, that is your  
12 calibrator that you have, p24 and gp120/160. If you don't  
13 have those in your batch of blots, the batch of blots are  
14 invalid, so you have to be able to read those blots, your  
15 weak positive control, to continue to read the rest of the  
16 strip set.

17 DR. HOLLINGER: Dr. Hearn?

18 DR. HEARN: Yes. Regarding that last issue, I  
19 believe that is test specific, depending on which  
20 manufacturer's test you use. It is not every test uses the  
21 p24.

22 But Dr. Epstein raised the question, does anybody  
23 know how labs do with individual bands, particularly the  
24 p24? I actually had a couple of slides that we raced  
25 through at the end, because we did have five seroconverters,

1 and in fact, the news is labs do pretty good.

2           There were occasional misses of the p24. But, as  
3 I also said in the beginning, these data don't answer  
4 everyone's question because it doesn't say anything about  
5 non-viral bands, you know, would a lab have confused a p24  
6 with a non-viral band? But labs on rare occasions miss the  
7 p24. We showed that data very quickly.

8           And I think I must say, because I didn't want  
9 people to have the message that testing is all over the map,  
10 I think I said multiple times, the bottom line of Western  
11 blot testing is it is done very well, and it is done very  
12 well because this safety net is in place. It is when you  
13 get to making fine distinctions about individual bands that  
14 we clearly observe some problems.

15           DR. HOLLINGER: Dr. Busch?

16           DR. BUSCH: Yes, one comment in terms of the band  
17 patterns to seroconversion. I mean that is where you really  
18 get the meat of this, and we have looked at, you know, well  
19 over 50 seroconversion panels that have serial bleeds  
20 separated by several days as these various blots evolve, and  
21 there is some variability between the blots. In general,  
22 these blots are most sensitive to p24 in primary  
23 seroconversion, but in some cases you will see some envelope  
24 or pol come up fairly equivalently in time.

25           If you would look at recombinant assays which

1 have, you know, been submitted to FDA, they are often more  
2 sensitive to gp41, so that will be the first band that will  
3 be detected at the same point as p24. So the sensitivity of  
4 these assays varies by the antigen representation within the  
5 assay, not necessarily reflective of the antibody evolution  
6 in the people. But certainly in contemporary blots I think  
7 p24 is by far the most frequent to come up initially.

8 Now, the twist here is that these evolving  
9 seroconversions can happen in people who have non-specific  
10 non-viral bands. You saw the example that was shown by one  
11 of the companies where there was actually, throughout the  
12 seroconversion panel, there was a non-viral band all the way  
13 along the top. So you really have to look at these panels  
14 and interpret evolving new bands in the context of the EIA  
15 seroconversion to understand, you know, what new bands are  
16 relevant.

17 And I do think it is p24, in all the currently  
18 licensed blots, and that those bands are very clear. But of  
19 course during seroconversion you can have initially a very  
20 weak p24 band, because we are just catching bleeds right at  
21 that point where these antibodies are first detectable. But  
22 in the real world this is, you know, it is p24 and it is  
23 really very straightforward.

24 DR. HOLLINGER: I want to be sure I am clear here,  
25 Mike. You are saying, then, that the p24 is always there in

1 the early seroconversion. There may be other bands there--

2 DR. BUSCH: Right.

3 DR. HOLLINGER: --but at least the p24 is always  
4 there?

5 DR. BUSCH: At least in my experience, in these  
6 viral lysate Western blots, yes.

7 DR. HOLLINGER: - And I think that is what Dr.  
8 Stramer said, also. Okay, thank you.

9 Yes? Yes, Dr. Fitzpatrick.

10 DR. FITZPATRICK: Just as a laboratorian and a  
11 blood banker, I think a couple of the key things are, in  
12 looking at the overall performance from the Public Health  
13 Service labs, we had eight positive samples that were called  
14 non-reactive. It is highly unlikely those were because of a  
15 non-viral band, but we don't have a root cause analysis. We  
16 don't know why they were called non-reactive. If we look at  
17 error and accident reports, my guess would be there was a  
18 sample ID mix-up.

19 We have talked about Dr. Chamberland's issues on  
20 the clinical side, and I think the fear here would be that  
21 if the Western blot is reported as negative and there is no  
22 note that there were non-viral bands present, the donor or  
23 the patient would not be subject to coming back for follow-  
24 up. And in many cases we would probably still want to bring  
25 that individual back for follow-up, if there were non-viral

1 bands present, because that EIA is still going to be repeat  
2 reactive.

3           And I think we are overemphasizing the fact that  
4 these are going to be donors that are now going to be  
5 reentered into the pool. Dr. Stramer told us that most of  
6 them continue to be repeat reactive EIA. So we have to  
7 construct a message to the donor that says, "Your ELISA is  
8 reactive, your Western blot has non-viral bands, which means  
9 you probably don't have disease, but if you continue to  
10 donate, your blood is still going to react, and you have a f  
11 false biological positive," just like we do with the RPR and  
12 the FTA ABS.

13           And so I think we might be sending the wrong  
14 message by giving the clinician and the blood bank director  
15 the result of a negative Western blot, unless they have the  
16 information that is before them, and I think it is the duty  
17 of the lab to report the true information and have the  
18 ability to do that, and then to interpret that. And if your  
19 lab isn't proficient, then we need to bring labs up to  
20 proficiency level. We shouldn't make our decision based on  
21 the fact that there are some bad labs out there.

22           DR. HOLLINGER: And I don't know whether that  
23 follows through, some of that, what you point out, follows  
24 through, but remember all of these got into the Western blot  
25 because the EIA is positive. And so somebody would,

1 theoretically should follow this, you would hope would  
2 follow this up anyway, because EIA positivity usually  
3 precedes the Western blot becoming positive, so it could be  
4 in the early stage of infection. So you would follow this  
5 up anyway with either repeat bleeding or NAT testing or  
6 something of that nature, you would hope. I mean, I would  
7 think.

8 Okay. Yes, go ahead, Marion, and then we will--

9 DR. KOERPER: One more point of clarification.  
10 When labs are reporting the indeterminate results right now,  
11 is that it? It is just the one word, "indeterminate"? So  
12 could we have an option of saying, rather than negative,  
13 that labs should report "Indeterminate (Viral Bands  
14 Present)" or "Indeterminate (Non-Viral Bands Present)"?

15 DR. HOLLINGER: That is one option.

16 DR. KOERPER: In other words, can we vote no to  
17 this and then have a new question? I don't know  
18 procedurally how we do this.

19 DR. HOLLINGER: Yes, of course. Of course.

20 DR. KOERPER: Okay.

21 DR. HOLLINGER: Yes, David?

22 DR. SCHMIDT: I was just going to say, as far as  
23 blood donors are concerned, you know, if the EIA is positive  
24 and the Western blot has a non-viral band determined as  
25 negative, they are eligible for reentry but they still have

1 to be tested again. So I think voting for this would not  
2 jeopardize the blood supply at all. I agree that if it is  
3 an issue, it is an issue with patient testing.

4 DR. HOLLINGER: Thank you. Yes, Toby, and then we  
5 are going to go and--

6 DR. SIMON: The comment has been made about giving  
7 the donor all the information from the test, but from what I  
8 have heard from the experts, non-viral bands is not  
9 information. It is something that is there that is  
10 meaningless, and I don't know what one would do with that  
11 information. I don't know what a clinician would do with  
12 it. It is useless information. It is non-information,  
13 basically, so I see no advantage to giving the clinician  
14 taking care of a patient or a blood bank physician that  
15 information. So it seems to me with either the donor  
16 situation or the patient situation, the answer to the  
17 question could be yes, we really don't need to give anyone  
18 the information about non-viral bands.

19 DR. HOLLINGER: It is like having a lot of other  
20 things we see as clinicians when we take care of patients.  
21 We sometimes ignore even discussing it with the patient  
22 because it is not meaningful. I mean, we know it is there  
23 and we know it is positive or has some abnormalities, but we  
24 are certainly not going to discuss it with a patient because  
25 it has no significance.

1 DR. SIMON: It is not necessarily reported that  
2 way. It is reported as an indeterminate blot, and that is  
3 what the clinician deals with.

4 DR. HOLLINGER: Yes. Mary?

5 DR. CHAMBERLAND: But reporting it as an  
6 indeterminate blot gives you the safety net of right now,  
7 the way the recommendations are written, of essentially  
8 counseling the individual that they need to come back to be  
9 retested, and the period, the interval for that second test  
10 is currently under revision.

11 To report out negative, I see that very much tied  
12 as negative. The counseling message is, "You don't have to  
13 come back. There's no need to be retested." Now, again, as  
14 Lou says, if you are dealing with a high risk individual who  
15 is continuing to engage in high risk behavior, counseling  
16 messages obviously need to be formulated certainly about  
17 decreasing high risk behavior, but also the possible need  
18 for follow-up testing.

19 But I think the concern that I have is, negative  
20 means no follow-up, so voting for this position really would  
21 eliminate what I view as a safety net, again not really  
22 applicable to the donor setting but to the individual  
23 clinical diagnostic setting.

24 DR. HOLLINGER: But I think I would go a little  
25 further. I think what is being asked is how you would call



1 the Western blot test. That doesn't mean that you are going  
2 to tell a patient who is EIA reactive positive, I mean  
3 repeatedly reactive, who has a Western blot that is, quote,  
4 you could call it negative, just the Western blot test.

5 That doesn't tell the patient he is free and has  
6 nothing else to worry about. I think that would be wrong, I  
7 agree with you entirely, to give that message to the  
8 individual because you find no viral or you find only non-  
9 viral bands on the Western blot. You still have to follow  
10 up what that EIA indicates with some test in the future, I  
11 would think.

12 DR. CHAMBERLAND: I guess I was just reacting to  
13 the way the FDA has constructed the series of questions. It  
14 looked like you proceeded to different counseling messages  
15 if you voted--if the majority vote was no, that FDA should  
16 not permit indeterminate blots to be interpreted as  
17 negative, then you proceeded on to retaining "indeterminate"  
18 but with different counseling messages.

19 So I think that is how they envisioned the flow of  
20 it, not that if it is read out as negative, you would then  
21 have a series of counseling messages: "Your test is  
22 negative but it showed only non-viral bands, hence, you  
23 should consider retesting," or whatever. Is that correct,  
24 Jay? I mean--

25 DR. EPSTEIN: I think we are looking for a clean

1 answer in the sense that a negative test would imply a  
2 negative counseling message. Now, having said that,  
3 obviously clinicians have to take into account all the  
4 available information, and if someone has high risk  
5 behavior, they should probably be rescreened later. But it  
6 is really not driven by the fact that they had a repeatedly  
7 reactive EIA, if they had a clean negative interpreted  
8 Western blot. Now, I am putting aside for a moment the  
9 issue of test sequence, because we know that there are some  
10 EIAs that are more sensitive than some blots, and you would  
11 have to consider that, too.

12 DR. HOLLINGER: Jay, do you really want to couch  
13 it in that fashion, that a negative test means a negative  
14 counseling? I mean, this could be a person who has viral  
15 bands and EIA positivity with non-viral bands anyway, and is  
16 in the very early stages of his infection, in which he may  
17 have virus circulating. I mean, you could have non-viral  
18 bands and still be infected, and it will show up that way.

19 So if you are saying--if that is how you are  
20 couching this question, to the point that if this is voted  
21 on as that negative means no counseling, then I would have  
22 to look at this a lot differently. I am looking at this as  
23 strictly a test question here in terms of the Western blot,  
24 that if there are non-viral bands present, it could be  
25 reported as negative. Now, what you do after that, it comes

1 with the next question.

2 DR. EPSTEIN: Right. I think that what you have  
3 said is correct. We need to keep this simple. We have not  
4 asked the committee to consider how we deal with counseling  
5 or medical decision-making concerning retesting. We are  
6 only asking whether the blot, as a stand-alone test, can be  
7 properly interpreted as negative based on a reader's ability  
8 to determine that it is non-viral bands only. I think we  
9 should limit our purview to that test and its  
10 interpretation.

11 The current problem that we have is that the  
12 category of "blot indeterminate" is wide-ranging, and  
13 includes true positives that are in seroconverters, it  
14 includes true positives that are in people with virus  
15 variance, it includes negatives where there are in fact  
16 viral bands due to cross-reactive antibodies, and it  
17 includes true negatives that have only non-viral bands. And  
18 all of that is confounded when the clinician gets a report  
19 of "indeterminate". They really don't know which it is.

20 And what we are asking is whether we can carve out  
21 the subset of non-viral bands and determine that at least in  
22 that instance the test can be called negative, based on good  
23 current science, and recognizing all the proficiency  
24 concerns that I think have been, you know, amply discussed.  
25 And I think that it will only confound matters if we try to

1 then go further and say, "Well, are we also telling doctors  
2 how to use all the available information?" We are not  
3 seeking to do that. We are just seeking to clarify the  
4 interpretation of the test.

5 DR. FITZPATRICK: Jay, I am a little fuzzy from  
6 lack of sleep, but if it is an ELISA repeat reactive with a  
7 negative Western blot, there is no donor notification  
8 required, correct?

9 DR. EPSTEIN: There is donor notification  
10 required--well, it will be required that you inform a  
11 deferred donor of the fact of the deferral. That was one of  
12 the proposed rules that we published August 19th of last  
13 year. It is of course a current recommendation of the FDA  
14 that the donor be notified of the fact of their deferral and  
15 be informed of the reason why. So you would still have to  
16 notify a donor, and we will be requiring that you also  
17 counsel a donor.

18 DR. FITZPATRICK: For a repeat reactive ELISA with  
19 a negative Western blot?

20 DR. EPSTEIN: Yes.

21 DR. FITZPATRICK: Okay

22 DR. EPSTEIN: Yes, because that is still a  
23 condition of donor deferral.

24 DR. FITZPATRICK: Okay.

25 DR. HOLLINGER: Mike?

1 DR. BUSCH: Yes. I buzzed over it, but in the  
2 material I presented and distributed, we quantified--the  
3 current most widely used donor screening assay is the Abbot  
4 combi test, which is very sensitive, and in fact you have a  
5 three-day window between the time, based on large numbers of  
6 seroconversion panels, that EIA seroconverts before you have  
7 any bands on Western blot: So there is a three-day blot  
8 negative phase, and then there is only a five-day blot  
9 indeterminate phase before meet current criteria positivity.

10 So it is always--what you are trying to do is  
11 refine the specificity of the blot interpretation.  
12 Eliminating non-viral bands will not--you know, will improve  
13 that, and I think that the issue of having to recall and  
14 potentially counsel donors who are EIA reactive or any  
15 population is a given. There is a very remote potential  
16 that a person who is EIA reactive, blot negative, could be a  
17 true seroconverter, and that always needs to be considered.

18 DR. HOLLINGER: Any other, from the committee, any  
19 other--yes, Dr. Ng?

20 DR. NG: I just once again want to say your  
21 recommendation will cross over to the diagnostic arena. And  
22 Dr. Simon, actually in my experience the identification of  
23 non-viral band reactivity on Western blot has often  
24 triggered and identified patients with undiagnosed lupus and  
25 other autoimmune disorders. So there actually is value in

1 finding out what the reactivity pattern is in an  
2 indeterminate Western blot.

3 DR. HOLLINGER: Okay. I think we will vote on  
4 this, the first question here, and the question as stated, I  
5 will read it for the record. It is: "Should FDA permit  
6 indeterminate Western blots with only non-viral bands to be  
7 interpreted as negative?" All those who agree with that  
8 statement or with that question, raise your hand.

9 [A show of hands.]

10 DR. HOLLINGER: All opposed?

11 [A show of hands.]

12 DR. HOLLINGER: And those abstaining?

13 [No response.]

14 DR. HOLLINGER: And our two non-voting members?

15 MS. KNOWLES: No.

16 DR. HOLLINGER: Toby?

17 DR. SIMON: I vote yes.

18 DR. HOLLINGER: All right. Could we have a  
19 reading of this confusing--

20 DR. SMALLWOOD: According to my count, there were  
21 seven "yes" votes and there were seven "no" votes. The  
22 industry rep agreed with the "yes" votes and the consumer  
23 rep agreed with the "no" votes. Is that correct? There  
24 were no abstentions.

25 DR. HOLLINGER: Okay. Well, we hope we have

1 clarified this for you, Jay.

2 [Laughter.]

3 DR. HOLLINGER: No, I think underlying this there  
4 is a lot of issues here, and perhaps this will come up with  
5 the next issue. Is there another? Or do we have to even  
6 deal with the next question, basically? If not, all right,  
7 so we can--oh, that is right, it was 7-7, wasn't it? Okay.  
8 I tried to push this one through, but--

9 DR. MIED: This refers to the middle ground  
10 approach that I referred to earlier, that the counseling  
11 message could be stratified based on the band pattern, that  
12 different counseling messages that reflect the likelihood of  
13 infection along with the recommendation to be retested could  
14 be provided to the donors.

15 DR. HOLLINGER: Yes.

16 DR. MITCHELL: So the question is, if not, should  
17 blot interpretation such as "Indeterminate (Viral Bands  
18 Present)" and "Indeterminate (Viral Bands Absent)" be  
19 reported with distinct counseling messages?

20 DR. HOLLINGER: Yes. Obviously, you know, since  
21 this was essentially a wash here in the voting, I think what  
22 I would like to do is, I think people have sort of expressed  
23 their own opinions here, but I think you have the feeling a  
24 little bit, Jay, of what the issues are. It has a lot to do  
25 with what is going to happen afterwards, and that people are

1 proficient, and if they are not, then that is a problem.  
2 Second, it said the FDA would permit people to report non-  
3 viral bands as negative. If the lab isn't confident, they  
4 can report that out as indeterminate or report it out as  
5 negative with non-viral bands. You know, a pathologist has  
6 that prerogative to do that. So I think that number one  
7 would work for patient testing labs and it would work well  
8 for the blood center, and it would give donors honest  
9 messages.

10 DR. HOLLINGER: Yes, Dr. Tuazon?

11 DR. TUAZON: I voted yes because, as I said  
12 earlier, in clinical practice we use the NAT to confirm a  
13 positive EIA.

14 DR. HOLLINGER: Dr. Fitzpatrick?

15 DR. FITZPATRICK: I voted yes because there is  
16 clear evidence that it is a negative result, and I think the  
17 labs should report it with that. And there are a number of  
18 other laboratory tests that we do that are subjective, and  
19 we don't report them out in a different manner because some  
20 labs are more proficient than others; we report them out as  
21 either negative or positive because that is what the results  
22 are. And so I think we owe it to report out the true result  
23 as much and in as proficient a manner as we can, and rely on  
24 proficiency surveys and those things to bring proficiency up  
25 to the level it should be.



1 DR. HOLLINGER: Yes, Marion?

2 DR. KOERPER: I voted no because I think that this  
3 is the true result. This is the viral bands are present or  
4 viral bands are absent. As a clinician, I agree with Dr. Ng  
5 completely. There are many times that an equivocal test  
6 result when you are asking one question leads you to ask the  
7 next question, which is the correct question, which is not  
8 does the patient have HIV but does the patient have lupus,  
9 for instance? And many times I have to get on the phone and  
10 call the supervisor and say, "Okay, you reported this as  
11 negative, but tell me, did you see," da-dah, da-dah. And so  
12 I think this is the true answer, is that there is non-viral  
13 bands present, and then it is up to the clinician to  
14 determine how that influences the next step in dealing with  
15 the patient. And just to say negative to me implies that it  
16 is a blank strip, and so I feel that this is the more  
17 accurate answer, and that is why I voted no.

18 DR. HOLLINGER: Thank you, Marion. Anyone else  
19 like to comment? Yes, Jeanne?

20 DR. LINDEN: Like David, I interpreted the  
21 question as permissive, that blood banks could do that if  
22 they wanted to. It would not require people to. And if  
23 there were demand in clinical labs that there is some  
24 clinical value to knowing about the viral bands, I mean we  
25 didn't hear and data or information about the significance

1 of the non-viral bands, but if there is some and there is  
2 demand for that, then the clinical labs could still report  
3 that. So I thought that this would, in the blood donor  
4 situation, allow these donors to be put in a different  
5 category, and I am particularly concerned about the  
6 eligibility for reentry, even if most of them aren't going  
7 to be able to do that anyway.

8 DR. HOLLINGER: Thank you, Jeanne.

9 Well, let's assume that they are able to  
10 distinguish the viral bands and non-viral bands, and you  
11 determine it, that therefore you can decide if there were  
12 viral bands present or there were viral bands absent, and  
13 then you then mark them "indeterminate". So we will go with  
14 this second question. I would like to see maybe how the  
15 committee would vote under those circumstances. And if that  
16 is the case, then should blot interpretations such as  
17 "Indeterminate (Viral Bands Present)" and "Indeterminate  
18 (Viral Bands Absent) be reported with distinct counseling  
19 messages? So with that in mind, all those who would vote  
20 yes for that, raise your hands.

21 [A show of hands.]

22 DR. HOLLINGER: All those no?

23 [No response.]

24 DR. HOLLINGER: Dr. Simon?

25 DR. SIMON: Yes.

1 DR. HOLLINGER: And Ms. Knowles?

2 MS. KNOWLES: Yes.

3 DR. HOLLINGER: Oh, and we have one abstained.

4 Oh, I'm sorry, there were two abstained.

5 DR. SMALLWOOD: Please raise your hands.

6 DR. HOLLINGER: Yes. Thanks. And could you read  
7 those, please?

8 DR. SMALLWOOD: Results of voting for question  
9 number two, as read: Should blot interpretations such as  
10 "Indeterminate (Viral Bands Present)" and "Indeterminate  
11 (Viral Bands Absent) be reported with distinct counseling  
12 messages?"

13 There were 12 "yes" votes. There were no "no"  
14 votes. Two abstentions. The industry rep agreed with the  
15 "yes" vote and the consumer rep agreed with the "yes" vote.

16 DR. HOLLINGER: I want to thank the committee for  
17 this stimulating discussion this morning. We are going to  
18 take a break now until 2 o'clock. The cafeteria is open  
19 until 2:00, and there are some places around the area.  
20 Let's meet back here at 2 o'clock to start on the session  
21 about hepatitis.

22 [Whereupon, at 1:00 p.m., the committee adjourned,  
23 to reconvene at 2:00 p.m. the same day.]

A F T E R N O O N S E S S I O N

2:00 P.M.

1  
2  
3 DR. SMALLWOOD: Would the committee members in the  
4 room return to their seats, please? For this afternoon's  
5 session, we have two potentially involved topics, and so we  
6 would like to continue on so that we can take the best  
7 advantage of the time that we have allotted. We don't want  
8 you to get up and walk out, because we tried to make this a  
9 major production for you, so we want you to stay until the  
10 end.

11 At this time I will turn the proceedings over to  
12 the chairperson, Dr. Hollinger.

13 DR. HOLLINGER: Thank you, Dr. Smallwood.

14 The first topic this afternoon is on the history  
15 of hepatitis, the issue about whether or not the question of  
16 hepatitis should still be utilized, and to start us off,  
17 Robin Biswas will give us an introduction and background to  
18 the issues, please.

19 DR. BISWAS: Well, good afternoon. We will be  
20 spending this afternoon discussing viral hepatitis topics  
21 related to blood donation, and I think that the underlying  
22 theme here is, is how far the diagnosis and testing and the  
23 understanding of viral hepatitis has progressed in the last  
24 30 years or so.

25 Now the first item on the agenda is donor

1 suitability and history of viral hepatitis. I will give you  
2 some background information and our current thinking on the  
3 topic, and I will present two questions to the committee.  
4 After that, Ian Williams of CDC and Harvey Alter of the NIH  
5 will present data, followed by discussions and your  
6 recommendations. I hope you don't vote 7 to 7.

7           Now these are the two regulations that preclude  
8 persons with a history of viral hepatitis from donating  
9 whole blood or source plasma. The one on the left, 21 CFR  
10 640, I won't read it all out, (c)(1), is for donations of  
11 whole blood and blood components for transfusion, and the  
12 one on the right, the one with the 63 and the (c) and the  
13 (11) in it, for donations of plasma collected for further  
14 manufacture into injectable plasma derivatives. These  
15 collections are pooled and manufactured, processed further  
16 into things like albumin, immunoglobulin, and clotting  
17 factors.

18           Now it is at least since the early 1950s that  
19 blood establishments have used a history of hepatitis  
20 criterion or a history of hepatitis donor question for  
21 determining donor suitability, and it is at least since the  
22 late 1950s that a history of hepatitis donor exclusion  
23 regulation, that there has been a government regulation in  
24 place. And it is at least since the early 1960s that blood  
25 establishments included a history of jaundice, or sometimes

1 called yellow jaundice, in the questions in determining  
2 donor suitability. I should explain that jaundice is a non-  
3 specific physical symptom of viral hepatitis, and can be  
4 caused by very many reasons other than viral hepatitis.

5 But the important point here is that these  
6 regulations and blood establishment questions regarding  
7 donor history of past hepatitis or jaundice were put in  
8 place long before any tests were developed that detected any  
9 hepatitis viruses, and before much was known about the  
10 infections caused by these viruses. For example, one  
11 question was, did individuals who had clinical hepatitis,  
12 had clinical symptomatic hepatitis, remain chronically  
13 infected after apparent clinical recovery?

14 Now since that time, tests for several hepatitis  
15 viruses have been developed. There have been tests  
16 developed for hepatitis A, B, C, Delta virus, E, and I won't  
17 mention G because it is probably not a hepatitis virus.  
18 And, in particular, very sensitive tests for hepatitis B and  
19 hepatitis C have been developed and licensed and implemented  
20 in blood establishments. These two viruses, hepatitis B and  
21 C, are the two blood borne viruses which cause almost all  
22 hepatitis infections that can occur in recipients.

23 Now today all donors of blood and blood components  
24 for transfusion are tested for hepatitis B surface antigen,  
25 antibody to hepatitis B core antigen, antibody to hepatitis

1 C virus, and almost all whole blood is also tested for  
2 alanine aminotransferase, which is a non-specific marker for  
3 liver damage.

4 Plasma for further manufacture, which I have said  
5 is pooled and then processed into various plasma  
6 derivatives, is tested for hepatitis B surface antigen,  
7 anti-HCV, and ALT. It is not tested for anti-core because  
8 most anti-core positive units also contain the neutralizing  
9 anti-HBs antibodies which can neutralize HBV. Withholding  
10 such units from pools from which the plasma derivatives are  
11 manufactured would make the titer, would cause the titer of  
12 anti-HBs to be diminished and probably decrease safety of  
13 the plasma product as well.

14 Now testing technology continues to advance, and  
15 with the application of investigation nucleic acid detection  
16 tests, the NAT tests, for screening blood and plasma under  
17 INDs using a pooled plasma testing format, all source plasma  
18 and almost all blood for transfusion in the U.S. is now  
19 tested for HCV as it is for HIV by NAT. HCV NAT is expected  
20 to further lower the already extremely low HCV transmission  
21 risk by detecting viruses in the so-called window period,  
22 that is, after the infection has indeed occurred but before  
23 antigen or antibodies are detectable in the circulation.

24 In regards to the utility of HBV NAT donor  
25 testing, this will be discussed later today. Suffice it to

1 say that some source plasma is already being tested for HBV  
2 NAT.

3           Because of the increasingly sensitive tests for  
4 viral hepatitis B and C, the risk of post-transfusion  
5 hepatitis overall is being rapidly reduced to barely  
6 detectable numbers. This fact, together with advancing  
7 knowledge about viral hepatitis, has raised questions about  
8 the necessity for excluding donors with a history of  
9 clinical hepatitis.

10           Therefore, FDA sponsored a workshop last July to  
11 discuss the issue and to examine any relevant data.  
12 Actually, the Blood Products Advisory Committee has  
13 discussed this issue several times in the past, in 1982,  
14 1991, and 1992 BPAC meetings, and the committee has over the  
15 years recommended that FDA modify the interpretation of  
16 these regulations.

17           So, consistent with past BPAC recommendations, the  
18 regulations are currently interpreted as follows: A donor  
19 with a history of clinical viral hepatitis after 11 years of  
20 age, actually after the 11th birthday, should be deferred.  
21 That means that anyone with a history of hepatitis until  
22 their 11th birthday could still donate, could go ahead and  
23 donate. This was considered appropriate because of CDC data  
24 presented at the 1991 BPAC meeting indicating that almost  
25 all viral hepatitis that occurs in children under the age of



1 11 was hepatitis A. I should explain that what we were  
2 doing, what we did was to permit exemptions to the  
3 regulations under another regulation, 641.20.

4 At present the term "viral hepatitis" might  
5 include jaundice or a clinical diagnosis of hepatitis. Now,  
6 this was in response to the 1992 committee's recommendation  
7 not to interpret test results alone as a history of  
8 hepatitis, in the absence of clinical history or absence of  
9 a medical diagnosis, and the tests that we are talking about  
10 here are anti-core tests and ALT tests. Note that in a  
11 donor with a history of jaundice after the age of 11, if it  
12 is not possible to rule out the viral hepatitis as cause of  
13 the jaundice, the donor should be deferred.

14 Now the goals--not the goals, the goal--of last  
15 July's workshop was to discuss the following: Is there  
16 sufficient information today to consider eliminating the  
17 exclusion of donors who have a history of viral hepatitis?

18 Now an enormous amount of information on the  
19 etiology, biology, serology, epidemiology, and the testing  
20 and medical diagnosis of viral hepatitis was presented at  
21 the workshop. The multiple causes of jaundice, infectious  
22 and non-infectious, were listed and reviewed and discussed.  
23 The following is a necessarily extremely brief summary of  
24 the main points of that meeting.

25 Studies in the 1970s by Dr. Tabor, and I think the

1 Red Cross, and in the 1980s conducted by Dr. Gary Techmeier,  
2 showed that markers for hepatitis A, B and C and ALT  
3 elevations were more often present in donors with a history  
4 of hepatitis or jaundice than in donors with no such  
5 history. There is no recent data. None of us could--you  
6 know, we plowed through the literature and nobody could come  
7 up with any modern data on this. It was also stated that  
8 the regulations were probably useful in the past for  
9 preventing post-transfusion hepatitis.

10 Dr. Celso Bianco said that 13,000 whole blood  
11 donors were deferred in 1998--this is for the whole country  
12 --in 1998 solely for a history of hepatitis or jaundice.  
13 With the inclusion of NAT testing of donors, the remaining  
14 residual risk for hepatitis B virus would be 9 units per 1  
15 million units, and for HCV, 3 units per 1 million units.

16 Another thing is that the incidence of acute HBV  
17 and HCV infections is declining in the United States. There  
18 are a couple of typos on this. I did this at the last  
19 minute yesterday afternoon. Sorry about that. So for HBV,  
20 from the mid to late 1980s, there were 32 cases per 100,000  
21 acute symptomatic cases of viral hepatitis, and this went  
22 down to 15 cases of hepatitis B per 100,000 per year. For  
23 HCV the comparative numbers are 19 cases per 100,000--there  
24 should be an extra zero there--to only 2 per 100,000 per  
25 year. Well, you know, if these numbers remain the same or

1 even get less, then eventually this will affect the  
2 prevalence amongst blood donors.

3           It was also stated, another conclusion of the  
4 meeting was that apart from HBV and HCV, known viral  
5 hepatitis agents do not cause significant recipient risk.  
6 However, CDC reported that 3 percent of reported acute viral  
7 hepatitis cases in the U.S. are hepatitis non-A through E.  
8 These are individuals who have or are thought to have  
9 clinical symptoms of hepatitis, but are not positive in any  
10 test from A through E. There were also the mysterious media  
11 accounts of hepatitis virus referred to as "SEN-V" and  
12 hopefully we will be hearing more later today.

13           It was also stated at the meeting that any  
14 increase in post-transfusion hepatitis resulting from  
15 elimination of the history of hepatitis donor questions  
16 would be difficult to detect if the change is slight.

17           Now, as a result of the workshop and discussions  
18 that took place, it becomes apparent that there are four  
19 options. The first one, entirely eliminating exclusion for  
20 history of hepatitis. Second one, keep the exclusion. The  
21 third one, modify the exclusion by excluding donors with a  
22 history of clinical hepatitis for only a limited period; for  
23 example, for one year after disappearance of symptoms. The  
24 fourth option is to modify the exclusion by accepting donors  
25 whose previous viral hepatitis, for example, hepatitis A,

1 could be documented not to pose a current risk for recipient  
2 hepatitis, i.e., require documentation to demonstrate what  
3 the etiologic agent was at the time the potential donor was  
4 diagnosed with viral hepatitis.

5 I will now go through these four options again and  
6 briefly discuss each one of them. One, eliminate exclusion  
7 for a history of viral hepatitis. Well, one would stop  
8 deferral of about 13,000 whole blood donors per year who are  
9 highly likely safe. The problem is the lack of information  
10 about CDC's reported 3 percent acute non-A through E  
11 hepatitis and the accounts of SEN-V. And I will be  
12 repeating this refrain several times in the next few  
13 minutes.

14 Now the utility of the question, the donor  
15 question about history of hepatitis, is likely to be very  
16 low, but is it absent? I should have put a question mark  
17 after that. In the absence of data on the utility of the  
18 question for elimination of hepatitis non-A through E, it  
19 appears to us premature to drop the question. It seems that  
20 such agents do exist, and the question is, do they correlate  
21 with the history of symptomatic hepatitis, and how many  
22 chronic hepatitis non-A through E cases had a history of  
23 hepatitis with symptoms?

24 The same questions one can ask for SEN-V. One  
25 would also like to know if SEN-V and non-A through E, if the

1 non-A through E entity or entities, whether they are serious  
2 diseases or not. In regard to SEN-V, if it turns out, for  
3 example, that SEN-V is an agent of non-A through E, and if  
4 validated donor tests became available, then testing for it  
5 could accompany elimination of the donor question, provided  
6 SEN-V accounts for most of hepatitis non-A through E cases.  
7 Hopefully we will have a few answers in the next few hours  
8 or next few days or next few weeks.

9           Keeping the exclusion for history of viral  
10 hepatitis, well, you would retain a safety layer. The  
11 problem is continued deferral of many safe donors. We agree  
12 that the regulation as is, is outmoded. It is not useful  
13 for known agents. There are sensitive tests for hepatitis B  
14 virus and hepatitis C virus. It is probably very  
15 inefficient for unknown agents. If only these facts were  
16 considered, FDA would be prepared to permit removal of the  
17 donor question regarding past hepatitis. However, again in  
18 the absence of data on the utility of the question for  
19 elimination of hepatitis non-A through E, and in light of  
20 the SEN-V reports, it appears premature to drop the  
21 question.

22           Option number three: Modify the exclusion by  
23 excluding donors with a history of viral hepatitis for a  
24 limited time period, for example, one year after  
25 disappearance of symptoms. Should be easy to do. Would

1 retain probably many if not all of those donors after one  
2 year's disappearance of symptoms, but again we run into the  
3 SEN-V, CDC 3 percent unknowns, if in this case they are  
4 chronic. Would not capture non-A through E hepatitis that  
5 became chronic and persisted for one year, for more than one  
6 year. If the assumption is that an infection is chronic,  
7 setting a one-year limit is arbitrary.

8           Now the fourth option: Modify the exclusion by  
9 accepting donors whose previous viral hepatitis, e.g.  
10 hepatitis A, could be documented not to pose a current risk  
11 for recipient hepatitis. Well, we feel it is a safe and  
12 scientifically sound way of reconsidering deferred donors.  
13 However, acquiring evaluable documentation might be  
14 difficult.

15           While acknowledging the difficulties in  
16 implementation, as I just said, it is a sound scientific way  
17 for reconsidering deferred donors. FDA is considering  
18 permitting this approach, and although it is difficult, some  
19 donors could be reentered, and this would be yet another  
20 step forward in permitting safe donors with a history of  
21 hepatitis to donate, by reconciling current interpretation  
22 of the regulations with well-established medical knowledge.

23           So I will stop there, and shall I go ahead and  
24 show the questions?

25           So, question one: Does the committee agree that

1 the Food and Drug Administration should permit exemptions  
2 from the regulatory requirements to allow blood  
3 establishments to accept donors who report a history of  
4 viral hepatitis after the age of 11 years, if there is  
5 documentation that the hepatitis was caused by an agent  
6 other than hepatitis B virus or C virus for which the donor  
7 is no longer infectious? -

8           Question number two: Please comment on any  
9 studies that could be useful to further clarify the utility  
10 of donor deferrals based on a history of viral hepatitis.

11           Thank you very much.

12           DR. HOLLINGER: Thank you, Robin.

13           I think we will move to the next presentation, and  
14 this is going to be by Ian Williams from the CDC on--well, I  
15 guess he is just going to tell us what he wants to.

16           DR. WILLIAMS: Actually Dr. Biswas asked me to  
17 come up here and tell you what we know about non-A through E  
18 hepatitis, and the piece I am going to talk to you about  
19 specifically is non-A through E hepatitis as identified in  
20 the Sentinel Counties Study of Acute Viral Hepatitis.  
21 Sentinel Counties is a study that focuses on community-  
22 acquired viral hepatitis, so that is going to be the thrust  
23 of my presentation today. But since the topic at hand is  
24 also a history of viral hepatitis, we also asked people in  
25 our study about that, so I will have a brief presentation at

1 the end focusing on that as well.

2           Since the data for today comes exclusively from  
3 the Sentinel Counties, I thought it would be worth spending  
4 a slide or two to explain to you what the Sentinel Counties  
5 Study is so you understand the source of the data. The  
6 Centers for Disease Control and Prevention conducts  
7 nationwide surveillance for acute viral hepatitis. However,  
8 certain issues limit the accuracy of this national data.

9           These issues specifically are things such as  
10 physicians fail to report cases they see to their State or  
11 local health department, therefore, CDC never hears about  
12 them so we don't count them as a case and don't know  
13 anything about them. Physicians may see cases and they fail  
14 to do the appropriate test, the correct test to make a  
15 diagnosis, or they fail to apply uniform case definitions.  
16 This is especially true in cases of hepatitis C. And,  
17 finally, they don't collect uniform data, epidemiologic  
18 data, especially related to risk factors.

19           So to address these issues with national  
20 surveillance, CDC began a study called the Sentinel Counties  
21 Study in 1979. The primary aims of this study are listed on  
22 the slide: to determine the relative contribution of  
23 hepatitis A virus, hepatitis B virus, and hepatitis C virus,  
24 as well as other agents of non-A, non-B hepatitis in  
25 community acquired acute viral hepatitis. And the emphasis



1 here is community acquired. That is people in the general  
2 community. The second primary aim is to determine trends in  
3 the incidence and risk factors associated with both acute  
4 hepatitis A, B and C, as well as other agents of non-A,  
5 non-B hepatitis.

6           The study is currently conducted in six counties,  
7 and in these counties is where this intensive surveillance  
8 is done. Today I am going to present data primarily from  
9 four counties. These are Pinellas County, which is St.  
10 Petersburg; Jefferson County--Pinellas County, Florida,  
11 which is St. Petersburg, Florida; Jefferson County, which is  
12 Birmingham, Alabama; the city and county of Denver; and  
13 Pierce County, which is Takoma, Washington. Two other  
14 counties have been added to the study: Multnomah County,  
15 which is Portland, in 1996; and San Francisco in 1999. But  
16 the data today primarily comes from these four places in the  
17 United States.

18           Patients in this study, again, are people with  
19 acute symptomatic viral hepatitis reported in these six  
20 health departments through stimulated passive surveillance,  
21 so we go out and try to find every single case of acute  
22 viral hepatitis that we can. Patients in this study have to  
23 meet the following clinical criteria: They must have  
24 discrete onset of signs or symptoms of viral hepatitis; they  
25 must have an ALT or an AST more than 2.5 times upper limit

1 of normal; and we exclude other causes of liver injury  
2 through a physician interview, an interview of the patient,  
3 and other things.

4 All patients undergo extensive serologic testing,  
5 listed here on the slide, including anti-HIV, total, IgM;  
6 HBsAg, anti-HBc, both total and IgM; and anti-HCV. They  
7 also undergo an extensive epidemiologic interview which  
8 takes about an hour or so to complete.

9 And, germane to our discussion today, patients  
10 with non-A, non-B hepatitis also have additional follow-up  
11 every six months for six months for two years after their  
12 acute onset. Keep in mind these are all acute symptomatic  
13 patients. So they are followed two years afterwards. In  
14 each one of these follow-ups every six months they have an  
15 ALT and AST drawn, they are tested for other markers of  
16 viral hepatitis, including PCR on selected samples.

17 And also germane to our discussion today, there  
18 was a group of patients who were identified in 1985 and 1986  
19 with acute non-A, non-B hepatitis, who have been followed  
20 every six months up to today. This is a group of about 130  
21 people which we will also be talking about.

22 So let's get right to the data, now that I have  
23 hopefully described Sentinel Counties to you. This is the  
24 source of the data that Robin was talking about earlier. If  
25 you look at all of the data in the Sentinel Counties for the

1 period of 1982 to 1997, about 48 percent of all the viral  
2 hepatitis we see is hepatitis A; about 34 percent is  
3 hepatitis B; about 15 percent is hepatitis C; and about 3  
4 percent is non-hepatitis A, B, C, D or E. You will notice  
5 hepatitis D and E are not on the slide because essentially  
6 we do not see them. They are not seen in the United States,  
7 or at least not seen in the Sentinel Counties.

8           What I am going to do today is focus exclusively  
9 on this 3 percent, to describe the clinical and demographic  
10 characteristics as well as risk factors associated with this  
11 group, and as a comparison, I am going to compare them with  
12 the 15 percent of people who have hepatitis C. So, again,  
13 there is going to be two groups, patients with acute non-A  
14 through E hepatitis identified in two cohorts: people  
15 identified in 1985 and 1986 followed to today, so with lots  
16 of longitudinal follow-up; as well as all patients in the  
17 Sentinel Counties from 1991 to 1997. For the hepatitis C  
18 group which I am going to compare and contrast them to, it  
19 is going to be all patients identified with acute hepatitis  
20 C, identified between 1991 and 1997.

21           Okay, so what can we say when comparing these two  
22 groups? Well, people with non-A through E hepatitis tend to  
23 be older than people with acute hepatitis C. About 38  
24 percent with non-A through E hepatitis are more than 40  
25 years of age, versus about 25 percent of people with acute

1 hepatitis C. Median age is about 38 years in those with  
2 non-A through E, versus about 33 years in those with  
3 hepatitis C, so people with non-A through E tend to be a  
4 little bit older.

5           They tend to be equally likely to be male or  
6 female. Roughly 54 percent of people with non-A through E  
7 hepatitis are male, versus about 53 percent with acute  
8 hepatitis C.

9           In terms of race, they tend to be more likely to  
10 be non-white, specifically African American in this  
11 situation. About 43 percent of people with acute non-A  
12 through E tend to be non-white, versus about 19 percent  
13 among people with acute hepatitis C, and this is highly  
14 statistically significant.

15           In terms of the clinical characteristics, people  
16 with acute non-A through E hepatitis tend to look a little  
17 milder. If you look at their peak ALT level in acute phase  
18 of illness, only about 52 percent have ALTs more than 16  
19 times upper limit of normal, versus about 68 percent among  
20 those who have acute hepatitis C. And if you look at their  
21 peak ALTs, peak ALTs among A through E tend to be about 940  
22 compared to about 1193 among those with acute hepatitis C.

23           They tend to be about equally likely to be  
24 jaundiced. About 80 percent of people with non-A through E  
25 have bilirubins of greater than or equal to 3.0, versus

1 about 70 percent or 71 percent of those with acute hepatitis  
2 C, and again the median bilirubin is about 6 among non-A  
3 through E versus 5.2 among those with hepatitis C, but these  
4 aren't different than each other.

5           In terms of patients hospitalized, the people with  
6 non-A through E are about equally likely to be hospitalized  
7 as those with acute hepatitis C. About 25 percent were  
8 hospitalized, versus about 18 percent of those with acute  
9 hepatitis C. However, people with acute non-A through E are  
10 much less likely to develop chronic infection. Only about  
11 22 percent went on to develop chronic infection, and these  
12 were people with at least two follow-up visits after their  
13 acute onset of illness. This is contrasted with 49 percent  
14 of people with hepatitis C in our cohort who went on to  
15 develop chronic infection--chronic hepatitis, sorry.

16           Okay, what about risk factors? Well, I pulled up  
17 common risk factors for hepatitis C for comparison, and to  
18 make matters a little more confusing, since blood  
19 transfusion has changed as a risk factor quite dramatically  
20 over time, I went back in and threw in the hepatitis C  
21 patients or the best as we can determine hepatitis C  
22 patients from 1985 and 1986, back in this group, so we are  
23 comparing risk factors from essentially the same time  
24 periods in these two groups.

25           And what you will notice is, about 4 percent of

1 the acute non-A through E hepatitis had blood transfusion as  
2 their putative source, versus about 9 percent among those  
3 with acute hepatitis C. Again, if you look in just the  
4 group from 1991 to 1997, we have not seen a case of  
5 transfusion associated hepatitis C since 1992. But these  
6 groups are not different in terms of their risk factors for  
7 transfusion.

8           However, people with acute non-A through E  
9 hepatitis tend to be much more likely to be not injection  
10 drug users. Only about 6 percent of acute non-A through E's  
11 reported injection drug use as their source, versus 36  
12 percent of those people who admitted to injecting drugs in  
13 six weeks to six months prior to onset of illness.

14           They were no more likely to be health care  
15 workers. And although they did have a higher prevalence of  
16 high risk sexual behavior, predominantly having two or more  
17 sexual partners in the last six weeks to six months prior to  
18 their onset of illness, versus 5 percent who had hepatitis  
19 C, these were not significantly different from each other.

20           So what sort of conclusions can you draw about  
21 people with acute non-A through E hepatitis, when compared  
22 to those patients with acute hepatitis C? Well, patients  
23 with acute non-A through E hepatitis tend to be older, more  
24 likely to be non-white, have lower peak ALT levels during  
25 acute illness, have lower frequency of chronic hepatitis,

1 and tend to be less likely to be injection drug users.

2 I should caution you about some limitations of the  
3 data. The first thing is, all patients in this study are  
4 acute and symptomatic, so they have to be acutely ill to be  
5 in our study. We don't know anything about asymptomatic  
6 patients.

7 Our case definition is extremely sensitive. You  
8 will recall, as I said earlier, anyone with greater than 2.5  
9 times upper limit of normal of ALT or AST is included in  
10 this study. While this is a very sensitive case definition,  
11 it may result in some misclassification, specifically that  
12 some cases of chronic hepatitis C might rarely be falsely  
13 misclassified as acute. We try to guard against this as  
14 closely as possible by interviewing physicians, looking at  
15 previous records, but it might rarely happen. So there may  
16 be chronic patients mixed in with some of our acutes,  
17 rarely.

18 And, finally, cases classified as non-A through E  
19 hepatitis might rarely have an unreported non-viral cause of  
20 hepatitis. Again, we interview patients and physicians to  
21 look for non-viral causes, but some of them may not report  
22 non-viral causes. Or patients may have a viral cause for  
23 which they were not tested, such as EBV or CMV. They were  
24 not all tested for that. So there may be some  
25 misclassification in our non-A through E hepatitis.

1 Now, moving quickly at the end, I am going to talk  
2 briefly about what we know about history of hepatitis in the  
3 Sentinel Counties Study. And simple, we asked patients,  
4 "Have you ever been previously diagnosed with hepatitis?"  
5 So, again, we take patients, say, "Have you ever been  
6 previously diagnosed with hepatitis?"

7 And what I have done for this analysis is  
8 specifically focus on people with acute symptomatic  
9 hepatitis A, because people with hepatitis B and C tend to  
10 be a much different group than the general population. For  
11 example, roughly 60 percent of all acute hepatitis C cases  
12 are injection drug users, more or less, so they are not like  
13 the general population. So I focused my analysis for the  
14 next couple of slides just on people with acute hepatitis A,  
15 because they tend to be most like the general population at  
16 large. And keep in mind that all of these cases were tested  
17 for viral markers of both hepatitis B and C.

18 Okay, so what do they say when we ask people  
19 about, people with hepatitis A about, "Have you had a  
20 history of hepatitis?" Well, basically nobody reports a  
21 history of hepatitis, although it does increase a little bit  
22 as they get a little bit older. So roughly 5 to 10 percent  
23 of people, or less than 10 percent of people, 5 to 10  
24 percent of people report any history of hepatitis. So  
25 people say, "Nope, haven't had it."