

TRANSCRIPT OF PROCEEDINGS

1592 '00 APR 13 P1:47

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

OPEN

BLOOD PRODUCTS ADVISORY COMMITTEE

SIXTY-FIFTH MEETING

OPEN SESSION

VOLUME II

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

Pages 1 thru 212

Silver Spring, Maryland
March 17, 2000

MILLER REPORTING COMPANY, INC.

507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

elw

ELW

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE

SIXTY-FIFTH MEETING

OPEN SESSION - VOLUME II

Friday, March 17, 2000

8:00 a.m.

Kennedy Ballroom
Holiday Inn Silver Spring
8777 Georgia Avenue
Silver Spring, Maryland

MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

Voting Members

F. Blaine Hollinger, M.D., Chairman

Mary E. Chamberland, M.D.

G. Michael Fitzpatrick, Ph.D.

Marion A. Koerper, M.D.

Jeanne V. Linden, M.D.

B. Gail Macik, M.D.

Mark A. Mitchell, M.D.

Terry V. Rice

Paul J. Schmidt, M.D.

David F. Stroncek, M.D.

Temporary Voting Members

Paul R. McCurdy, M.D.

Carmelita U. Tuazon, M.D.

Jonathan S. Allan, D.V.M.

Non-Voting Consumer Representative

Katherine E. Knowles

Non-Voting Industry Representative

Toby L. Simon, M.D.

Guest of the Committee

Louisa E. Chapman, M.D.

Executive Secretary

Linda A. Smallwood, Ph.D.

C O N T E N T S

Page

Opening Remarks

F. Blaine Hollinger, M.D., Chairman 5

Committee Updates

Summary of January 2000 PHS Advisory Committee
Meeting on Blood Safety and Availability
Stephen Nightingale, M.D. 5CJD Policy
Dorothy Scott, M.D. 16HCV Lookback Guidance
Paul Mied, Ph.D. 20Post Donation Information Algorithm
Edward Tabor, M.D. 38IGIV Clinical Endpoints
Basil Golding, M.D. 50

Open Public Hearing

Jason Bablack
Plasma Protein Therapeutics Association 57Miriam O'Day
Immune Deficiency Foundation 86Robert Sandhaus, M.D.
Alpha One Foundation 88Al Smith, M.D.
Calicivirus studies 91Patrick L. Iversen, Ph.D.
AVI Biopharma 94

Open Committee Discussion

Blood Action Plan: Supply Issues
Captain Mary Gustafson 98

C O N T E N T S

Open Committee Discussion	
Donor Deferral Issues Relating to Xenotransplantation	
Introduction and Background Andrew Dayton, M.D., Ph.D.	107
Open Public Hearing	
Louis Katz, M.D. American Association of Blood Banks America's Blood Centers	126
Ariel Shapiro, M.D. Life Service Blood Services, Chicago, Ill.	131
Linda Chambers, M.D. Senior Medical Officer, Red Cross	132
Chris Healey Director of Government Affairs, ABRA	135
Open Committee Discussion	
Questions for the Committee, Committee Discussion and Recommendations	137
Report of Intramural Site Visit: Laboratory of Plasma Derivatives, Division of Hematology	
Introduction and Overview:	
Neil Goldman, Ph.D. Associate Director for Research, CBER	177
John Finlayson, Ph.D. Associate Director for Science, OBRR	188
Mark Weinstein, Ph.D. Director, Division of Hematology	191
Summary of Laboratory of Plasma Derivatives Basil Golding, M.D.	195
Presentation by Dr. Alayash	198
Presentation by Dr. Scott	204
Adjournment	211

P R O C E E D I N G S

1
2 DR. SMALLWOOD: Good morning, and welcome to the
3 second day's deliberations of the 65th Meeting of the Blood
4 Products Advisory Committee. I am Linda Smallwood, the
5 Executive Secretary.

6 On yesterday I read the meeting statement that
7 pertains to both days' deliberations. If there is anyone
8 that needs to make any declarations regarding any discussion
9 of the topics for today, please do so at this time. This
10 would also include any of the committee members. We would
11 ask that anyone speaking before the committee, if they would
12 please identify themselves by giving their name and their
13 affiliation, and please speak into the mike.

14 If there are no declarations at this time, then I
15 will turn over the meeting proceedings to the chairperson,
16 Dr. Blaine Hollinger. Dr. Hollinger?

17 DR. HOLLINGER: Yes, thank you, Dr. Smallwood.

18 We are going to start the session this morning on
19 the committee updates, and the first one will be given by
20 Dr. Stephen Nightingale on the summary of the January 2000
21 PHS Advisory Committee Meeting on Blood Safety and
22 Availability.

23 DR. NIGHTINGALE: Good morning. I am Steve
24 Nightingale, and the meeting that I wish to review was held
25 on January 26th and 27th, and the subject of that meeting

1 was Errors and Accidents in Blood Administration: What Can
2 Be Done to Reduce Their Occurrence? The meeting was
3 scheduled to begin at 8:00 o'clock on January 26th, but
4 unfortunately there was a snowstorm. This is Connecticut
5 Avenue, looking south, about 10:00 a.m.

6 And we were faced with actually something that I
7 think was of relevance to the committee, which is, what do
8 you do if you are the executive secretary of a committee and
9 the government is closed officially? This is not in fact a
10 trivial question. There was a meeting of the Committee on
11 Alternative Medicine at NIH that was actually held a couple
12 of hours earlier, and there were congressional inquiries
13 that resulted from the decision to continue to have the
14 meeting.

15 We did persevere. When we sought advice of
16 counsel, this was all that we found, was "Each advisory
17 committee meeting is to be held at a reasonable time and in
18 a place reasonably accessible to the public." Federal law
19 is actually very explicit about when a meeting can be
20 closed, but it is silent on when a meeting should be open.

21 So we made the decision to open, and I'm pleased
22 to say that this was the attendance score: 17 out of 18
23 public members, whereas 4 out of 6 ex-officio members. By
24 Fisher's exact test the P is .14, which is not significant.
25 However, if only one of the members had not been there and

1 it had been three and three, the P would have been .03 and
2 highly significant. So I thank my ex-officio members.

3 The quote of the day was by the Surgeon General,
4 who said "It's amazing how much is getting done while the
5 government is officially closed." This was one of the
6 things.

7 There is actually a substantive point, believe it
8 or not, that I'm going to be making about these slides in a
9 minute, but at the moment let me get to the meat of the
10 meeting, to bring up what we did.

11 The first speaker was Dr. Kevin Shine, the
12 president of the Institute of Medicine, who presented their
13 report on errors and accidents in medicine. It is in fact
14 not the only initiative. Ours and several others were
15 developed in parallel, but his is certainly the one that has
16 gotten the greatest amount of ink, and I guess electrons as
17 well.

18 We did have a presentation by Bob Francis, who is
19 the immediate past Vice Chairman of the National
20 Transportation Safety Board. We opened our discussion of
21 the issue with Mr. Francis because there have been
22 substantial advances in aviation safety in the last decade.
23 He provided a perspective on it.

24 Dr. Bosk, who has written the classic text called
25 "Forgive and Remember: Managing Medical Failure" gave a

1 historical perspective on this. And I am reviewing these
2 quickly because the interested parties can find them at our
3 web site, which is www.dhhs.gov/partner/bloodsafety. I am
4 hopeful that by close of business today our web site will
5 have been substantially upgraded, and if it is not, I
6 apologize for the delay.

7 Dr. Westrum provided a sociologic perspective, and
8 Dr. Linden of the Blood Products Advisory Committee to my
9 right here, very generously agreed to come and describe the
10 experience of New York State's medical error reporting
11 system.

12 We had the perspective of a clinic manager, of a
13 transfusion service manager, Ms. Reardon, who is the
14 director of the Carle Clinic in Urbana. Sharon, Ms.
15 O'Callaghan, of Food and Drug, who is also in the room here,
16 described FDA's current procedures and the regulations on
17 which they are based.

18 And we concluded with a discussion by Dr. Battles
19 and Dr. Kaplan of the Medical Event Reporting System for
20 Transfusion Medicine that they have developed with the
21 support of the National Heart, Lung and Blood Institute. We
22 also did just very briefly have presentations on the hep C
23 update from Dr. Alter and the Blood Action Plan from Captain
24 Gustafson, who will be presenting I believe shortly after I
25 am. And we had representatives of Hema-Quebec, Canadian

1 Blood Services, and Dr. Gerry Sandler at Georgetown spoke
2 about patient identification services.

3 So I think the focus of what I wanted to present
4 to you in the 15 minutes that I have was on where we are as
5 an advisory committee with the issues of errors in
6 management, and this is simply to note that we had
7 relatively broad and generally, I think overall highly
8 supportive comment from the public interest groups and from
9 individuals of the public. What I wanted to get to, then,
10 was this, in this context.

11 I have summarized the recommendations. They are,
12 again, posted on the web. In a nutshell, what we said,
13 first of all, was "all"--and the italics were the key words--
14 -"all" blood establishments should have a quality assurance
15 program that includes an effective, confidential, non-
16 punitive system for the management of errors and accidents
17 not subject to regulatory review.

18 The substantive issues were, first, I have
19 italicized "all" because, as you know, the Food and Drug
20 Administration plans to issue a final rule that would
21 require this. The question was raised at the meeting, since
22 it has been announced that this is coming, was this
23 superfluous. And the answer was, well, it probably was, but
24 given the delays that can happen to a final rule on its
25 final passage to the Federal Register, the committee

1 supported the FDA in this.

2 Probably the most difficult word for the committee
3 to come up with was "effective." "Effective" was perhaps
4 not a compromise, but the word that was chosen in lieu of
5 "mandatory," and I have italicized it here because the
6 committee really did not achieve final consensus on what
7 should be mandatory.

8 Although "confidential" was not something that we
9 spent a lot of time debating in the system, there were
10 definitely concerns raised within the committee,
11 particularly by the patients, those who have ties to the
12 patient community, about protection of potentially
13 discoverable facts. And that is an unresolved issue, at
14 least at the committee level, and I think well beyond the
15 level of our committee.

16 And, finally, the language "not subject to
17 regulatory review" was the language that was chosen after
18 substantial discussion. I think the issue here is, for
19 those of us who are in the blood business, in the case of
20 blood those actions that are not subject to regulatory
21 review are, I don't want to say few and far between, but
22 blood is I think a bellwether for some parts of the industry
23 because the regulatory review is--perhaps because of the
24 subject and its history--is quite broad.

25 These, then, are the issues that remained at the

1 conclusion of the meeting, and that is--I have rephrased
2 them. In the document that is being prepared for the
3 Secretary's signature, the language will look something like
4 this. We have, I think, three very legitimate interests
5 here, which is the right to be informed, and I have put the
6 duty to inform.

7 I think both this committee and our own have heard
8 from our British colleagues about the duty to inform in the
9 British common law and jurisprudence. The status of that
10 duty to inform is less clear in American jurisprudence, and
11 I hope to have some discussion of that at our next meeting.

12 Very clearly, the middle is the baseline, which is
13 the need of the regulator for information necessary to
14 perform its statutory responsibilities. This is something
15 that will not go away. What we are hoping is that the
16 aviation industry, where the FAA has a similar need for
17 information, will be perhaps able to, if not give us
18 guidance, give us a historical perspective. And that is
19 going to happen in the next meeting.

20 And, finally, the issue which I think is not quite
21 yet well developed in the public mind outside of the
22 aviation community is just exactly what are the benefits to
23 society of protecting information so that it can be analyzed
24 and the conclusions of that analysis acted on. These are
25 the three things that I think need further work.

1 One possible direction of the advisory committee's
2 deliberation might take this particular form, although this
3 is by no means settled. I am not a lawyer but have been
4 talking to them recently, which is where I picked up the
5 word "hypotheticals". And things to consider:

6 An A positive patient receives an O positive unit
7 of blood and nothing else happens. Is this reportable?
8 Many people would say yes. Many people would say it should
9 be. There are two sides to this question, and I hope the
10 advisory committee will explore this.

11 The other, the contrary hypothetical, an A
12 positive patient almost receives a unit of O positive blood.
13 Again, I think you can look at this from the perspective of
14 the patient, you can look at from the perspective of the
15 regulator, and you need to look at it from the perspective
16 of society, and in fact one also needs to look at from the
17 perspective of the provider because they are also
18 stakeholders.

19 So the folks that we have lined up for the meeting
20 on the 25th, Dr. Westrum, who spoke at the last meeting on
21 his own research, will discuss in a little bit more detail
22 the scientific basis of current error management strategies.
23 He will talk about the work of Dr. James Reason, "Managing
24 Errors of Organizations," a 1997 book. He will also give
25 his own spin on it.

1 I am delighted that Ms. Linda Connell, who is the
2 Executive Director of the NASA/Ames, the Aviation Safety
3 Reporting System, and Captain Scott Griffith, who is the
4 chief pilot of American Airlines, have agreed to be present
5 at the meeting. I have asked both of them to discuss, to
6 answer the following questions: Could you describe the
7 development of your system? Could you describe its
8 accomplishments to date? Could you describe the problems
9 that still remain to be overcome? And, finally, what advice
10 would you give us, based on your experience. And this would
11 be the perspective, first of the regulator, which would be
12 Ms. Connell, and the regulated, which would be Captain
13 Griffith.

14 After the 10 o'clock break that all committees
15 have, Dr. Helmreich, who really was the pioneer of adapting
16 the ideas of aviation safety--not only the psychology to
17 aviation safety, but adapting them to the operating room.
18 Dr. Helmreich was on the far end of the mountain that I
19 showed earlier and did not make it to the previous meeting.

20 Dr. Small is a mid-career investigator at Harvard
21 University, is moving to the University of Chicago, and I
22 used "mid-career" because he I hope will represent the
23 second generation of error management investigators in
24 clinical medicine. And what I am hoping from him is to get
25 his perspective on what do you do after the pioneers have

1 hung up their parachute, I guess.

2 I do have a legal scholar in mind. I do not have
3 the commitment from that scholar at this point in time. I
4 have asked Dr. Linden and Dr. Kaplan to conclude the morning
5 and lead the afternoon discussion by summarizing their views
6 on where they feel the committee's guidance has come and
7 where they feel the committee could provide further
8 guidance. One of those areas where I am sure there will be
9 further guidance is in the source of the funding that will
10 be necessary to implement any effective error management
11 system.

12 And in the afternoon--I'm sorry--on the following
13 day, the 26th, we will discuss the issue of how advances in
14 blood safety should be reimbursed. This will be a follow-up
15 of our August 26th and 27th advisory committee meeting,
16 making numbers, suggestions. There was a letter from the
17 President on October 19th, I believe, to Senator Roth, that
18 discussed some changes that the Health Care Financing
19 Administration would be making in the outpatient prospective
20 payment system.

21 This slide is a little thin here, obviously,
22 because the Health Care Financing Administration's final
23 rule has not yet been published. The deadline that I
24 believe will obtain is April the 10th. There is a legal
25 issue with which I am not familiar, but again, as I said, I

1 have been talking to lawyers a lot recently, and I have
2 reason to believe that the final rule will be published in
3 the Federal Register on or before April 9th. It is in the
4 final stages of clearance right now.

5 And I believe that that final rule will quite
6 certainly not be the last word on this issue which has come
7 before your committee, of course, yesterday and before. I
8 do believe, however, that it will provide a constructive
9 foundation for future discussion of this issue.

10 And with that, again, obviously this will be a
11 short time line for people, and I have taken the last of my
12 15 minutes here to try and explain why it will be a short
13 time line. I really don't think that discussion would be
14 really productive until the HCFA final rule is out, and from
15 the 10th to the 26th is enough time for those who have had
16 it.

17 This, finally--I'm sorry, I got my son to scan
18 this in for me--but if you didn't see last week's New
19 Yorker, there is a doctor there and he is saying--I will use
20 my pointer here--on my way out the door, here, medical
21 school equivalency diploma, "To err is human. That's why
22 they put erasers on pencils. Mistakes happen." And the
23 nurse is saying to the doctor, "Some guys from the State
24 Board of Medicine are here to see you."

25 And that's the context in which we are operating

1 today. Thank you.

2 DR. HOLLINGER: Thank you, Steve.

3 Any questions of Dr. Nightingale?

4 [No response.]

5 DR. HOLLINGER: Okay. Thanks, Steve.

6 The second presenter today is Dorothy Scott, and
7 she will talk to us about the CJD policy.

8 DR. SCOTT: Good morning. I'm just going to
9 summarize for you what's new in CJD since this committee
10 last met, but I'm going to start off with what's now old.

11 As you recall, FDA published a revised guidance on
12 November 23, 1999, entitled "Revised Precautionary Measures
13 to Reduce the Possible Risk of Transmission of CJD and New
14 Variant CJD by Blood and Blood Products." Implementation
15 was recommended by April 17, 2000, if not before. And just
16 to remind you, this guidance formalized the recommendation
17 that plasma derivative containing material from donors with
18 CJD or CJD risk not be withdrawn, and it summarizes the
19 scientific rationale supporting this decision.

20 Linked to this was a recommendation that all blood
21 products have labeling which mentions the theoretical risk
22 of CJD transmission. So we are in the process of receiving
23 those labeling supplements for plasma derivatives.

24 In addition, this guidance contained a new donor
25 deferral for people who have traveled to the United Kingdom

1 or resided there for six months or more between 1980 and
2 1996, which were the peak years of the BSE epidemic.

3 Since release of this guidance, we have had a lot
4 of questions about implementation. In fact, they are coming
5 faster now as April 17th arrives, and we have done our best
6 to provide clarifications.

7 Other events in CJD: We have formed a PHS
8 interagency ad hoc working group on new variant CJD in
9 blood. This is in response to requests by the Surgeon
10 General, David Satcher, that we set up a mechanism to
11 regularly review the scientific basis of the United Kingdom
12 donor deferral.

13 And the first meeting of this committee was on
14 November 17, 1999. It reviewed the current new variant CJD
15 epidemiology, and it was also apprised of current lab
16 experiments going on in new variant CJD which are concerned
17 with the possibility of transmission by blood or blood
18 products. And the next meeting is scheduled for May of
19 2000.

20 Other current concerns that the FDA has are the
21 cases of new variant CJD which have occurred in France--
22 there are now three such cases--and also the extent of
23 European surveillance for bovine spongiform encephalopathy.
24 And we expect that some of these issues are likely to be
25 addressed at the next meeting of the TSE Advisory Committee,

1 but the agenda is only tentatively planned at this point.
2 There should be a Federal Register notice coming out soon.
3 So that's all I have to say about CJD issues for
4 now. I will take any questions about the guidance or other
5 events.

6 DR. HOLLINGER: Any questions from the committee
7 about CJD, nvCJD or otherwise? Yes; Dr. Stroncek?

8 DR. STRONCEK: Has anyone implemented this, and
9 have they given us any information on how much, how many
10 donors they have had to turn away or lost?

11 DR. SCOTT: To my knowledge, this has not been
12 implemented, but we have received a lot of questions in the
13 past month about donors. For example, there is one fairly
14 large blood bank which has 300 donors that they are turning
15 away, and they are concerned about this issue very much.

16 And we have been told, when this guidance came out
17 and when the earlier guidance came out in August, that this
18 could be a big problem. But I think that it really hasn't
19 generally been implemented yet, because of the questions we
20 are receiving now about donor deferral.

21 DR. HOLLINGER: Marion? Dr. Koerper?

22 DR. KOERPER: Could you elaborate a little bit
23 about the three cases of new variant in France?

24 DR. SCOTT: These are three cases that have been
25 documented by brain biopsy or autopsy, and in terms of the

1 amount of time that they resided in the United Kingdom, I
2 don't have all of that information, but it's my
3 understanding that there's also a French BSE problem and
4 that France has received a lot of beef from the United
5 Kingdom in the past, during their BSE epidemic. We don't
6 have the exact numbers on the time, if any, that they spent
7 in the United Kingdom. Jay might have some

8 DR. EPSTEIN: What's important about the cases is
9 that the individuals had not been to the United Kingdom, so
10 that they were indigenous to France, suggesting that it was
11 either due to imported beef from the U.K. or due to the BSE
12 epidemic in France, and that's why there is additional
13 concern.

14 DR. HOLLINGER: Any cases of transfusion-
15 associated in the CJD?

16 DR. SCOTT: No, none known.

17 DR. HOLLINGER: Dr. Simon?

18 DR. SIMON: One answer to Dr. Stroncek's question.
19 We implemented the first of the year and have been a little
20 bit surprised by the numbers. And it's larger than we
21 anticipated and, as you might expect, particularly in
22 centers located near Air Force bases. So the warnings from
23 our Air Force friends were appropriate. They have a lot of
24 people that have been there, to the U.K.

25 The other thing, for those who have not

1 implemented it, as often occurs, the law of unintended
2 consequences, besides the donor losses, the lookbacks have
3 been a pretty significant issue and problem, and I think
4 people who are yet to implement will be unpleasantly
5 surprised by some of the situations with the lookbacks and
6 how extensive they are, and the issues and questions of how
7 far back you need to go with particular plasma products, and
8 the variance among the manufacturers in terms of the amount
9 of unpooled material they had.

10 The one question I was going to ask is, can you
11 give us an update on the number of cases of new variant? Is
12 this beginning to show epidemic type proportions, or is it
13 still running at a low level?

14 DR. SCOTT: It's still running at a low level but,
15 as you probably know, it's estimated that we won't have a
16 good idea of whether this is going to rise for another three
17 to five years. But there is no startling increase in cases,
18 number of cases, as of this point.

19 DR. HOLLINGER: Thank you very much.

20 The next topic is on HCV lookback guidance, and
21 Dr. Paul Mied will present this information.

22 DR. MIED: Thank you, Dr. Hollinger.

23 Before I discuss the revised FDA guidance on HCV
24 lookback, I have been asked to review for the committee
25 exactly what is meant by HCV lookback and what FDA guidance

1 on lookback is meant to accomplish.

2 Multiple layers of safety, as you know, including
3 donor screening and testing, are used to reduce the risk of
4 transmitting infection through blood transfusion. However,
5 a person may donate blood early in infection, during the
6 period when a testable marker is not detectable by a
7 screening test but the infectious agent is present in the
8 donor's blood. And that's what we have been referring to as
9 the infectious window period.

10 Now, if a donor donates blood on a number of
11 occasions and each donation tests negative for antibody to
12 HCV, but the donor subsequently returns and tests repeatedly
13 reactive for antibody to HCV at a later date, prior
14 collections from such a donor would be at increased risk for
15 transmitting HCV. In addition, a recipient of a transfusion
16 of blood or blood components collected from such a donor
17 during the window period would not know that he or she may
18 have become infected with HCV through the transfusion unless
19 they were notified. Furthermore, prior unscreened
20 collections from donors who later were found to be
21 repeatedly reactive when screened for antibodies to HCV
22 since 1990, when screening began, may have been at increased
23 risk for transmitting HCV due to a prevalent chronic
24 infection in the donor.

25 Chronic hepatitis due to HCV is a major health

1 problem in the U.S. The infection is usually clinically
2 silent until serious damage has been caused to the liver,
3 and as a result, infected people are unaware of their
4 disease until such damage has already occurred. Advances in
5 medical diagnosis and therapy have created opportunities for
6 disease prevention or treatment many years after recipient
7 exposure to a donor later determined to be at increased risk
8 of HCV infection.

9 Now, although transfusion transmitted infections
10 account for only a very small proportion of HCV infections,
11 it is possible to identify and look back at prior donations
12 that might have been collected during the window period.
13 FDA is recommending that blood establishments perform such
14 lookback activity, and that this activity include, first of
15 all, quarantine of any affected prior collections that
16 remain in inventory; further testing of the repeatedly
17 reactive donor; thirdly, notification of consignees that
18 have received shipments of such blood or blood components;
19 and notification of transfusion recipients who have received
20 blood from a donor later determined to be infected with HCV.

21 FDA is recommending that blood establishments
22 perform a retrospective review of testing records when a
23 current donor tests repeatedly reactive for HCV. Now, this
24 records search is intended to identify prior to collections
25 dating back to January 1, 1988, or back indefinitely for

1 computerized electronic records. In addition, FDA is
2 recommending a historical record search to identify prior
3 collections from donors who had tested repeatedly reactive
4 for HCV in the past and were deferred from further donation,
5 and the retrospective records search in this case should be
6 of historical records, historical testing records extending
7 back to January 1, 1988, or back indefinitely for
8 computerized electronic records.

9 Now, FDA published this most recent guidance on
10 HCV lookback, and the title of it is here, as a draft
11 document for comment only on June 17, 1999. This document
12 contained proposed recommendations for extension of HCV
13 lookback to address donor testing back to May 1990, using
14 EIA 1.0, as recommended by the PHS Advisory Committee on
15 Blood Safety and Availability at its January 1999 meeting.

16 The comment period for this guidance closed on
17 August 23, 1999, although we are still receiving comments
18 and are discussing them, considering them and incorporating
19 them. And these comments have been summarized and discussed
20 in several public meetings: First of all, the August 1999
21 meeting of the PHS Advisory Committee; the September 1999
22 meeting of the Blood Products Advisory Committee; and the
23 November 1999 Annual Meeting of the American Association of
24 Blood Banks.

25 This morning what I am going to do is provide a

1 summary of the status of industry implementation of HCV
2 lookback, including voluntary compliance with the June 1999
3 FDA guidance, and I'll also summarize the agency's current
4 thinking regarding revisions to the June 1999 guidance that
5 may be made when that revised guidance is issued in the near
6 future for implementation.

7 The next couple of slides I will be showing you
8 were prepared by Miriam Alter at CDC. According to CDC's
9 nationwide evaluation of the effectiveness of targeted
10 notification for HCV infection, as of December 1999 nearly
11 80 percent, 59 plus 18, nearly 80 percent of blood
12 collection establishments have completed at least 90 percent
13 of their consignee notifications based on EIA 2.0 and EIA
14 3.0 multi-antigen testing. And American's Blood Centers or
15 ABC has reported this week that all of their member blood
16 centers who responded to their recent survey indicated that
17 they had completed 100 percent of their consignee
18 notifications for prior collections dating back to January
19 1, 1988.

20 Now, as stated in the June 1999 FDA guidance
21 document, the deadline for completion of this notification
22 of consignees for prior collections dating back to January
23 1, 1988 is March 23, 2000, so they are well on their way.
24 Approximately 20 percent of blood establishments, most of
25 the smaller ones, have begun, 80 percent have not begun, and

1 15 percent have completed notification of consignees based
2 on EIA 1.0 single antigen screening.

3 In addition, transfusion services have completed
4 the notification process for 80 percent of recipients of
5 components from EIA 2.0 and EIA 3.0 multi-antigen tested
6 donors. According to the CDC survey respondents, the
7 recipient notification process had been completed for 33,098
8 recipients, of whom 70 percent are deceased, 23 percent were
9 actually notified, 12 percent were tested for HCV antibody,
10 2 percent were positive, and half of those recipients
11 learned for the first time that they were positive. Thus,
12 the effectiveness of the targeted lookback for identifying
13 HCV positive recipients is approximately 1 percent.

14 But what is the bottom line of this massive
15 lookback effort? If we were to project this yield from the
16 respondents of this CDC survey to a nationwide level, it is
17 estimated that as of December 1999, approximately 900
18 recipients have learned for the first time that they are HCV
19 positive, as a result of the targeted HCV lookback effort.

20 Now, FDA's current thinking regarding revisions to
21 the June 1999 guidance is that, first, the scope of the
22 indefinite search of records prior to January 1, 1988,
23 should be limited to computerized electronic records. This
24 would make the pre-1900 lookback based on readily
25 retrievable records, as FDA stated in the June 1999

1 guidance, meaningful, but it would limit it in a practical
2 way. All other records searches, such as microfiche and
3 paper records, would extend back to January 1, 1988 for a
4 current repeatedly reactive donation or for a repeatedly
5 reactive donation found in the retrospective review of
6 records.

7 Secondly, Nucleic Acid Testing or NAT as a trigger
8 for lookback, both prospectively and retrospectively, should
9 be included. Use of NAT as an additional test to clarify
10 other screening test results would be permitted, subject to
11 certain limitations. For example, a positive NAT can
12 confirm a repeatedly reactive result and trigger lookback.
13 But considering that in many cases HCV viremia is
14 intermittent or is resolved, a negative NAT cannot obviate
15 lookback for a repeatedly reactive donation, and a
16 supplemental test for antibody would still need to be
17 performed as a basis for determining the actions to be taken
18 with regard to lookback.

19 Also, as part of lookback based on EIA 1.0,
20 consideration of supplemental test results of record for the
21 RIBA 1.0 performed under IND or as an in-house testing
22 service by Chiron, and the Abbott neutralization peptide
23 assay performed in-house by Abbott, as possible indicators
24 for recipient notification, should be added.

25 As I said, FDA plans to issue a draft revised

elw

1 guidance for industry on HCV lookback for implementation in
2 the near future.

3 This table summarizes the time frames for
4 beginning and completing consignee notification that would
5 be included in the revised FDA guidance for industry
6 document. These dates are for notification of consignees by
7 blood establishments.

8 In the June guidance it was recommended that for
9 the records search extending back to January 1, 1988,
10 pertaining to EIA 2.0 and EIA 3.0 repeatedly reactive
11 donations, blood establishments should complete notification
12 of consignees by March 23, 2000, which was actually
13 unchanged from the September 1998 guidance. That still
14 represents one year from the date, March 23, 1999, by which
15 blood establishments were to have begun consignee
16 notification for EIA 2.0 and 3.0.

17 In the June guidance it was recommended that for
18 the records search for EIA 2.0 and 3.0 extending back
19 indefinitely, that is, prior to January 1, 1988, and this
20 would now be for computerized electronic records only, blood
21 establishments should begin notification of consignees as
22 soon as feasible and should complete all consignee
23 notifications based on EIA 2.0 and 3.0 by September 30,
24 2000.

25 Now, that was in the June 1999 guidance, and that

1 was to be six months later than the completion date for
2 consignee notification for the search of manual records
3 going back to January 1, 1988. However, since the revised
4 FDA guidance has not yet issued, our current thinking is to
5 recommend that consignee notification based on the search of
6 computerized records for EIA 2.0 and 3.0 be completed within
7 12 months following the upcoming date of publication of the
8 revised guidance for implementation.

9 In the June guidance, it was recommended that for
10 implementation of retrospective HCV lookback pertaining to
11 EIA 1.0 repeatedly reactive donations, blood establishments
12 should begin notification of consignees by December 31, 1999
13 and complete all consignee notifications for EIA 1.0 by
14 September 30, 2000. However, due to concerns raised by the
15 blood organizations about having adequate time to perform
16 the record searches for EIA 1.0 and about needing to lessen
17 the impact on EIA 2.0 and 3.0 lookback efforts that were
18 already underway, as well as the fact that the revised
19 guidance is not yet issued, FDA is considering extending the
20 date for beginning notification of consignees for EIA 1.0
21 and the date for completing all notifications pertaining to
22 EIA 1.0 to 6 months and 15 months, respectively, following
23 the upcoming date of publication of the revised guidance for
24 implementation.

25 Lastly, this table summarizes the time frames for

1 transfusion services to begin and complete recipient
2 notification that would be included in the revised FDA
3 guidance for industry document. In the June guidance, it
4 was recommended that transfusion services begin notification
5 of consignees--I'm sorry--begin notification of the
6 recipient when notified by the blood establishment, and
7 complete all notifications of transfusion recipients
8 identified in the retrospective record searches by September
9 30, 2001; that is, within one year of the last of the
10 notifications that they receive from blood establishments.

11 However, if the dates that I just mentioned for
12 blood establishments to begin and complete consignee
13 notification for EIA 1.0 lookback are extended to 6 months
14 and 15 months, respectively, following the upcoming date of
15 publication of the revised guidance for implementation, this
16 date to complete all notifications of transfusion recipients
17 would be extended to one year beyond the date for completion
18 of consignee notifications, or 27 months following the
19 upcoming date of publication of the revised guidance for
20 implementation.

21 Thank you.

22 DR. HOLLINGER: Thank you, Dr. Mied.

23 Questions? Yes, Dr. Boyle?

24 DR. BOYLE: Points of clarification: Since half
25 of those who were notified were not tested, what constitutes

1 notification? And, secondly, is there any plan to sample
2 that group that has not been tested to see if they are
3 different or why they are not being tested?

4 DR. MIED: The first part of your question is?

5 DR. BOYLE: What constitutes notification?

6 DR. MIED: We recommend that three attempts be
7 made to notify a recipient. If the recipient is deceased,
8 then the notification process does not extend to their
9 family members. So that, if three attempts have been made
10 to accomplish the notification, that ends the process as far
11 as the transfusion service is concerned.

12 Now, the second part of your question?

13 DR. BOYLE: The second part was, since 50 percent
14 of those who are notified are never tested, is there any
15 plan to look at a sample of those people to see whether or
16 not in fact they never received notification, or they know
17 that they are positive so they don't feel they need a test,
18 or exactly what's going on with that group? Because if they
19 have the same characteristics as the other group, you've got
20 900 more cases in that group.

21 DR. MIED: That's an excellent question. I'd like
22 to address that to Miriam Alter if she were here today. But
23 Mary?

24 DR. CHAMBERLAND: Obviously Miriam would be the
25 ultimate source on this, but just a little bit more

1 supplemental information. Miriam presented a slightly
2 updated version of the data at a recent meeting that was
3 held here in Washington, I believe it was a couple of weeks
4 ago, as part of the general notification effort. There was
5 a meeting with various groups who--health care providers and
6 patient groups--who likely would fit in the category of
7 having been transfused, and she presented some updated
8 numbers at that meeting.

9 My understanding that of those individuals that
10 were notified, it was found that about 25 percent of them
11 were dead, so obviously it was next-of-kin that ended up
12 being notified. So some of those notifications were to
13 people that were already deceased, and 50 of the--as Paul
14 said, 50 percent of those notified were tested for HCV, and
15 according to the survey to date, 50 percent of those already
16 knew they were positive, so I think that explains some
17 reason why people may not have sought testing.

18 As part of the evaluation that CDC, in
19 collaboration with ACPER and FDA, is doing on the lookback
20 effort, besides these surveys of blood collection and
21 transfusion centers, there is going to be an attempt to try
22 and evaluate the effectiveness of the lookback from the
23 perspective of individual persons. And that, the
24 methodology, as I understand it, as to how to go about doing
25 that and sampling and all of that hasn't been--is still in

1 the process of being developed because it's going to be
2 challenging, but I would like to think that there would be
3 some information that we can try and glean from that kind of
4 a further evaluation.

5 DR. HOLLINGER: Yes, Dr. Koerper?

6 DR. KOERPER: I am speaking from my own experience
7 in dealing with our blood bank, our local blood bank, but
8 the way the notification is happening is that our blood
9 transfusion service is identifying the physician who was
10 responsible for the transfusion, notifying the physician,
11 and then leaving it up to the physician's discretion whether
12 the individual is actually notified or not.

13 So, I mean, we have an elaborate form we have to
14 fill out saying whether we notified them or not and whether
15 we recommended testing or did the testing, what the result
16 was, but there are certain physicians who feel that because
17 it's an elderly person or someone who is dealing with a
18 terminal illness, that it may not be in the best interest of
19 the individual to physically get this notice that, "By the
20 way, you've got one more thing to worry about."

21 So sometimes the physician is notified but the
22 actual recipient is not notified. And what I don't know
23 from these statistics that both of you have mentioned is
24 whether that, you know, the person being notified includes
25 these situations where the physician was notified and made a

1 decision not to actually inform the recipient.

2 DR. CHAMBERLAND: Regarding this initial survey
3 that CDC did, it was a very preliminary--it was viewed as an
4 interim preliminary survey to kind of get a quick snapshot
5 of what was going on, and I don't believe that level of
6 detailed information was being collected. And there is,
7 right now there is discussion as to whether this should be
8 followed up with another interim survey at a later date.

9 I mean, I am delighted to hear that ABC did their
10 own survey and found obviously a 100 percent completion rate
11 for the lookback dating back to 1988. But trying to balance
12 the need to get some information on an interim basis without
13 wanting to sort of try the patience of individuals that have
14 to fill out these forms--because ultimately, at the
15 completion of this lookback, is when the very detailed
16 series of evaluations will be planned, so I don't think we
17 have that kind of information, but that's useful to know.

18 DR. HOLLINGER: Yes, Dr. Katz?

19 DR. KATZ: Louis Katz, Mississippi Valley Regional
20 Blood Center.

21 I don't want--the approaches to lookback vary in
22 varying systems. And, for example, my system, in
23 cooperation with our hospital transfusion services, took a
24 substantially more aggressive approach than it sounds like
25 you've got. So that's all over the board, I believe, and I

1 don't know if there's anybody from the Red Cross that can
2 address what they did.

3 But in my system, where we have completed that
4 lookback, we find numbers essentially identical to what
5 Miriam has presented from her national survey in terms of
6 yield, just under 2 percent in our system that were newly
7 discovered HCV infection. So it is really quite variable,
8 and some places have been very aggressive.

9 DR. HOLLINGER: Yes. I'm not sure one should
10 always be a little pessimistic that you only got a 2 percent
11 yield. I mean, the fact is that there is a lot of education
12 going on, a lot of information in the newspapers, and I will
13 tell you from my standpoint that some patients that come to
14 see me have been tested because of all the things in the
15 news about lookback so they went and got tested. So the
16 fact that they were notified but then found to have already
17 been tested I think is a good thing.

18 DR. KATZ: And one other thing I just wanted to
19 say is that sometimes the relationship of industry and FDA
20 is contentious, and with regards to lookback, I think
21 everybody in the industry or most people in the industry
22 appreciate the approach that Paul and the agency have taken
23 here, that this is doable and has not consumed the resources
24 it might have under other circumstances.

25 DR. HOLLINGER: Have you found it has been quite

1 expensive to do? And where have the resources come from to
2 do this? Who is footing the bill for this, Louis?

3 DR. KATZ: Oh, we just put another \$2 on a unit of
4 red cells; it was easy.

5 A lot of person hours, enormous labor costs, and
6 some of us are trying to figure out how many. And within
7 our system, we're guessing that for each individual that we
8 in fact got to, notified and got tested, it was somewhere in
9 the range of \$700 or \$800, but those numbers are not
10 reliable yet. Yes, it's pretty expensive.

11 DR. HOLLINGER: Yes, Dr. Simon?

12 DR. SIMON: Well, I was going to ask, and I know
13 this is preliminary, I mean I was going to ask about the
14 view from Mary and your point of view on the yield, which to
15 someone like myself seems very low for all of the effort.
16 And I think you commented about all the publicity, but that
17 could have all come from the non-targeted lookback, which I
18 think is another whole area, and one didn't necessarily need
19 the targeted lookback to get the publicity and the public
20 awareness.

21 Even though it's preliminary, is this considered a
22 good yield for a public health effort of this dimension, in
23 terms of what can be gained?

24 DR. CHAMBERLAND: This is basically, the yield to
25 date from this interim analysis is not unexpected. I mean,

1 based on previous experiences of doing lookback and
2 whatever, this is--I think there have been some surprises in
3 terms of notifications being over or under estimated numbers
4 of components that were involved, etcetera. But in terms of
5 the bottom line, in terms of the actual proportion of people
6 that you reach who get tested, who otherwise wouldn't have,
7 it really hasn't been too surprising.

8 Part of the final, if you will, or comprehensive
9 evaluation of the lookback is going to include a cost-
10 benefit analysis, and so the final series of questionnaires
11 that will go out to blood collection and transfusion
12 services is actually going to attempt to collect information
13 about costs, resources that were used, so that we can
14 actually quantitate this better. Which I think everybody
15 thinks is a good thing to do, because I don't think any of
16 us would be surprised if we would be on the cusp of facing a
17 similar question with a new agent or a different agent down
18 the road. So I think people feel this is a very good
19 opportunity to really try and quantitate this as best we
20 can, and provide that information back.

21 DR. HOLLINGER: Yes, Mr. Rice?

22 MR. RICE: Well, I think that the yield--about the
23 yield, it would seem that we would probably try to find more
24 people than 900 out of an effort of this magnitude, but I
25 think if we had actually started to look back at an earlier

1 point in time, the yield would have been much greater. I
2 think that this particular lookback demonstrates that the
3 regulation which was in place in June of 1977, and I think
4 my comments would be consistent with Mary Pendergast's
5 comments a few years back, that there has been a requirement
6 that we have some sort of process in place to do just this
7 for 20 years.

8 And I wonder sometimes whether, because blood has
9 traditionally been safe prior to that date, and
10 unfortunately through HIV we learned some hard lessons, but
11 have there ever really been resources set aside, just like
12 if I had to plan, my heating system is going to go, I am
13 planning for resources to replace that? Was this really a
14 budget item for 20 years, to basically say, you know, some
15 day we're going to get something that we're going to have to
16 actually perform a lookback and notify all consignees?
17 Which, if you look at the '77 regs, includes the individual.

18 So there has always been that problem of how we're
19 going to do it, and with the record-keeping now being
20 electronic, it's much easier. I think that it's been a
21 great effort to basically identify the problems, even though
22 the yield wasn't quite as great as perhaps the resources
23 spent to find these people. But I think that it may
24 identify the problems that will definitely allow us to at
25 least be in compliance, as I read the 1977 regs, on the next

1 challenge.

2 DR. HOLLINGER: Thank you. If there are no
3 further questions, thank you very much, Paul.

4 Oh, yes, Dr. Schmidt?

5 DR. SCHMIDT: I think the expenditure Dr. Katz has
6 given us is the blood center expenditure, and my
7 recollection is, the American Hospital Association backed
8 off the old HIV concept or looking at everybody who was ever
9 transfused, when it was pointed out to them that the
10 hospitals in the country would have to spend millions and
11 millions that they didn't budget. So when you're talking
12 about costs, it's not at the blood center level. That's a
13 small part of the cost of getting to the patient.

14 MR. RICE: Well, I think that now that we know
15 this happens, we have to perform it, whatever the chain in
16 that is actually involved in getting these notifications,
17 that perhaps some planning for the future to have resources
18 available, to make this not quite as difficult a task
19 financially than it obviously has been for HCV.

20 DR. HOLLINGER: Thank you.

21 The next update is on post-donation information
22 algorithm, and Dr. Tabor is going to give us that update.

23 DR. TABOR: On previous occasions at BPAC when I
24 have discussed post-donation information algorithms, I used
25 slides, and I was told afterwards that the slides were too

1 detailed to be useful. So this time we arranged to have the
2 copies of the algorithms submitted to the committee ahead of
3 time in your mailer, so you may want to pull those out and
4 follow them.

5 We also had planned to have them at the front desk
6 for the audience to pick up, but unfortunately they were not
7 there the first thing this morning, but they will be there,
8 I am told, at the break for you to obtain. And the
9 algorithm is also available on the web, that is, the draft
10 algorithm.

11 Well, as you know, we have been discussing these
12 algorithms at BPAC almost every meeting since 1997. The
13 topic was previously called "inadvertent contamination," and
14 we searched far and wide for a replacement name for it, and
15 it's not called "post-donation information." Let me remind
16 you that so far these discussions have involved only those
17 viruses for which serologic tests exist, and which can be
18 inactivated and removed by procedures applied during the
19 manufacturing process for plasma derivatives, namely
20 hepatitis B virus, hepatitis C virus, and human
21 immunodeficiency virus.

22 To summarize what BPAC has recommended, BPAC voted
23 in March 1999 in support of the "test positive" algorithm;
24 and in May of 1999 BPAC voted in support of the "risk
25 factor" algorithm, with a proviso that footnote "i" be

1 shortened because the number of risk factors that were
2 listed there and that could activate the algorithm was so
3 large that post-donation information would affect every lot
4 of every plasma derivative.

5 At the September 1999 BPAC, a revised algorithm
6 was presented, along with additional suggestions for
7 changes, based on the fact that by 1999 all units entering
8 plasma pools would have been found to be negative for HCV
9 and HIV by NAT testing of minipools. The revised algorithm
10 presented at September BPAC included the suggestion that if
11 post-donation information were received that a donor was in
12 fact in a listed risk group, the pool itself would be
13 tested, that is, the manufacturing pool would be tested, as
14 an additional precaution, for HCV and HIV by a NAT test
15 under an IND, and for HBV DNA by a NAT method validated
16 under an IND. If all of these tests were negative, the pool
17 or products would be releasable. And of course a positive
18 test in one of these NAT tests would trigger a further GMP
19 assessment.

20 We have made further modifications to the draft
21 algorithm to reflect the BPAC discussion at the September
22 meeting and to address issues related to prior donations by
23 a donor with post-donation information, so you might want to
24 take a look at the draft algorithm. Aside from several
25 minor corrections to the algorithm, you will find that the

1 main changes are located on the second page, which is titled
2 "Risk Factor: Plasma," as well as in some of the footnotes.

3 If post-donation information is discovered prior
4 to the pooling of a donation, the unit from that donor would
5 be destroyed. However, if the unit has already been pooled,
6 NAT would be done on the manufacturing pool, and if NAT for
7 HCV and HIV were negative on the manufacturing pool, as well
8 of course as prior NAT on the minipool, and if a validated
9 NAT were negative for HBV on the manufacturing pool, then
10 the pool and/or the product could be released.

11 All pools or products containing prior donations
12 by the same donor could be released, as well, provided that
13 a recent donor sample were negative for all recommended
14 serologic screening tests by NAT for HBV, HCV, and HIV, and
15 serologic tests for anti-HBc and anti-HBs.

16 If any NAT on the manufacturing pool done after
17 the receipt of post-donation information were positive, or
18 if a paper audit by the fractionator revealed that the
19 minipool NAT done prior to pooling had in fact been positive
20 and had incorrectly been reported as negative, or if NAT had
21 for some reason not even been done on the minipool, then the
22 pool and product would be quarantined and a GMP evaluation
23 would be done. The GMP evaluation would be the same type of
24 evaluation that was endorsed by BPAC at a prior meeting, as
25 described in footnote "d".

1 At a prior BPAC meeting, we had indicated that
2 these algorithms, the "test positive" algorithm and the
3 "risk factor" algorithm for post-donation information, would
4 not be developed into guidance documents until the approval
5 of NAT tests under PLAs. We are now near to the time when
6 one or more PLAs will be submitted to FDA for NAT testing.
7 Therefore, it's safe to assume that the Office of Blood
8 Research and Review will be working on a guidance document
9 for these algorithms during the coming year in anticipation
10 of the submission and approval of NAT tests for blood and
11 plasma.

12 And I can take any questions that you have about
13 the algorithm.

14 DR. HOLLINGER: Any questions? Yes, Dr.
15 Chamberland?

16 DR. CHAMBERLAND: Ed, thanks. A couple questions.
17 In terms of the pieces, the parts of the algorithm that
18 require NAT testing, I think they--I was trying to reconcile
19 the HBV NAT requirement with what we heard yesterday,
20 because obviously it seems that certainly for minipool
21 testing, HIV and hepatitis C NAT testing is much further
22 along. So I wondered if you could elaborate a little bit
23 more about how this algorithm plays out with respect to NAT
24 testing for hepatitis B?

25 DR. TABOR: Okay, let me try.

1 DR. CHAMBERLAND: And I was also curious about the
2 data that Sue Stramer presented from the Red Cross
3 yesterday, that seemed to indicate that performance of a
4 hepatitis B surface antigen test with increased sensitivity
5 might even be a better way to go. So I was wondering if you
6 could just sort of help elaborate a little bit on that.

7 DR. TABOR: Okay. Well, let me first say that the
8 algorithm we're talking about is an algorithm for plasma,
9 and at least some of our discussion yesterday was about
10 testing of whole blood. In the case of plasma, we feel very
11 confident, based on data presented to BPAC over the past two
12 years, and that I subsequently published in a review in
13 Transfusion that was also submitted with your packet, we
14 feel confident, based on the accumulated data, that if all
15 of the GMP requirements are met and appropriate inactivation
16 or removal procedures are followed in the manufacturing of
17 pooled plasma derivatives, that any residual HBV would be
18 non-infectious for the recipient of these products. And we
19 also feel that the HBsAg testing removes the vast majority
20 of HBV-infected units that could enter a pool.

21 Well, let me drop back to talk about HCV and HIV
22 for just one minute. Those are already being tested in
23 minipools for plasma entering the manufacturing pools, and
24 the addition to the algorithm of NAT testing for HCV and HIV
25 on the manufacturing pool was essentially a back-up method

1 to allow the manufacturers not to have to do a GMP
2 evaluation on every single lot of every single product based
3 on information that a donor was in a high-risk population.

4 You must remember that these are all donors, even
5 if they are in high-risk populations, they are all donors
6 who tested negative on all the serologic tests as well as in
7 the minipool testing for HCV and HIV. So in this case the
8 subsequent testing by NAT on the manufacturing pool was
9 basically a sort of fail-safe addition to the algorithm.

10 In the case of HBV, we don't have minipool testing
11 at present, and as we heard yesterday, it's unclear whether
12 we will have minipool testing or whether, when the
13 technology is available, perhaps we'll go straight to single
14 donor testing. But at present there is no minipool testing
15 on most of the plasma entering products manufactured in the
16 United States.

17 Nevertheless, based on the serologic tests
18 available, we do feel that there is very little HBV that
19 would enter the pool, based on the testing that's available,
20 and we feel that the evidence is that any HBV that entered
21 the pool would be inactivated by the manufacturing
22 procedures. But it was felt also that adding NAT testing of
23 the manufacturing pool would at least ensure that there was
24 no detectable HBV DNA at a certain level, and that this too
25 provided some measure of additional safety in a situation

1 where we really don't feel there is any risk at all.

2 DR. HOLLINGER: And I guess also, Ed, if I am not
3 mistaken, with the plasma these are still anti-core
4 positive, probably anti-HBs positive units also dumped into
5 the pool, which should add an additional safety factor for
6 the HBV. That's correct, is it not?

7 DR. TABOR: Right, that's correct, because--

8 DR. HOLLINGER: So there should be some
9 neutralization going on potentially anyway in those pools.

10 DR. TABOR: That's correct.

11 DR. HOLLINGER: Yes, John?

12 DR. BOYLE: I would just like to clarify this,
13 because there are two options. One is the audit trail of
14 the GMPs and the other is the NAT testing. The industry in
15 the past seems to have said that they can't do the audit
16 trail in 36 hours, so the only way to avoid that is to have
17 universal NAT testing. Is that not correct?

18 DR. TABOR: Well, 36 hours wasn't the actual
19 figure that we had somewhat arbitrarily selected. It was, I
20 think, 72 hours, but it was equally arbitrary, and what
21 you're saying is correct. I don't really buy the inability
22 to do it in that amount of time if resources were focused on
23 it, but it would be a great burden to have to do it on every
24 lot of every product, and it would cause a bottleneck in the
25 supply of these products.

1 You will be hearing later, probably in the open
2 public session, from the Plasma Protein Therapeutics
3 Association, previously IPPIA, about some further
4 modifications of a plan they are proposing to precertify GMP
5 measures, and we can discuss that when they talk about it.
6 But basically what you said is correct, that this type of
7 NAT testing would be to bypass a reevaluation of GMPs.

8 But, you know, what it really boils down to is,
9 the only situation in which a manufacturer would end up
10 doing this type of GMP evaluation would be when they failed
11 the testing or when minipool testing had not been properly
12 done. I think that's what it would boil down to.

13 DR. HOLLINGER: One other question. On page 2 of
14 the things that you sent us, Ed, it says that "all pools or
15 products containing prior donations could be released,
16 provided that a recent donor sample were negative for," and
17 it says "all recommended serologic screening tests, NAT for
18 HBV, HCV, HIV, and serologic tests for anti-HBc and anti-
19 HBs." I guess I would come back again and say, but these
20 are being released into the pool of plasma products anyway.

21 DR. TABOR: Well, yes. Yes, let me explain that.
22 That was added because of an actual situation that arose
23 since the last time we discussed this with BPAC, and we
24 realized we had to address the issue of prior donations by
25 the donor that might not have been--that might still be in

1 stock or in the manufacturing line. And what we want to do
2 is make sure that the present donation is not infectious and
3 that the prior donations are not infectious, if they are
4 still in the process of manufacturing.

5 And let's just say you have a donor who said they
6 were not in any of the risk groups, answered negatively to
7 all the questions in the questionnaire, and then on a
8 subsequent donation or after they went home realized they
9 were, they had had a tattoo or something. They called up
10 and said that they really were in a risk group and had
11 forgotten to tell you.

12 Well, you want to make sure they were not
13 infectious at the time of the present donation, so you have
14 got the minipool NAT and you have got NAT testing on the
15 manufacturing pool as well as serologic testing on the donor
16 sample. You want to make sure, also, that any prior
17 donations are not infectious.

18 Well, if you have all of these tests available on
19 the donor, you know that--if you have the tests available,
20 you have serologic tests on the earlier samples, you have
21 NAT testing on the current sample, you might not have NAT
22 testing on the earlier samples, depending on when it was
23 collected, but it is possible that they could have had, say,
24 hepatitis B when they donated six months ago and now be
25 anti-HBc or anti-HBs positive. And that was why that was

1 added.

2 But in general anti-HBc and anti-HBs positive
3 units are entering the pool, but in this case you want to
4 make sure they don't have any evidence of prior HBV
5 infection. Now, the one exception, if you noticed in the
6 footnotes, was for plasma that's going into immune
7 globulins, and it was felt necessary to make an exception
8 for plasma that's going only into immune globulins.

9 DR. HOLLINGER: Yes, Dr. Koerper?

10 DR. KOERPER: I'm curious why you're testing for
11 anti-HBs, because this means that somebody who has been
12 immunized for hepatitis B would turn up positive for that
13 test only.

14 DR. TABOR: That was brought up in our internal
15 discussions. What you're dealing with is a situation where
16 a manufacturer wants to use material that they would not
17 have been able to use if the donor questionnaire had been
18 answered honestly and appropriately, and so the manufacturer
19 has the option of evaluating their GMPs for that lot or any
20 affected lots, and if they want to bypass that, they can do
21 this testing. And if the individual in that risk group
22 happened to have been vaccinated, they still have the option
23 of evaluating the GMPs for those lots.

24 But you're not going to be able to necessarily--I
25 mean, I guess it's something that we can address in the

1 footnotes to the algorithm, but it seems to me that this
2 pathway of additional testing is to enable the manufacturer
3 not to have to do a GMP evaluation on those lots.

4 DR. KOERPER: Right, but maybe Blaine can answer
5 this. How often does somebody have an infection with HBV
6 and only be left with an anti-HBs?

7 DR. HOLLINGER: I have never seen that.

8 DR. KOERPER: I have never seen that, either. If
9 you take all of our hemophilia patients that we have tested
10 over 20 years, all the ones who were infected have anti-HBc.
11 And the only ones who only have the anti-HBs are the ones
12 that we immunized, so that's why I'm questioning the need to
13 do the anti-HBs.

14 DR. TABOR: What you're saying is that anti-HBc
15 would be enough.

16 DR. HOLLINGER: Any other comments to Dr. Tabor?
17 You're very silent over there, Toby.

18 DR. SIMON: I believe industry is making a
19 presentation, and at the time--is that correct? Okay.

20 DR. TABOR: Let me just emphasize that this is not
21 final, that the guidance document will be prepared and made
22 available for public comment, and we welcome suggestions,
23 including the ones such as you made about anti-HBc and anti-
24 HBs.

25 DR. HOLLINGER: Will you still be in the FDA, Ed,

1 by the time this is all completed?

2 DR. TABOR: Well, if HCV lookback is any model,
3 I'll probably be walking with a cane.

4 DR. HOLLINGER: Okay. Thanks, Ed. Yes? Oh, I'm
5 sorry.

6 DR. MITCHELL: I just wanted to comment that you
7 had mentioned about tattoos, and I wanted to make it clear
8 that that's not in--

9 DR. TABOR: I'm sorry. Yes, I gave a bad example.
10 Thank you.

11 DR. HOLLINGER: Okay. The next update is on IGIV
12 clinical endpoints, and Dr. Golding is going to give us an
13 update on that.

14 DR. GOLDING: Good morning. Before we start with
15 the slides, just a small comment. Dr. Albert Ferrugia is
16 here visiting from Australia. He is the director of the
17 equivalent Office of Blood in Australia, and when he came to
18 our group he asked me how was my labyrinthitis.

19 I asked him, "How do you know that I had
20 labyrinthitis?" He said, "At the last BPAC, when you went
21 up, you said you had a viral labyrinthitis and if you were
22 disoriented, it was because the viral labyrinthitis." "And
23 how did you find that out?" "It's on the transcript and
24 that's on the web."

25 So I need to update my medical record that's on

1 the web. The diagnosis of viral labyrinthitis was
2 incorrect. I was taking non-steroidal anti-inflammatory
3 drugs at the time, and that caused the dizziness. When I
4 stopped the drugs, the dizziness went away. And I just
5 wanted to be sure that that was clear and on the internet.

6 As for the IGIV update, could I have the first
7 slide? So what I'm going to be talking about is the Immune
8 Globulin Intravenous (Human), a clinical trial proposal for
9 primary immune deficiency, and what I'm going to tell you,
10 this proposal is based on a consensus that was arrived at
11 from discussions with the Clinical Review Branch at the
12 Office of Blood, the senior management at the Office of
13 Blood and CBER, and much help from Peter Lachenbruch and his
14 group at CBER.

15 At the BPAC in March '99 I emphasized that the
16 plasma fractionation process was complicated. It's a multi-
17 step process. Variations in the manufacture can have far-
18 reaching effects on safety and efficacy, and we regard each
19 product as being unique, and that immune globulin should not
20 be treated as a single generic biologic.

21 I also stated at that BPAC that we had come up
22 with a proposal, and the proposal for study, for clinical
23 study, was a prospective, double-blinded, randomized Phase
24 III study, in other words, a two-arm study to evaluate the
25 efficacy and safety of new IGIV products by comparing them

1 to licensed IGIV products, and the sample size that was
2 calculated at that time was approximately 80 patients, which
3 was much less than most of the trials that were being
4 proposed at the time.

5 So the problems with this trial design that we
6 discovered were that there still were limited numbers of
7 patients with the diagnosis of primary immune deficiency
8 that could be recruited for these trials, and that multiple
9 new IGIV products were in line to be tested, and that the
10 critical shortage of IGIV persisted, which drives the need
11 to seek other means to come to a proposal that would allow
12 foster approval of these products in a safe and effective
13 manner.

14 So the new proposal that we're proposing now--and
15 I would like to emphasize that this is only a proposal, it
16 does not exclude other proposals that could be made by
17 manufacturers--so according to this proposal, discussion of
18 possible trials that would reduce the sample size were
19 arrived at from internal debate at the FDA, and formal and
20 informal discussions with the Immune Deficiency Foundation,
21 and the discussions centered around many different issues.
22 One was the possibility of using pharmacokinetic data as a
23 basis for approval. Another suggestion was the suggestion
24 that we could use surrogate endpoints such as fever as the
25 primary endpoint.

1 What we think we have come up with as being
2 preferable to this is using historical controls to justify a
3 single-arm study, and this is based on the fact that IGIV
4 products have been very successful in limiting infections in
5 PID patients, and that acute bacterial infections per
6 patient per year are as many as four or greater than four
7 without treatment, and are in the region of .5 or fewer than
8 .5 on treatment. So this is at least an eight-fold
9 difference between patients receiving IGIV and patients not
10 receiving IGIV.

11 So the study design that we came up with is a
12 single-arm study. It would be a 12-month open study, and we
13 would be comparing the new product to historical controls
14 for safety, PK data, and efficacy, using 80 percent power
15 and 99 percent confidence level, in other words, an alpha of
16 .01, and the increased rigor is partly to account for the
17 single-arm nature of the study and to do one-sided testing
18 of the data.

19 In terms of safety, the safety targets are again
20 based on previous trials and historical data. The
21 historical control estimate is that 20 percent of adverse
22 events occur per infusion of IGIV, and the trial target
23 would be to exclude 40 percent or greater adverse events per
24 infusion. And the approximate sample size for this would be
25 about 40 to 50 patients receiving 12 infusions sequentially,

1 in other words, about a year of follow-up for each patient
2 because they receive these infusions every three to four
3 weeks.

4 The clinical trial design would include PK
5 studies, so there would be first a washout period. The
6 patients would still be receiving IGIV but after two or
7 three months the data would be collected for the Cmax, the
8 Tmax, the area under the curve clearance, and the half-
9 lives, and importantly also the trough levels, which are
10 used almost universally by physicians to decide on dosage.
11 And the observed values should not be inferior to those
12 concurrently or previously determined for approved products.

13 In terms of efficacy, the efficacy would be
14 established using an objective, clinically meaningful
15 endpoint. The primary endpoint would be acute serious
16 bacterial infections which would be predefined, and what
17 we're talking about here are infections such as pneumonia,
18 bacterial pneumonia, bacterial meningitis, bacteremia and
19 septicemia, osteomyelitis. Most of the acute serious
20 bacterial infections are in fact pneumonias. By the
21 overwhelming majority are pneumonia, which can be diagnosed
22 by x-ray and which are responsive to antibiotics.

23 The secondary endpoints could include or should
24 include serum immune globulin levels, other endpoints such
25 as antibiotic treatment, numbers of hospitalizations, fever,

1 and others. The sample size should be sufficient to
2 determine whether the infection rate for the new IGIV is at
3 or below the "beltline", and the numbers that we came up
4 with were approximately in the range of 40 to 50 patients.

5 The primary endpoint, as I said, would be acute
6 serious bacterial infections, and this is based on
7 historical controls that the infections per patient per year
8 are less or equal to .5 using approved IGIV products, and
9 the data with the new product must exclude an infection rate
10 of 1 or greater than 1 per patient per year.

11 The trial would be considered a Phase III pivotal
12 trial sufficient for licensure. Submissions with six-month
13 interim data could be submitted six months after the trial
14 onset to initiate review of the manufacturing, the PK data,
15 and the initial safety data. The efficacy and complete
16 safety data would be submitted after termination of the
17 trial, that is, each patient would be treated for a minimum
18 of 12 months. Initial FDA action is expected within six
19 months of receipt of the completed data.

20 In conclusion, the number of patients per trial
21 will be reduced, permitting concurrent trials of new
22 products. For approval, the new products will need to have
23 acceptable safety, PK, and efficacy profiles when compared
24 to historical controls.

25 And the data will be collected during the trials

1 to validate additional surrogate markers, e.g., for example,
2 antibodies against specific pathogens. For example, we know
3 that Haemophilus influenza and streptococcus pneumonia are
4 the primary causes of pneumonia in these patients, and it
5 seems reasonable to start collecting data to make sure that-
6 -to determine whether we could use these as surrogate
7 markers for subsequent trials.

8 Thank you.

9 DR. HOLLINGER: Thank you, Dr. Golding.

10 Any questions for Dr. Golding? Yes, Dr. Boyle?

11 DR. BOYLE: It's not a question, it's just a
12 congratulations to the FDA on a job well done.

13 DR. HOLLINGER: Thank you. If there are no
14 further questions, then--oh, yes. Dr. Epstein

15 DR. EPSTEIN: Yes, I just wanted to add a comment.
16 Well, first of all, thank you for your remark. The central
17 change here is shifting from the notion that we can't
18 approve a new product without comparing it in a two-arm
19 trial to a previously approved product. Instead, what we
20 have done is, we have examined the historic data and
21 established a standard for approval of any new product.
22 That's the central insight here. The fact that we have also
23 added a rolling type review is to expedite the process, but
24 that's really the key point.

25 DR. HOLLINGER: Thank you.

1 This completes the committee's updates this
2 morning. We're going to open this up now to the public
3 hearing. There are several people who have asked to speak
4 to some of these issues. So the first will be Jason Bablack
5 from the PPTA. Tell us about the new name, too, Jason.

6 MR. BABLACK: Thank you. With regards to the new
7 name, it's the Plasma Protein Therapeutics Association, and
8 it reflects a merger, if you will, with our European
9 counterparts, which was the European Association of the
10 Plasma Products Industry, and it is really focusing now of
11 global issues, with a North American component, a European
12 component, and we are expanding also to look at issues in
13 Japan, as well.

14 With regards to the post-donation information
15 algorithm, I would like to make a few comments. I have
16 about 10 or 11 slides here, and then we would be happy to
17 answer any questions that you have.

18 First of all, I would like to change the focus of
19 this ever so slightly because we have been talking about
20 post-donation information reports, but I really want to get
21 to what those stand for. And what they stand for are
22 undetectable window period units or the potential of an
23 undetectable window period unit. So we are going to just
24 change the focus of the discussion ever so slightly to
25 address that potential risk, and talk about a proposal that

1 we have come up with that we hope will address that at least
2 as good as what the FDA proposes, and hopefully a little
3 better.

4 This is just an introduction slide, and in the
5 past there has been a little confusion about what is
6 currently done, what the FDA has suggested and what we want
7 to do, so I just want to kind of summarize it, start off
8 from that base and then go forward. So currently, when we
9 get information, post-donation information for risk factors,
10 for hepatitis B, C, or HIV risk factors, the units in
11 inventory, and because we have a 60-day inventory hold, all
12 of those units are removed from further manufacture. Non-
13 reactive units, and all of those that actually are in
14 inventory are non-reactive, that have been pooled, continue
15 through normal processing.

16 So this is currently what is done. It's in the
17 company SOPs. They have all been inspected and agreed to by
18 the FDA. That is what is currently done, and the rationale
19 behind that is, basically there are very robust inactivation
20 procedures, that if there is a potential window period that
21 is below the level of detection, that would definitely be
22 taken care of through viral inactivation methods.

23 FDA has developed an algorithm to address PDIRs,
24 and it includes NAT testing options that Dr. Tabor discussed
25 just a minute ago. It also involves a potential of tracing

1 and a retrospective review of viral inactivation data for
2 those impacted lots. And the industry alternative is a
3 prospective supplemental review focused on viral reduction
4 records for all lots, and it also includes NAT testing of
5 minipools and/or manufacturing pools.

6 So just from the start, I think we are very close,
7 and it's really a matter of do we want to go backwards and
8 look at ones that are identified through PDIRs, or do we
9 want to go forward and say for every lot? Because there's a
10 chance that any unit could actually at some point have a
11 PDIR associated with it, do we want to have assurance for
12 any unit going forward?

13 With the history of the issue, I think everyone
14 agrees we have an excellent viral safety record profile for
15 these products. Dr. Tabor just recently published an
16 article in Transfusion speaking to that, and I don't think
17 there is any disagreement with that. Nonetheless, there was
18 a recommendation that we can and should continue to look at
19 ways to further improve the margin of safety, and one way is
20 to address any potential risks that would be associated with
21 PDIRs. And here again I want to take a step back from the
22 actual post-donation information report and say it's really
23 the window period or potential that a unit is in the window
24 period that we want to address the risk for.

25 I won't go through this in too much detail. The

1 FDA has developed an algorithm that we've gone through many
2 times in recent BPAC meetings, and it's focused on post-
3 donation information reports. That's the trigger to
4 initiate some sort of review. Either it's looking at the
5 unit or the pool, or going back and looking at the actual
6 products made from that pool.

7 The NAT testing was originally focused on the
8 donor unit, with discussions today which sounded very
9 promising to us. It looked like NAT testing on the
10 manufacturing pool might be sufficient, and one question we
11 have for the FDA is, would a NAT test done prior to finding
12 of the PDIR suffice, or would you have to go back and test
13 retrospectively?

14 Currently, though, not all the manufacturers are
15 testing for hepatitis B, so right now that is not an option
16 that would exclude the GMP review. So for the FDA
17 algorithm, it would involve a retrospective tracing of each
18 unit to identify all the impacted lots, and then going the
19 comprehensive GMP evaluation. And in the original
20 algorithm, and I think it's still there, is the 72-hour time
21 frame for doing all of these.

22 Now, this is a slide I think I showed you last
23 September, but I think it's important to kind of understand.
24 This is an example of a PDIR, and this was the example--we
25 collected many examples--this was the one that had the least

1 amount of impact. There was one PDIR. Of that, there were
2 seven units collected from that individual prior to getting
3 that information. Six of those were removed from inventory,
4 from the 60-day hold.

5 One of them had been manufactured. And you can
6 see what happened to that one unit. There were four Factor
7 VIII lots; one Factor IX; eight albumin; and one IGIV lot;
8 plus eight intermediates. So there were intermediates that
9 had not been manufactured to final products yet, and it's
10 still unclear what would happen with those under the FDA
11 algorithm. But that's just to show you that one unit from
12 one donor can have that effect on the number of final
13 products, so this is a significant amount of reviews if
14 you're doing it retrospectively.

15 We also have some additional concerns with the
16 algorithm. We believe it's inefficient in addressing what
17 we're trying to get at, which is the potential risk
18 associated with undetectable window period units. While it
19 can actually address some risk, if you look at the ones that
20 are identified, there are still many that may not be
21 identified, because really what we're talking about is the
22 potential risk is based on the possibility of an
23 undetectable window period unit entering the pool.

24 Now, these are random events that we believe are
25 not accurate predictors of actual risk through a particular

1 unit, and in the next slide I'll explain that a little bit
2 further. Also, we have the 60-day inventory hold that
3 reduces the risk already of pooling window period units,
4 because as we get additional sampling from these donors, if
5 one would happen to seroconvert, we can go back and pull out
6 what would be window period units. And then there is also
7 the problem of most if not, many if not most lots are
8 already released, and this requires a quarantine while we do
9 these investigations, and obviously that causes some supply
10 implications.

11 Now, this is, it doesn't look like it but it's
12 actually a busy slide and I'm going to take a couple of
13 minutes to go through it. Assume that this is your typical
14 plasma donor who would donate once a week, so he donated
15 four times in January, four times in February, four times in
16 March, three times in April, and he comes back in April and
17 gives us some information. Now, it's important to
18 understand that all of these units are negative for all the
19 serological tests and all NAT tests that are currently done
20 by the manufacturer.

21 Now, if you go back to the first day in January,
22 and I had a little mark on there but it looks like it came
23 off, assuming that first unit in January is actually when
24 the risk activity took place, what this does is, all of
25 those units have an additional level of risk associated with

1 them, that they could be potentially in the window period
2 unit. We don't know about that until you get down to April,
3 and if you look at what happens then, we get that piece of
4 information, we go back and take out all the units in
5 inventory, so that takes out all of April and all of March,
6 but there are still several units from February and from
7 January that have been pooled and may have actually been
8 manufactured.

9 Now, if you look at the FDA algorithm, what it
10 does is, it waits until you get that PDIR and then you're
11 going to go back and do some kind of retrospective review.
12 Even if you're just looking at the NAT tests on the
13 manufacturing pool, even if you're going back and looking at
14 all of these reviews, it's still retrospective, without
15 addressing the risk that each of those units has going
16 forward.

17 What we want to do is, for each of those units as
18 they go through manufacturing, assume the worst, if you
19 will, in that we are going to assume that each of these
20 could potentially be in the window period. And what we're
21 going to do is, we're going to add not only the NAT testing,
22 because we're doing that, but we're going to add the
23 enhanced GMP review for viral inactivation records for every
24 single one, because any of these could at any time have a
25 PDIR associated with it. And rather than wait to get that

1 PDIR, we're going to go forward saying that any one could
2 have it, and so therefore they should all be treated the
3 same.

4 So, just quickly going through our proposal, it's
5 a prospective supplemental review focused on the viral
6 reduction records for all lots, and this is performed by
7 staff who are specially trained in virological principles,
8 product-specific processes for viral inactivation and
9 reduction steps, and critical operating parameters for each
10 step.

11 Now, this is important because we have had some
12 discussion about this, as well. This is conducted as an
13 additional review, so the normal manufacturing review is
14 done, the normal QA review is done, and then this is an
15 additional review by an additional set of eyes, if you will,
16 to look one more time at what we think are very critical
17 parts of the manufacturing process. And the certification
18 of this review is required for lot release, so in order to
19 get the lot out the door, you have to have this additional
20 review done.

21 In addition to that, we are currently NAT testing
22 for hepatitis C and HIV at the minipool and/or the
23 manufacturing pool, and the manufacturers have submitted
24 INDs for hepatitis B NAT testing. So in essence we're doing
25 both; we're just going to do them up front.

1 Now, what are the benefits of this? We believe
2 this is an effective alternative to address potential viral
3 risk associated with undetectable window period units, and
4 it doesn't impact supply because we do it up front, we do it
5 on a routine, regular basis that does not require us to
6 quarantine products. It applies to all lots of plasma
7 derivatives, so it does not rely in a random event to
8 trigger this review.

9 It's something that we do all the time. It's
10 prospective, so, if you will, it's preventative versus
11 reactive. It provides an additional assurance of viral
12 reduction procedures. Any potential safety issue related to
13 PDIR or anything else, because you're not solely looking at
14 PDIR's, are addressed before a lot goes out the door. And,
15 once again, it minimizes the impact of product supply. And
16 with the NAT testing, I think everyone agrees that this is
17 state-of-the-art, and it further reduces the window period,
18 which basically makes this more effective.

19 Where are we? This is the implementation phase.
20 The companies have all done the preparation of their viral
21 record review documentation, so all the check sheets are
22 made. Revision of corporate SOPs for product release, so in
23 order to get product out the door, this is part of that SOP
24 now. Employees have been trained on virology, the viral
25 reduction processes for their particular steps, and the

1 program SOPs, so that they understand that this review is
2 required and how to perform the review. And it is
3 implemented for all currently manufactured products, so it
4 is actually in place.

5 And after some discussions, both with consumer
6 groups and the FDA, we felt that it was important to add
7 this final piece to it. And really what this is, we're
8 going to have an independent third party audit the company's
9 implementation of this program, and we will make those
10 audits available to the FDA so they can say whether or not
11 it's actually being done.

12 And I would be happy to answer any questions you
13 have.

14 DR. HOLLINGER: Any questions for Mr. Bablack?
15 Yes, Dr. Boyle?

16 DR. BOYLE: Just a question on these PDIRs. Are
17 most of them coming from first-time donors, as opposed to
18 continuing donors?

19 MR. BABLACK: No. PDIRs, by their very nature,
20 come from repeat donors, because if they were coming from a
21 first-time donor, they wouldn't have donated in the first
22 place. So they come back at some point in the future, and
23 you can see from the example I gave that it was, for this
24 first example where there were seven units drawn, it was
25 done very early. It is not always that way, and a lot of

1 the examples we collected showed 20 or 30 units in
2 manufacturing, not just the ones in inventory hold but in
3 manufacturing, before we got that piece of information.

4 DR. BOYLE: But looking at the five elements that
5 are in the algorithm, and I'm thinking of your chart up
6 there that shows the donations from January to April, and so
7 at the end of April somebody says, "Oh, by the way, I was an
8 IV drug user," or "Oh, by the way, I've been having sex with
9 other men"?

10 MR. BABLACK: Right.

11 DR. BOYLE: That actually does happen?

12 MR. BABLACK: That actually does happen.

13 Unfortunately, but it does.

14 DR. SIMON: Well, it's usually a little bit more,
15 you know, a little bit more below the surface, like, "You
16 know, I had something in 1978 or '83." So it's not usually
17 the more overt sort of thing. Or "I forgot that I lived as
18 a child as a missionary in Nigeria." That's the sort of
19 thing we see.

20 DR. HOLLINGER: Yes?

21 DR. FITZPATRICK: So, to boil this down, what
22 you're proposing is that if this program is successful, then
23 if you get a PDIR, you would just ignore it essentially?

24 MR. BABLACK: What you would do, to put it in a
25 more positive spin, you would have already done what the FDA

1 would like you to do, you would have done up front, and so
2 it would obviate the need to do anything from that
3 particular piece of information. And don't forget, it's
4 also important to understand that the collection center
5 already sends an accident and error report to the FDA
6 regarding that, so this is obviously done in addition to the
7 FDA, understanding that that already happened.

8 DR. FITZPATRICK: And this is currently being put
9 in place by industry?

10 MR. BABLACK: It is in place for all currently
11 manufactured products.

12 DR. FITZPATRICK: So I think we had this
13 discussion a while back, so that I think the committee said
14 that we endorsed what you were doing. We wanted to see a
15 track record before anyone made a recommendation to the FDA
16 that they change their algorithm process, that your program
17 was successful.

18 MR. BABLACK: Right. So where we are right now
19 is, the program has been implemented and we are in the
20 process of developing this third party audit that we can
21 then share with the FDA and--

22 DR. FITZPATRICK: Yes. It looks like a great
23 program. We just need to see that it works.

24 MR. BABLACK: Thank you.

25 DR. HOLLINGER: Thank you. Yes, Mark? Dr.

1 Mitchell?

2 DR. MITCHELL: I would expect, then, when a PDIR
3 comes across, that there would be some kind of documentation
4 of what has already been done. Is that what you're
5 proposing?

6 MR. BABLACK: Actually we're not, and let me
7 explain why. Doing, basing it on the PDIR, one, it doesn't
8 actually accomplish anything because the risk is either
9 there or it's not. So looking at that as a trigger, if that
10 were a test, it would be very non-sensitive and non-
11 specific.

12 So it doesn't really tell us anything that we
13 don't already know about that unit, if we already assume
14 that that unit could be in the window period. So,
15 therefore, basing any additional investigations on that
16 particular piece of information I don't think provides us
17 any additional assurance that anything has been done or
18 needs to be done, as along as something has already been
19 done for everything.

20 That's the way we are viewing this. If we didn't
21 have something in place, then there might be some suggestion
22 that, yes, we should do something with that piece of
23 information. I think that's where the discussion has been
24 in the past, is if you have an additional piece of
25 information, do you need to do something additional? What

1 we are saying is, if we assume this for every single unit,
2 then getting that piece of information is just--it doesn't
3 tell us anything else because we've already assumed it into
4 the process.

5 I don't think that answered your question very
6 well.

7 DR. HOLLINGER: Dr. Macik?

8 DR. MACIK: In looking at what you're doing, you
9 could in essence call this kind of a universal precaution.
10 You assume every donation is a window unit donation, and you
11 are working it up maximally. And so you accept the risk
12 that with the best possible tests that we have today, there
13 are still going to be a few units in there that you can't
14 find, that are before NAT testing.

15 In the plasma industry, for most of the processes,
16 then you have the further fail-safe of you're doing an
17 inactivation process which should catch those rare window
18 units that go through. And so it would seem to me,
19 acknowledging that we would like to see that it actually is
20 in place and working as proposed, that this is probably a
21 much safer way and a better way and a prospective way to
22 look at this information, without spending manpower and
23 dollars doing retrospective reviews that we have tended to
24 get into, that really just look back and identify our
25 errors, and not really making efforts to prospectively

1 prevent errors.

2 So I would like to commend the industry on pulling
3 this together. It seems to me a good approach. Hopefully
4 some day we'll have a test that can give 100 percent window
5 unit identification, but until that time I think, you know,
6 this is probably about the best we can do.

7 DR. HOLLINGER: I'm going to take one more
8 question because we're getting a little bit behind. Dr.
9 Schmidt?

10 DR. SCHMIDT: Well, I'm strongly opposed to the
11 one question issue. I've been on this committee for several
12 times, and we've heard this before and we're hearing this in
13 piecemeal. I've said before that I'm strongly opposed to
14 this, and I want to emphasize that to the FDA. If they're
15 looking for guidance, this is a lousy--this proposal is okay
16 for operations, but in place of the FDA proposal it's lousy.
17 If the FDA wants to take this under advisement, then we need
18 to devote some time to it so we can hear the full story.

19 The implications of this is that we're negating
20 all our health history questions. You know, someone can
21 say, "Well, what difference does it make to ask for HIV or
22 HCV? Because it doesn't make any difference, we're going to
23 use that lot anyway." So, you know, that has fundamental
24 implications, and that merits a long discussion, not these
25 piecemeal presentations every 15 minutes with little

1 discussion. I'm appalled at this.

2 DR. HOLLINGER: Thank you, David. We'll take one
3 more question, then. We'll take other questions, then.
4 That's all right. Paul?

5 DR. McCURDY: Well, I think that universal
6 precautions example is not a bad one. I don't know how it
7 is now, but early on universal precautions were better when
8 you knew the patient was infected than when you didn't know
9 the patient was infected.

10 And I think a triggered lookback or a triggered
11 review is going to be more thorough, almost certainly, than
12 a routine review. It is, again, axiomatic that if you have
13 one person doing something and another person checking it,
14 the first person better do it right because the second
15 person will assume that he did, and will likely miss
16 problems of one sort or another.

17 I think there also needs to be some thought given
18 as to how the auditor is selected. Again, if the auditor is
19 not selected pretty independently, then again they may not
20 pick up all of the potential problems. I think it's a
21 potentially reasonable approach, but I think there are some
22 flaws.

23 I have one other question: What proportion of the
24 donors in the plasma industry donate once a week or twice
25 week, and for how long? Is this something that happens over

1 a year or two?

2 MR. BABLACK: I am not an expert on the donor
3 issues, but I can tell you that typically donors come in and
4 donate once or twice a week for a period of time and then
5 usually drop out. So there is an extended period of time
6 that they donate on a very regular basis.

7 DR. McCURDY: What's that period of time? Do you
8 have any idea?

9 MR. BABLACK: I can't answer that question. I'm
10 sorry

11 DR. HOLLINGER: Yes? Toby Simon.

12 DR. SIMON: I think one of the things that's been
13 lost sight of during the discussion, and I want to make sure
14 we don't, as I understand it, the PDIR is not being ignored.
15 When the report is received, all units that have not been
16 pooled are removed and destroyed. The issue is the units
17 that have been pooled, and what kind of system can we have
18 in place to protect the patient and at the same time not
19 disrupt supply?

20 And I think one of the issues that industry--that
21 also may have been lost sight of has been a lot of
22 discussion about whether the GMP review can be done in 72
23 hours. I think what I got out of the presentation is, it's
24 not so much that that's the time-consuming problem, it's
25 that each time that report is received, there has to be a

1 tracing of every unit that was received.

2 So that obviously you'll get a list of units and a
3 list of dates, and you start pulling the units in inventory
4 and then you come to those unit that have been pooled, and
5 you have to identify every pool of every product, multiple
6 products made, and then you have to identify every pool from
7 the intermediates that are made, and then go back and test
8 this all. And I think what industry is saying is that is
9 logistically very difficult and problematic, and can we take
10 the universal precautions approach and, given that, assume
11 that each pool will have a PDI in it at this point, and do
12 this prospectively?

13 So I think, in answer to Dr. McCurdy's question,
14 there is a little bit of division between specialty and non-
15 specialty. For example, in the ladies with anti-D donating
16 FRH immune globulin, it's not uncommon for them to donate
17 100 units a year, year after year after year. We have
18 donors that go back 20 and 30 years. Thirty years may be
19 too much. Yes, almost 30 now, getting close to that.

20 In the non-specialty, I think what Jason described
21 is the most common, but there are donors who stick in there
22 once or twice a week, year after year. Most donors come for
23 a period of time and then either move or for some other
24 reason, become too busy or whatever. So it's a highly
25 variable situation.

1 I think 10 or 20 units in a PDIR would be common.
2 Of course, the donors who give you a PDIR tend to be
3 somewhat less reliable donors compared to those who donate a
4 long period of time, but still there will be cases in which
5 a post-donation information report will come in with 50, 60,
6 100 units, and so forth.

7 DR. HOLLINGER: Mr. Rice?

8 MR. RICE: Yes, I just had a couple of questions.
9 The PDIR I would hope would pick up due to some of the
10 questions, the behavioral characteristics of the individual,
11 perhaps. I am more concerned about emerging threats than
12 the ones we know about.

13 Frankly, there are certain behaviors that the
14 questionnaires tries to elicit, which are the types of
15 things that are what I believe the real threat to the blood
16 supply, in that I believe testing and our review procedures,
17 the GMPs are so tight that I think pretty much that the risk
18 of what we know about is dealt with fairly aggressively and
19 completely. I'm more concerned about the things that we
20 don't know about, where that questionnaire trigger may
21 elicit, as we're developing and moving and finding new bugs
22 and new types of threats to the blood supply.

23 Now, you could say that we can't be concerned
24 about everything all the time, and you have to deal with
25 what you've got. But ultimately the mention there was, the

1 risk of this proposal is not borne by the industry;
2 ultimately the risk is borne by the recipient of these
3 products.

4 DR. HOLLINGER: Thank you.

5 Yes, Dr. Finlayson?

6 DR. FINLAYSON: I think apropos of Dr. Macik's
7 comments, it's very important to clarify something. And
8 unlike Dr. Golding, I can't take refuge in saying that I'm
9 taking steroids, so I have just plain forgotten which of
10 your four and five letter codes you are under. But I seem
11 to remember that your entire membership would be doing
12 minipool testing for HIV and HCV NAT by now, and on your
13 slide you said minipool or the manufacturing pool. Could
14 you clarify that, please?

15 MR. BABLACK: That was basically an and/or. I
16 think some--they are all doing minipool testing.

17 DR. FINLAYSON: Well, do you propose they take
18 this giant leap backwards in dilution and do just the
19 manufacturing pool?

20 MR. BABLACK: No, I don't think anyone is.

21 DR. FINLAYSON: So, in other words, you would be
22 willing to strike the "or"?

23 MR. BABLACK: I don't see any problem with that.

24 DR. FINLAYSON: Thank you.

25 MR. BABLACK: Now, the reason we said and/or is

1 because not everyone was doing the manufacturing pool.
2 There had been some discussions whether someone could do the
3 manufacturing pool under the particular IND that they had
4 submitted, and so we had and/or because everyone was doing
5 at least the minipool; some were doing the minipool and the
6 manufacturing pool.

7 DR. FINLAYSON: So you would be willing to do a
8 little rewording there?

9 MR. BABLACK: If you can come up with a better way
10 to say that, I'd be happy to take it.

11 DR. FINLAYSON: I'm sure I can. All right. Point
12 two: I also seem to remember at one of these gatherings
13 that you said that your membership would, by the end of
14 calendar 2000, be doing minipool testing on HBV.

15 MR. BABLACK: Correct.

16 DR. FINLAYSON: Is that also correct?

17 MR. BABLACK: That is correct, and it is still
18 true. It is my understanding that all the INDs have been
19 filed and are in the process of beginning implementing that
20 at the sites.

21 DR. FINLAYSON: Thank you.

22 DR. HOLLINGER: Yes, Dr. Fitzpatrick?

23 DR. FITZPATRICK: Well, with what Mr. Rice has
24 said and the universal precautions, I think I'm distressed
25 by what Dr. Simon said, because we've talked a number of

1 times to the industry about they need to be able to quickly
2 identify where the blood products from these donors go, and
3 it should be automated and readily available and easy to do.
4 And there still is that need for these emerging agents.
5 Something's going to happen, that you're going to have to do
6 a lookback. It's unavoidable. And so to say that that's an
7 undue stress upon the industry to have to do that is, I
8 don't think, acceptable.

9 MR. BABLACK: If I could address that, I don't
10 think that's what we're saying. In fact, that's currently
11 done for many types of failure investigations. For example,
12 if you have inadvertently put in a positive unit, that
13 actually would be done, where you would trace that unit
14 through the manufacturing process, find out all the
15 intermediates, all the final product lots that went to, and
16 do an investigation on those, as well as the typical failure
17 investigation as to how this happened in the first place.

18 What we're saying, on an ongoing basis, because of
19 the sheer number of final product lots that will be
20 implicated by the number of PDIRs, doing that on a routine
21 basis is not the most efficient way of manufacturing product
22 and getting it out the door. What we're trying to do is
23 develop a system that allows us to have the same or greater
24 levels of safety to what the FDA has required, but doing it
25 in a systematic approach that prevents the types of errors

1 that you get from ad hoc types of investigations that you're
2 trying to do in a finite period of time so that you don't
3 have to quarantine product that's already been released in
4 the field.

5 DR. HOLLINGER: Yes? Oh, yes, Kathy Knowles?

6 MS. KNOWLES: I think there have been several
7 times at this committee meeting where also we have discussed
8 the problems with the donor history questionnaire, and at
9 some point in time I think it's really important to get an
10 update, because I know there have been workshops on that
11 issue. I'd like to see what has happened and what kind of
12 progress is being made to streamline that, to help people
13 give the most honest answers possible.

14 DR. HOLLINGER: Thank you, Kathy.

15 Yes, Dr. Boyle?

16 DR. BOYLE: Jason, if you could just clarify, at
17 some level it seems like we're reasonably close, because
18 your industry is going to do universal NAT testing.
19 Universal NAT testing would allow us to deal with the issue
20 of errors and omissions or the PDIRs, but the problem is, is
21 the linkage in terms of being able to document a specific
22 case has gone through a specific process. And I guess the
23 question that I'm raising, I've raised before, others have
24 raised is why, going forward prospectively, can't you set up
25 the system so that you can identify automatically the

1 numbers of the lots and how they get--where they go to, so
2 that all you have to do is pull it up on a computer and be
3 able to demonstrate that that in fact has gone through the
4 proper gate?

5 MR. BABLACK: I don't think that is unrealistic.
6 The problem we have with that is, having done it for every
7 lot, having assumed that every unit was in the window
8 period, I don't think it actually gets you any additional
9 pieces of information that you don't have without that.
10 And, two, what do you do with that information once you find
11 it? I mean, if all you're going to do is take that and hold
12 it somewhere, I don't think it accomplishes anything.

13 There has been some talk in the past that the FDA
14 might want some of these reported to them, and that would be
15 one way to accomplish that. But the question is, does the
16 FDA have the staff and the time to review all of these,
17 because there will be a significant number of reviews that
18 are done. Even, as you see, from one unit you had almost 20
19 final product lots that would have these reviews, and
20 therefore that would be documentation then sent to the FDA,
21 requiring them to review it.

22 If you're just going to sit on it, isn't it better
23 to just assume that every lot was in the window period and
24 have that associated with every final product lot, that you
25 have done this review? Which is what is incorporated in our

1 program. So as part of the batch record review and the
2 documentation for a particular lot, you have this enhanced
3 second review accompanying that, so it's attached to every
4 single lot going forward.

5 DR. HOLLINGER: Dr. Stroncek?

6 DR. STRONCEK: I'd like to follow up on Mr. Rice's
7 point. These questions are very important. They not only
8 screen for HCV, HIV and hepatitis B virus, but they probably
9 screen for other agents we can't test for, and they will
10 likely screen out people at high risk for new problems
11 coming along that may or may not be inactivated. So these
12 post-donation inquiries I still consider a serious matter,
13 even with all the testing we have and with all the
14 inactivation.

15 I think the FDA proposal provides more oversight,
16 and I'm in favor of that. Quite honestly, you know, I don't
17 trust any industry as a whole to just say that they're going
18 to handle this all on their own. I think that's the FDA's
19 role. I want to point out that you represent an association
20 of a number of companies. Some of these may deserve trust
21 and may be able to make this work, but I don't think all of
22 them will. I know that companies come and go. I know many
23 companies are for profit and they're going to cut corners.
24 And I just think this is too important, and the safety of
25 our recipients is too great to put this much trust in these

1 organizations.

2 DR. HOLLINGER: Dr. Macik?

3 DR. MACIK: Just to readdress a couple of issues,
4 one, what Mr. Rice has brought out is that ultimately it is
5 the receivers who bear the ultimate burden. It is also the
6 receivers who bear the burden of not having a product
7 available, if you're busy quarantining every unit that goes
8 through and they can't get product.

9 So what we're really looking at is ways to balance
10 this in the safest possible way, so if you use universal,
11 you accept everything is at risk, one. And I'm not a
12 statistician, and so please don't jump on me too hard, but
13 in some ways screening for and ruling out the hepatitis C,
14 because those units are all going to be thrown out, in some
15 ways those are linked also to those individuals who have the
16 at-risk behaviors. It's not 100 percent, I realize, but you
17 are in some ways impacting those who may have the at-risk
18 behaviors and throwing those bloods out up front.

19 And so you've done now, you've looked--and what I
20 would agree with is, you need a way that you know where
21 every single unit went at any given time, so when we find
22 that we now have a "mad rabbit" disease and people were
23 exposed to it, and we have to pull that unit, then you go in
24 and you grab it. You know where everything went and you
25 know who got every unit. But not to put the restrictive--if

1 we've done everything we possibly can up front, that we
2 don't restrict the flow of supply as much as possible.

3 And again, I totally agree also that this isn't an
4 issue that--maybe this requires an entire discussion a
5 little bit more on where we're going, instead of just as
6 part of the industry hour. Thanks.

7 DR. HOLLINGER: Yes, Mr. Rice?

8 MR. RICE: Just a quick point, is that that was my
9 point, is that the PDIRs would--I'm looking for the fact
10 that we're going to do the same checks that they're going to
11 do, prospectively, and ultimately the lots would be released
12 anyway. But if a new virus comes down the pike, we have
13 some sort of record-keeping that says we've identified this
14 behavior, and if we hadn't had this particular pathogen
15 associated with this behavior, we now know that it is.

16 And do we keep a track? And we still need that
17 trigger mechanism to be able to go back and find everyone,
18 so when the PDIR comes in, not only do we just check to see
19 if this prospective mechanism suffices or is equal to the
20 current standard the FDA has, but also that we need to keep
21 the information or some sort of tagging so we can respond
22 through a lookback type provision more quickly than we
23 currently do. It took us 10 years to do HCV. That's too
24 long.

25 DR. HOLLINGER: Yes, Dr. Simon?

1 DR. SIMON: Just quickly in response to that, I
2 think my earlier remarks were somewhat misunderstood about
3 the industry needing to track this and the amount of time it
4 would take. I think we all agree, and the industry would
5 also, I assume, that they need to know and be able to
6 quickly determine where every unit went, and every lot.

7 So that could be quickly determined, but as part
8 of the algorithm they would then have to go and verify all
9 your pool and minipool testing, create a record, and this
10 would take a certain amount of time to complete. So that
11 was the point I was trying to make there, though perhaps not
12 that well.

13 But in response to Dr. Stroncek's comment about
14 trust, I think in either plan, industry would carry it out.
15 I mean, industry would carry out the algorithm or industry
16 would carry out the prospective review, and in either case
17 it's subject to review by FDA, either inspection or
18 otherwise on submitted. So I don't see that as a difference
19 between the two, in terms of that situation.

20 MR. JACKMAN: May I make a comment, please?
21 Dennis Jackman with PPTA. On the question of trust, there
22 was a comment made by Dr. Stroncek about not wanting to
23 trust any industry. And setting that aside, I just want to
24 point out that we're not counting just on trust here. We
25 have third party review and certification of adherence to

1 the prospective review, and we would make those audit
2 results available to the agency as well, and those would be
3 attached to the lot.

4 So it's not just a matter of trust, but even
5 saying that, I just want to make it clear that this industry
6 is very committed to producing safe and quality products.
7 It's in our direct interest to do so, for patients and for
8 the viability of the industry, as well.

9 DR. HOLLINGER: Dr. Schmidt?

10 DR. SCHMIDT: Supporting Mr. Rice's worry about
11 who is holding the mortgage at the end, the situation in the
12 hospitals, what happens to these products and who gets them,
13 is absolutely chaotic compared to blood. You have no way of
14 finding out, when this is bought by a hospital, who got it.

15 DR. HOLLINGER: David, my apologies for starting
16 to cut down on the questions. I think it was important, and
17 I think the issues that have been raised here are critical.
18 Perhaps it needs some further elaboration, further
19 discussion outside the update session here. So, with that
20 in mind, perhaps that's something we can perhaps put on the
21 agenda in the future if it seems to be necessary.

22 We're going to go on with the other public
23 hearings. The next person that asked to speak was Miriam
24 O'Day from the Immune Deficiency Foundation.

25 MS. O'DAY: Thank you. Good morning. I'm Miriam

1 O'Day and I'm Vice President of the Immune Deficiency
2 Foundation, and we're making a comment on the IGIV clinical
3 endpoints.

4 The Immune Deficiency Foundation is a patient
5 advocacy group dedicated to improving the lives of
6 individuals affected with primary immunodeficiency disease.
7 IDF has presented testimony and data documenting the depth
8 of the IGIV shortage and its human consequences before BPAC
9 on numerous occasions.

10 In addressing the ongoing shortage, IDF has
11 recommended various strategies, a number of which are aimed
12 at rationing the available supply of IGIV based on medical
13 necessity. In cooperation with IDF, the agency has
14 supported and endorsed prioritization protocols and
15 emergency supply programs such as the IDF Safety Net
16 Program.

17 Since the fall of 1997, industry estimates have
18 consistently projected that demand will continue to outstrip
19 supply well into the foreseeable future. It is estimated
20 that the current annual supply gap for IGIV is approximately
21 5 million grams. For this reason, the Foundation has
22 encouraged additional strategies, such as expediting
23 licensure of new IGIV products and processes to alleviate
24 the shortage.

25 IDF and its medical advisors support the FDA's

1 revised guidance on IGIV clinical trials. The immunology
2 community and immune deficient patients believe that the
3 recommended revisions for IGIV licensure are a significant
4 step towards improving the supply of this lifesaving
5 therapeutic. The IDF commends the agency for adapting
6 endpoints which are measured using the standard of care in
7 the practice of immunology, therefore avoiding undue
8 diagnostic burdens on patients participating in clinical
9 trials.

10 FDA policy revisions in IGIV licensure are an
11 excellent representation of public and private
12 collaboration, allowing physicians who treat immune
13 deficient patients on a daily basis the opportunity to
14 consult on an appropriate clinical trial design, while
15 ensuring that patient safety has not been compromised.

16 In cooperation with FDA, IDF is conducting a
17 retrospective data collection project to determine the
18 incidence of serious infection for patients with common
19 variable immunodeficiencies. The data obtained in this
20 study, in conjunction with the published literature, will
21 further assist in substantiating a historical control group
22 of untreated patients.

23 And, in conclusion, I would just like to say thank
24 you for your ongoing efforts, thank you to the agency, in
25 efforts to help resolve this crisis in health care for

1 immune deficient patients.

2 DR. HOLLINGER: Thank you.

3 The next person who asked to speak was Robert
4 Sandhaus from Alpha One Foundation.

5 DR. SANDHAUS: Thank you very much for letting me
6 take a few minutes to make a few brief comments. Since this
7 is the first time I have addressed this body, I would like
8 to introduce myself. I am Dr. Sandy Sandhaus, Executive
9 Vice President and Medical Director of the Alpha One
10 Foundation, which is a not-for-profit foundation supporting
11 research in and detection of alpha one antitrypsin
12 deficiency, one of the most common life-threatening genetic
13 disorders in the U.S. I have worked as a researcher and
14 clinician in this area for the past 30 years, and in
15 addition to my new position at the Alpha One Foundation, I
16 currently direct the alpha one antitrypsin deficiency
17 program at the National Jewish Medical and Research Center
18 in Denver, and I co-direct the University of Colorado's new
19 Genetic Lung Disease Center.

20 I have three related points I would briefly like
21 to cover. First, I would like to thank the members of the
22 Blood Products Advisory Committee and the Food and Drug
23 Administration for the attention and support they have
24 provided during the critical shortage of plasma-derived
25 augmentation therapy for alpha one antitrypsin deficient

1 patients. Unfortunately, the shortage still exists, and as
2 detection efforts move forward, the shortage can be expected
3 to intensify, since it is estimated that currently only
4 about 5 percent of the alpha one antitrypsin deficient
5 patients in the United States have been identified.

6 My second point relates to the IGIV clinical
7 endpoints just discussed. The Foundation applauds the work
8 that has been accomplished in this area, and asks only that
9 a similar effort be started in alpha one antitrypsin
10 deficiency.

11 A major impediment to new drug development in
12 alpha one antitrypsin deficiency is the requirement to
13 demonstrate improvement in the rate of decline of pulmonary
14 function and/or mortality, a clinical challenge and
15 development program that can take many years to complete.
16 If more rapid but relevant clinical and surrogate endpoints,
17 such as reduction in the number or duration of pulmonary
18 exacerbations, could be identified and accepted, additional
19 therapies could be developed for this condition.

20 Finally, and based on review of the previous
21 iteration of the proposals, the Alpha One Foundation would
22 like to express its support for the alternative post-
23 donation information algorithm proposed by the Plasma
24 Protein Therapeutics Association. While we note the high
25 ideals of patient safety preservation that are the basis of

1 all the proposed algorithms, we also see problems inherent
2 in each.

3 The alpha one antitrypsin deficient patient
4 population is most concerned at this time about access to
5 safe augmentation therapy. We see both the agency's
6 proposal and that of the PPTA as leading to a safer product,
7 but we see an advantage in the PPTA's proposal in preventing
8 major product quarantines and providing for proactive rather
9 than retroactive safety enhancements. We see both proposals
10 as being interim solutions while awaiting additional
11 technological advances in unit and pool screening
12 procedures.

13 And I suppose I should add that while we
14 gratefully accept donations from any source, currently the
15 Alpha One Foundation's budget is supported in less than 5
16 percent by industry donations.

17 I want to thank you for keeping patient safety and
18 product availability as your guiding principles. The Alpha
19 One Foundation looks forward to working with you on these
20 same goals into the future.

21 DR. HOLLINGER: Thank you, Dr. Sandhaus?

22 Any questions?

23 [No response.]

24 DR. HOLLINGER: The next person who asked to speak
25 was Dr. Al Smith, Calicivirus studies.

1 DR. SMITH: Well, first of all I would like to
2 thank you for giving me just a couple of moments, and I
3 think the things that I might be able to address here are
4 relevant to some of the conversations I have heard just now
5 and some that took place yesterday. It seems that there is
6 a 3 percent hepatitis rate out there that just seems to hang
7 there and doesn't go away; and we have seen that alluded to
8 yesterday by Ian Williams out of CDC.

9 And then there are a series of viruses that have
10 chased that--G, GB, C, G, and TT, and yesterday we heard
11 about the SEN virus. And all of these, although they may be
12 very important agents, don't seem to dip into this 3
13 percent. Then, just now, on four occasions, and I had not
14 heard it at all in the last two days, we hear about concern
15 for emerging diseases, which brings me to this particular
16 agent, that is, Calicivirus.

17 Now, Calicivirus is, the family is divided into
18 four genera, and one of them, vesivirus, is a peculiar virus
19 in that its origins are in the ocean. Primary reservoirs
20 are in the ocean. This virus amplifies in the ocean. And
21 it has been of considerable importance to our livestock
22 regulatory people for nearly 70 years because it causes a
23 foreign animal disease, but only recently has been found to
24 be zoonotic.

25 So once we establish this concern for a zoonotic

1 agent, then what do we do about it? Well, we have some data
2 here which, if you can see that, and we have a table to
3 follow on behind, across the bottom, the first blood group
4 we looked at were normal. These were samples from a Red
5 Cross testing lab. There's about 400 sera in that sample,
6 and the percentage of positives within that sample is 5
7 percent. So we would say that a normal population might
8 have 5 percent positives in it, and that, like we say, is a
9 good large sample. This is an ELISA test.

10 Now, let me stop here and tell you, we have these
11 viruses in isolation. We have cDNA primers or probes. We
12 have monoclonal antibodies. We can replicate them in vitro.
13 They are plaque purified, plaque passaged. I mean, we know
14 what we're working with. So we have good serologic tests.

15 The next thing we looked at was a group of donors
16 who had elevated ALTs--and a shopping trip, grant you,
17 because these agents can cause an array of diseases,
18 including encephalitis, myocarditis, abortion and so forth,
19 and hepatitis--but we had the opportunity to look at
20 elevated ALTs. We did that. The percentage positives out
21 of 200 samples bumps to about 8 percent.

22 Okay, so we went on a further patient shopping
23 trip and we looked at whether these could be blood-borne or
24 a needle transmitted kind of thing. The next thing we
25 looked at were only a few people in that group, there were

1 16, and these were people who had clinical hepatitis, non-A
2 through wherever you want to go, G at the time, they said.
3 Okay, so now these are 12 percent positive.

4 If you could move that down just a little, the
5 next group we looked at, and not many people in that group,
6 there were 10, but once again high risk for needle or blood
7 transmission. These were people who were either hepatitis B
8 or C positive, and small numbers, but the percent bumps to
9 20 percent.

10 The last group we looked at is a fair number now
11 of 32 individuals, and these were post-transfusion or post-
12 dialysis cases that were negative for all the known viral
13 markers. And the percentages there go to 22 percent.

14 So if we can have the next table, please, the P
15 values on that become fairly important. Let's look at the
16 top one first, yes. You can only arrange these sample sets
17 in a series of progression in terms of increasing risk for
18 hepatitis.

19 If you do that, you can get 1 degree of freedom
20 for your chi-square test, and you can see then that we end
21 up with P values and chi-square values that are impressive,
22 a P value of less than .001 when compared to the normal
23 population, that is for a progression in blood transmission.
24 And you can lump the various groups of those bottom four
25 together, groups three, four and five, or groups two, three,

1 four and five, and the numbers still hold for you in terms
2 of the statistical significance.

3 So we have already said we have tools to work
4 with, and I just heard Terry Rice talk about emerging
5 diseases. We have laid one out for you. The ocean is a
6 spawning ground. These things occur in tremendous antigenic
7 and pathotypic variability. They are an RNA virus. The
8 quantity species concept is alive and well. Genomic scatter
9 is phenomenal. PCR will not get you there at this point in
10 time because of those factors.

11 And so I think the last point I would want to make
12 is that this concept of emerging problems in industries such
13 as yours is not just an ephemeral concept. There are things
14 out there going on right now that we can talk about.

15 And now that I have given you just a small piece
16 of the bad news, Dr. Iversen has come with me, and he is
17 from AVI Biopharma, and wants to talk to you about what in
18 my mind is an entire paradigm shift in terms of what you
19 really do in terms of detection and prevention in some of
20 these issues. And I do thank you.

21 DR. IVERSEN: Well, thank you for the opportunity
22 to address this committee. I guess I drew some things on
23 the top of that that don't show up very well. Is that true?
24 Okay, they don't. Maybe they rubbed off.

25 Well, let me just point out that we're a company

1 that makes an antisense strategy towards inhibition of gene
2 expression, and I've been working in this area for about 12
3 years. Our company has been working on this area for 20
4 years. The research has led to what we believe is an
5 improved approach to synthetic DNA analogs which are capable
6 of binding to messenger RNA and preventing gene expression.
7 This is a very specific form of therapy.

8 And we met with Al Smith because we were
9 interested in a virus that would be able to be the same
10 virus in our testing systems, that is, a zoonotic type of
11 infection where you could treat an animal that has the exact
12 same virus as does a human, for the process of development.
13 How little did we know that it would be found so broadly
14 that animals who eat shellfish, for example, can obtain the
15 infection, and people who then subsequently eat those
16 domestic animals can also gain this infection.

17 And so we have set about trying to find an
18 inhibitor for the expression of the Calicivirus, and what I
19 am showing here is a Western blot. The Calicivirus capsid
20 protein is at about 60 kilodaltons, and when we add our
21 antisense sequence, as you can see, we suppress the
22 expression of that capsid protein. We show a control in
23 there of the 40 kilodalton actin protein as a loading
24 control, to show that we loaded our blots in an equivalent
25 manner.

elw

1 And at the bottom, in that same set of
2 experiments, we looked at the viral titer, and you can see
3 we can reduce the viral titer at 1 micromolar concentration
4 of viral oligomers, we can reduce viral titer to about
5 between 60 and 80 percent. This is a highly reproducible
6 result, and the reason for reducing only 80 percent is that
7 our ability to deliver the oligomer in cell culture to cells
8 is only about that efficient; it's about 80 percent
9 efficient.

10 When we look at electron microscopy, we do see
11 that we do not change the infection in cells that we do not
12 deliver the oligomer to, but that when we do successfully
13 deliver the oligomer, we almost entirely eradicate the
14 infection.

15 What turns out to be very interesting from these
16 observations is, however, that when this chemistry which has
17 a neutral backbone, which we now have in clinical trial for
18 targeting c-myc, and we are developing a drug strategy for
19 the treatment of restenosis following angioplasty, that
20 clinical trial has demonstrated that we can go GLP
21 toxicology, we do have GMP manufacturing of this material,
22 and the interesting observation was that when this unique
23 neutral chemistry binds to the RNA, rather than all of the
24 other approaches that have been tried to date, this does not
25 cut the RNA.

elw

1 And that is a very important observation, that
2 when the oligomer pairs, the cell will actually degrade the
3 RNA on either side of that duplex that is formed, and that
4 duplex is so stable that the cell will actually export that,
5 and we can detect that in blood and urine. This means that
6 we have a scheme to not only inhibit the virus but also
7 detect our success or detect the presence of virus by
8 detecting the duplex, and we think that this has broad
9 implications in use in improving the quality of the blood
10 supply.

11 Thank you. I would be glad to answer any
12 questions.

13 DR. HOLLINGER: Thank you, Dr. Iversen.

14 Is there anyone else from the public that wants to
15 make a comment?

16 [No response.]

17 DR. HOLLINGER: If not, we're going to take a 15-
18 minute break. We'll meet back here in 15 minutes and start
19 the next session, where we will take up on the Blood Action
20 Plan.

21 [Recess.]

22 DR. SMALLWOOD: We are ready to reconvene. May I
23 ask all committee members to return to their seats?

24 I would just like to make an announcement to clear
25 any confusion. Dr. Tabor had stated that a copy of his

1 algorithm would be available. That will not be available
2 today. However, you may retrieve it from our web site; my
3 understanding, that it has been placed on the web site so
4 that you may look there to get a copy of the algorithm.
5 Unfortunately, I do not have the correct web site address,
6 so I do not want to misdirect you, but I'm sure that you
7 will be able to find it, as I know you can. Thank you.

8 DR. HOLLINGER: We are going to begin with the
9 Blood Action Plan: Supply Issues. This is informational,
10 and we have asked Dr. Mary Gustafson or Captain Mary
11 Gustafson to tell us about this.

12 CAPTAIN GUSTAFSON: Thank you. The title of this
13 is "Implementation of the Blood Action Plan: Initiatives to
14 Promote Blood Availability."

15 The Blood Action Plan has been presented to the
16 BPAC before. However, there are several new members who may
17 not be aware of the Blood Action Plan. It's a plan that was
18 undertaken in 1997, and is a collection of initiatives in
19 the blood area supported by the Department of Health and
20 Human Services. The initiatives, many of which require
21 interagency coordination, include activities related to
22 recommendations from various oversight groups, including
23 congressional committees, the General Accounting Office, the
24 Inspector General, and the Institute of Medicine.

25 The true beauty of the action plan is that it

1 publicizes the work being performed and prioritizes the work
2 efforts, which helps to ensure that the work efforts will
3 result in a finished product. The complete action plan is
4 available for viewing on the CBER web page.

5 Today I'm going to review with you the most recent
6 addition to the Blood Action Plan. This addition includes
7 initiatives to improve blood availability. The Blood Action
8 Plan amendment on monitoring and increasing the blood supply
9 resulted from a report requested by Dr. David Satcher, our
10 Surgeon General and Assistant Secretary for Health, who also
11 serves as the Blood Safety Director.

12 Dr. Satcher requested that a report addressing
13 strategies to increase the blood supply be developed in
14 light of two major developments. One was our
15 recommendations that donors living in the United Kingdom for
16 a cumulative period of six months from 1980 through 1996 be
17 deferred, with an estimated nationwide decrease in blood
18 collections of 2.2 percent. The second was a report from
19 the National Blood Data Resource Center comparing 1997 blood
20 collection and utilization data to data from 1994, with a
21 projection that if everything remained the same, blood
22 demand would overcome supply sometime in this year 2000.

23 A report was developed by an ad hoc interagency
24 task group--some of you on the BPAC were members of this
25 group--working under the auspices of the PHS Working Group