

1 DR. AUCHINCLOSS: I would actually take a
2 different point of view from Dr. Sherwin in the following
3 sense that you could make the argument, and I think it is a
4 reasonable argument, that the people with proteinuria or
5 elevated creatinines are exactly the wrong population to go
6 for, and the reason for that would be that I think that the
7 major risk associated with islet transplantation alone is
8 sensitization for the subsequent transplant that they may
9 need.

10 So I would not target the population that you know
11 is heading there. I would take the opposite population.

12 DR. SALOMON: I would point out that what I was
13 talking about was a patient with microalbuminuria and there
14 is some reasonable hope that, in that early population who
15 has got no elevation in creatinine or any change in
16 creatinine clearance, if anything, they might have actually
17 an increase in creatinine clearance at that point, that a
18 successful islet transplant may prevent the kidney disease.

19 So I think if you choose that patient correctly,
20 the only argument I would have is that I think you could
21 reverse some of the disease. Certainly, there is evidence
22 of some reversal in kidney disease in pancreas-alone
23 transplantations.

24 I think that would be a nice hope to the extent
25 that we all believe that there is some reversible component

1 to the microvascular disease.

2 DR. HERING: I would like to argue in favor of
3 hypoglycemia unawareness. I understand this is a
4 significant clinical problem in a small group of patients.
5 You are not talking about thousands of people here--in a
6 small group of patients.

7 The reason why I argue in favor of this subgroup
8 is they will benefit right away. The problem of
9 hypoglycemia unawareness is eliminated right after
10 transplantation to the point that you have a clinical effect
11 obvious to everybody who wants to see it.

12 If you argue here, now, kidney and maybe
13 neuropathy or whatever, this may take five or ten years
14 before you see a difference in a prospective clinical trial.
15 But, in hypoglycemia unawareness, the patient may benefit
16 immediately from the procedure whether you have complete
17 insulin independence or whether you have some level of
18 islet-graft function.

19 All the available clinical information clearly
20 supports this point.

21 DR. SHERWIN: A lot of patients have diminished
22 awareness from hypoglycemia and the reason is that the
23 threshold for releasing counter-regulatory hormones is set
24 downward, but also their function is maintained better
25 during hypoglycemia because the brain metabolism changes as

1 a result of that.

2 But I do agree that that, in association with a
3 certain defined number of severe hypoglycemic events would
4 be a reasonable approach. It is just that I think that
5 someone's hypoglycemia unawareness is someone else's not
6 hypoglycemia unawareness. I think that it needs to have
7 some sort of assessment of it from--

8 MR. SIEGEL: I have a question about an underlying
9 assumption here which I assume is true because it is
10 unspoken but we are all assuming it. Are we comfortable
11 enough that there will be normal homeostatic mechanisms in
12 transplanted islets that, assuming once we have a therapy
13 where there is good tolerance or immunosuppression and good
14 survival of large numbers of islets that there is no concern
15 at all that those islets, themselves, would cause
16 hypoglycemia?

17 DR. SALOMON: Jay, can we hold that just for a
18 minute until we finish this one on candidates?

19 MR. SIEGEL: It is quite relevant to whether the
20 hypoglycemic-unaware patient is the best population because
21 if there is any risk that the treatment causes hypoglycemia,
22 that wouldn't be a good population. But it sounds like we
23 are all assuming that we know that that is not a concern.

24 I just wanted to make sure--

25 DR. AUCHINCLOSS: The answer to that is yes,

1 unequivocally yes.

2 DR. SALOMON: I think the animal data suggests
3 that if it works, it works really well and in the humans,
4 data that works--

5 DR. SHAPIRO: The clinical data also clearly
6 indicates that that is the case.

7 MR. SIEGEL: I assume, from the discussion. I
8 just wanted to get that out on the table.

9 DR. HARLAN: I just wonder what is wrong with the
10 criteria that Hugh Auchincloss proposed. There is a risk
11 associated with this procedure, no doubt, but diabetes is
12 not a benign disease, now matter how well you care for it.
13 It is not a benign disease.

14 If the patients are aware of the risks of whatever
15 the protocol is that that study is going to do, it is
16 paternalistic for us to say you can't do it, if they
17 understand those risks.

18 DR. EGGERMAN: A fundamental question is do we
19 know what the risks are that we can tell the patient so they
20 can be truly informed?

21 DR. HARLAN: They can be quantitated as much as is
22 humanly possible. There are some unknown risks. Whenever
23 you enter into a protocol, you state that to a patient.

24 DR. EGGERMAN: That needs to be clarified to the
25 patients, too, and it is not an assumption that we know all

1 the potential risks in a field where there is investigative
2 study.

3 DR. BLUESTONE: On the immunosuppressive side, we
4 have tremendous amount of information and that seems to be
5 what we are focussing on here is the immunosuppression not
6 the transplant.

7 DR. RICORDI: So you could establish an
8 independent panel to discuss the informed consent with these
9 patients instead of to decide whether it was a treatment
10 failure of insulin.

11 MR. SIEGEL: This is an experimental therapy. I
12 think this issue of it being paternalistic not to allow the
13 patient to accept the risk is not an inappropriate standard.
14 I think it is well establish, for example, if you can ask a
15 scientific question in population A or population B, and it
16 is safer to ask it in A than in B, you shouldn't ask patient
17 B to answer that question for you, you should only ask
18 patient A.

19 If you have a healthy person who is willing to
20 volunteer to accept something very dangerous to advance
21 science, depending on the nature of that, it may well not be
22 appropriate to ask him to do that. So it is not so black
23 and white that informed consent solves all of the problems,
24 in part because of the proviso that you mentioned at first
25 which is there is no such thing as true and perfect informed

1 consent.

2 DR. HARLAN: It is very difficult to achieve but
3 true Type-1 diabetes with no demonstrable C-peptide,
4 stimlatable C-peptide, is not a benign disease.

5 DR. KENYON: Can I just comment on all this? I am
6 agreeing with Hugh and David that partly informed consent--I
7 think where I would draw the line right now is age. I
8 certainly am not ready to go into a child with the protocols
9 that we have available until they are more proven in adults.

10 But I think that quality of life is really
11 important in the risk-benefit ratio and David is right; it
12 is not a benign disease. It is a full-time job. The
13 hypoglycemia unawareness, when you have it, you have to
14 reset your target levels and then your hemoglobin A1c goes
15 up and your chances for complications go up.

16 We don't know. Everybody differs so the true risk
17 for the complications of diabetes are maybe just as unknown
18 as what the true long-term risk of some of these drugs are.
19 So the only place that I would really draw the line right
20 now is not to go into children until we have a little more
21 data.

22 But it is not a disease that is great to live with
23 and the quality of life should clearly be considered. I
24 think each patient, as long as they have been carefully
25 evaluated, is best suited, with good informed consent, to

1 make that decision.

2 DR. SALOMON: Can I ask a question? If you take a
3 patient who has some objective viable end-stage organ
4 injury, whether hypoglycemic unawareness could be included in
5 that or recurrent keto--any of things, microalbuminuria. I
6 can follow that.

7 If you go back and you say anyone who has had true
8 valid informed consent, who is an insulin-dependent
9 diabetic, should go into the trial then the question I have
10 is if you have 100 insulin-dependent diabetics today, and
11 you come back in thirty years, how much do we know
12 about--are 100 of the 100 that we started with going to be
13 blind and on dialysis at thirty years?

14 DR. SHERWIN: No. Clearly the kinds of results
15 that can be predicted now from intensified better therapies
16 that we offer today, up until twenty years ago, we didn't
17 even have any way of assessing how things were going. So it
18 was hopeless to manage diabetes. We didn't try to manage it
19 because we couldn't.

20 But it is likely--the data for renal failure in
21 Scandinavia now long-term are about 9 percent. It is
22 predicted if people can achieve levels similar to the DCCT
23 that that would be--over the course of one's life, renal
24 failure would be about a 9 percent risk as opposed to 30 to
25 35 percent previously.

1 The chances of developing blindness is probably a
2 similar percentage, about 8 percent.

3 DR. SALOMON: I would just point out that if you
4 think about allotransplantation right now, the half-life of
5 an allotransplant, and I am being vague--kidney, heart,
6 liver--maybe liver, I would put on the side for a
7 minute--but certainly kidney and heart--would be fifteen
8 years? Half-life? That is being pretty generous, actually.
9 There are people that could argue that it is less than that.

10 But, certainly, fifteen years, maybe twenty, if
11 you say these newer therapies are going to impact
12 significantly. So I don't think it is so unreasonable. I
13 think we should be careful that if we take the attitude
14 that, of that 100, all of them should be offered this islet
15 transplant if they get true informed consent, based on the
16 fact that 50 of 100 will have lost their islet allograft by
17 fifteen years, maybe twenty, and then what percentage is
18 left that would have been guaranteed to have complications
19 at thirty?

20 DR. BLUESTONE: But then there are a couple of
21 points that you want to also make is that the JAMA article
22 that says what happens if you don't get that kidney
23 transplant. And, being on dialysis, you have a
24 eight-year--so there are morbidities associated with not.

25 DR. SALOMON: If you said the hundred patients all

1 had kidney failure at the time, then I am quiet. I was
2 talking about a hundred patients with no problems.

3 DR. BLUESTONE: I understand. But now you are
4 getting to a question--so I am going to re-ask my question
5 earlier because now I am hearing you and Bob say something
6 which I guess I would not have imagined. But it is okay.
7 Bob has already said that short-term immunosuppression, I
8 can live with that. It is this long-term thing that is a
9 real problem.

10 The islet transplant is okay. There is not a big
11 safety issue there. So I posed the question of if you
12 transplanted a hundred people and, at one year, they were
13 all normoglycemic but all destined, now, to stay on
14 rapamycin in low dose, you guys would actually think that
15 that was a bad outcome because the immunosuppression
16 outweighs the benefit.

17 If I am understanding what I am hearing correctly,
18 you think that, in the absence of both of those, the islet
19 transplant and the immunosuppression, that person can live a
20 better life because of better management of insulin and
21 stuff like that, because that is what it comes down to. It
22 comes down to a question of whether islet transplantation,
23 if successful under current regimens, is a good therapy.

24 DR. SHERWIN: You think you are going to get
25 100 percent?

1 DR. BLUESTONE: Then we can have discussions about
2 survival.

3 DR. SHERWIN: Do you know anything about the
4 long-term--we haven't discussed the long-term--

5 DR. SALOMON: Let's not discuss the long-term yet.

6 DR. RICORDI: Excuse me. You will have
7 100 percent because if one fails, stop taking the
8 immunosuppression. So those who will continue
9 immunosuppression are only the ones that are successful. So
10 the risk will be calculated on 100 percent of the surviving
11 grafts.

12 DR. BLUESTONE: Right; from long-term
13 immunosuppression. You are only worrying about the guys
14 that are out a year, normoglycemic and on their drugs.
15 Would you want to take them off--I asked you before and you
16 said, "I don't know if I wouldn't take them off." That is
17 what I think is the gating issue here because, to me, it
18 never occurred to me that a successful transplant at one
19 year in normal glycemia and normal well-controlled normal
20 glycemia would be a possible bad outcome if it meant
21 immunosuppressive drugs for the long term.

22 DR. SALOMON: I want to make one thing clear. My
23 last comments were simply to Dr. Harlan and Dr. Auchincloss'
24 concept that anybody with insulin-dependent diabetic, if
25 they had informed consent, was a candidate for this.

1 DR. RICORDI: Maybe I can expand that criteria
2 staying instead of anyone with diabetes, it should be anyone
3 where insulin treatment has been a failure, failure meaning
4 inability to achieve normal hemoglobin A1c levels in the
5 absence of a hypoglycemic episode. That is treatment
6 failure in diabetes because it doesn't prevent the
7 development of complications.

8 DR. SHERWIN: I am not arguing that we would like
9 everybody to--I would favor every type-1 diabetic patient
10 getting an allograft. I have no problem with that. I hope
11 that happens. I am just saying, in the very early stages of
12 any trial where you don't really know what you are doing, it
13 seems to me to be very careful in that selection process in
14 the first line--so you don't get caught up in some bad
15 incident like gene therapy.

16 DR. BLUESTONE: The question was what do we mean
17 by "we don't know what we are doing?" The islet transplant
18 will either work or not work. If it doesn't work, then they
19 go off their immunosuppression. If it works, then we knew
20 what we were doing for that and then you are worried that we
21 don't know what we are doing vis-a-vis the
22 immunosuppression.

23 I would argue that we already know what we are
24 doing. It is not all good. We know that. It is not going
25 to worse for the islet transplants and all the other people

1 we give the immunosuppression. So what are you worried--if
2 it failed, then they are off the immunosuppression. We
3 already agreed short-term immunosuppression isn't the gating
4 issue here. So what are we worried about?

5 DR. SHERWIN: Somebody could die during the
6 procedure. It could happen; right?

7 DR. SALOMON: As long as you start off with a
8 patient population that has a clear complication of
9 diabetes, not just diabetes on insulin, per se, then, after
10 that, I am okay with the idea.

11 MR. SIEGEL: Maybe it is time to move on to other
12 questions in the interest of time. There is clearly not
13 consensus here. I guess I did want to explore one
14 underlying assumption. Is it also--or it is not an
15 assumption. I think Dr. Bluestone just stated it
16 explicitly. Is it the general agreement here that the
17 concerns of exposing somebody who is doing well to, say,
18 short-term--so he is only on immunosuppression for four or
19 five months and then he is off of it because the treatment
20 failed, that that is not a significant or worrisome risk?

21 DR. SALOMON: We have to take the one sobering
22 data that if you take patients who are on dialysis, get a
23 kidney transplant and the kidney transplant fails and they
24 go back to dialysis, they do very much more poorly than a
25 population that stayed on dialysis.

1 So the idea here that even short-term
2 immunosuppression and a transplant procedure, per se, would
3 have no negative effect on the patient isn't necessarily
4 true.

5 MR. SIEGEL: So you might affect the underlying
6 cause of the disease.

7 DR. SALOMON: The first thing, let's make sure--I
8 am making an analogy to patients on dialysis with a kidney
9 transplant and immunosuppression and that may not be fair.

10 DR. BLUESTONE: Again, that is apples and oranges.
11 Number one, how much of that poor outcome is because they
12 are on immunosuppression versus the consequence of going
13 back on dialysis suddenly, in the metabolic aspect. I think
14 there is no data to suggest that their worse outcome had
15 anything to do with the fact that they were on
16 immunosuppression for four months.

17 The second thing is that there is no correlation,
18 in my mind, with the surgery that you went under to get the
19 transplant that failed four months later and the injury of
20 islets and the morbidity associated with major surgery and
21 the possible outcome of that.

22 So, as you always say, I want it on the public
23 record that I would hate that the outcome of whether
24 immunosuppression on the spectrum is bad is based on
25 dialysis in kidney patients who reject it. I think we can

1 have a more objective view of this of a large cohort of
2 patients, especially in the autoimmunity setting, who have
3 been given short-term immunosuppression in phase I and some
4 phase II trials.

5 I don't know of any data that says that the
6 outcome of their disease has been negatively impacted by the
7 fact that they have had immunosuppression for that short
8 period of time.

9 DR. SHERWIN: Do we know anything about outcomes?
10 There have been a couple of hundred islet transplants. Most
11 have failed up until now. What are the results? How are
12 they done?

13 DR. RICORDI: I think that the consideration of
14 risk has to be weighted on the proposed immunosuppressive
15 regimen and on the alternatives. So here we are not
16 proposing, as has been for several years, to use massive
17 T-cell-depletion agents. We are not proposing to do total
18 infrared radiation or any major--the induction is like with
19 xenapax with an anti-IL2 receptor, there is low dose of
20 K506--what I am afraid is happening is that we are
21 overregulating what we were thinking was the safe
22 alternative to what is going to happen anyway that is the
23 whole-organ pancreas graft with this same immunosuppressive
24 regimen because these patients will not just take the
25 opinion of whoever as an indication and say, "No; you have

1 to stick with your 14,000 sticks every year to check glucose
2 and get insulin," that they will go and try to get a
3 pancreas transplant which is a non-regulated procedure,
4 easily accessible and performed increasingly at all major
5 institutions.

6 So I see the potential that we are overkilling
7 what we consider the safe alternative to whole-organ
8 transplantation when we will be using the exact same
9 immunosuppressive regimen. But I agree that it should be
10 weighted, like what are we proposing? Are we proposing
11 lethal radiation reconstitution with islets and life-long
12 important with methotrexate and cyclophosphamide?

13 No; we are proposing no steroids. That was one of
14 the major concerns. Low-dose tacrolimus, rapamycin and an
15 induction with an anti-IL2 receptor that has been so far the
16 safest induction treatment ever proposed in transplantation.

17 DR. AUCHINCLOSS: Before you leave the subject,
18 you just need to point out to the FDA that there might be
19 additional patient populations that should be considered;
20 namely, pancreatectomy patients and type-2 diabetics with
21 minimal or no C-peptides who have reasonable insulin
22 requirements.

23 There are potentially patients who are not type-1
24 diabetics who could be candidates.

25 DR. SALOMON: Does anyone have any other comment

1 directly on the question of what patient population because
2 I would like to summarize and move on. So it is just
3 patient-population selection.

4 DR. EGGERMAN: I just wanted to know, does anyone
5 have any idea of what risk islet therapy has on subsequent
6 organ transplantation or subsequent islet transplantation?
7 Is that just a theoretical risk or is there something real?
8 I know about the HLA sensitization which you mentioned but,
9 in terms of actual outcome of organ transplantation--

10 DR. AUCHINCLOSS: If you sensitize some people to
11 HLA, then you are going to prevent some people from getting
12 kidney transplants in the future. It is not a theoretical
13 risk. It is real.

14 DR. SALOMON: Or at least they would go on these
15 lists looking for a perfect match or something like that,
16 which can happen, but is definitely detrimental in these
17 days of organ shortage.

18 DR. EGGERMAN: You have had experience with
19 several hundred patients who have been treated with islet
20 therapies. Have any of them gone on to pancreatic
21 transplantation?

22 DR. RICORDI: Yes. We actually have experience
23 both on the fact that in simultaneous islet and kidney
24 transplant where you have failure of the islet graft within
25 one year because of failure of the immunosuppressive

1 strategies with that immune component, the kidney transplant
2 maintains 100 percent graft survival and eventually, in the
3 four-year follow up, are still without a sign of chronic
4 rejection.

5 We also have cases of patients who had an islet
6 transplant that failed who undergo a subsequent organ
7 transplant with no apparent impact on the transplanted
8 organ. But is this the final word? I think these are very
9 anecdotal reports on very few patients.

10 But the early indication that we have from the
11 transplant surgeons--Dr. Alejandro is here--in pancreas
12 transplantation or kidney transplantation, there has been no
13 reported negative effect.

14 DR. AUCHINCLOSS: I think that you would have to
15 start with the assumption, however, that the first kidney
16 followed by a second kidney experience probably applies to
17 islet transplantation as a rough approximation. There, the
18 data would say that the outcome of the second transplant
19 statistically is not quite as good as the first and that the
20 waiting time tends to be longer because sensitization has
21 sometimes occurred.

22 DR. BLUESTONE: The other issue is that there are
23 a subset of patients who historically, when they get
24 monoclonal antibodies, have a reaction to that monoclonal
25 antibody that prevents retreatment with the monoclonal

1 antibodies. So, low as it may be, there is a possibility
2 that that would happen.

3 DR. SALOMON: I would also want to point out that
4 I haven't been convinced. I think that if you transplant
5 somebody, immunosuppress them, they go through all the
6 metabolic gyrations of curing their diabetes for a short
7 period of time, then losing their diabetic control possibly
8 getting some anti-rejection therapy and then going back to
9 being diabetics is not a necessarily neutral event.

10 I don't think the experience with the problems we
11 have had with kidney-transplant patients is absolutely
12 irrelevant--we seem to like analogies to kidney
13 transplantation in some settings. I am just suggesting
14 there is something to be learned there as well.

15 Are there any other comments on this?

16 DR. HARLAN: Two real quick comments on
17 risk/benefit analysis. Even if diabetes wasn't the leading
18 cause of blindness and kidney disease and a major risk
19 factor for blood-vessel disease, you know where I am coming
20 from. Even if it wasn't that, it is still a disease that
21 requires daily therapy, very expensive, very disruptive to a
22 happy lifestyle. That is on the risk side.

23 On the benefit side, we know from the UKPDS that
24 if you help someone control their blood sugar for one year,
25 it has sustained benefit for them down the road. So even if

1 this is beneficial for only a year or two, that has
2 demonstrated long-term health benefits if type-2 diabetes is
3 a useful model. And I think it is.

4 DR. SALOMON: So to summarize this, and I think
5 that the discussion took a while, but I do think that there
6 were some very important points here. I think, with respect
7 to preclinical models of immunosuppression, we all agree
8 that it is a jigsaw. It ought to be based on some
9 preclinical, some clinical, that there is no single model,
10 that probably more than one model ought to be used.

11 I don't think that is really committing anything
12 that we haven't already discussed in detail.

13 With respect to the patient selection, per se,
14 that we spent the last half hour discussing, there I think
15 that there are some things that we probably will have to
16 agree to disagree on and the FDA can sort that out their own
17 way.

18 Clearly, there are those who would say, "Give me a
19 diabetic on insulin and a good informed consent and leave me
20 alone." That has been clearly heard from the committee.
21 There are others who feel that this is pretty reasonable but
22 give us a patient who has clear objective evidence of
23 end-organ, presumably microvascular or neurovascular,
24 disease, microalbuminuria or actually a reduction in renal
25 clearance, early changes in the eye, et cetera.

1 Then there are those who say that, in addition to
2 that, a very reasonable population to target would be those
3 with hypoglycemia unawareness or those with severe
4 complicating episodes of recurrent ketoacidosis. However,
5 we heard from others on the committee that there it is
6 pretty gray and one has to be concerned about patient
7 compliance, social and psychological factors in deciding
8 that that would be a population to do.

9 But I think there was general agreement that if
10 you had a process in place that was objective and verifiable
11 that, under those circumstances, it is possible that some of
12 those patients might be candidates.

13 Am I missing anything? That is kind of what I am
14 coming away with right now.

15 DR. MILLER: Do you want to answer the first
16 bullet? We still haven't answered the first bullet.

17 DR. SALOMON: I tried. I really tried. I don't
18 think these guys want to answer that question. I think that
19 the decision here has been there are a number of people
20 sitting here at this table who are ready to go forward. I
21 think the answer is obvious to me that they are ready to go
22 forward.

23 DR. RICORDI: I can try to summarize what I
24 understand is our consensus here that, in the absence of
25 data emerging from clinical trials in other settings or in

1 other diseases that prove at least safety of the drugs. If
2 you have a completely new, like, monoclonal antibody
3 untested, that we all agree we would need some data emerging
4 from preclinical testing that shouldn't be limited to small
5 rodents but, potentially, include data from either dogs,
6 pigs or non-human primate and that, in the non-human
7 primates, there is no set preference between total
8 pancreatectomy or streptozotocin-induced diabetes because
9 they both have advantages and limitations.

10 In one setting, you have a reduced metabolic
11 absorption but a more complete beta-cell exclusion. You may
12 have some residual beta-cell function and you may require
13 additional testing to better define that your islets are
14 actually the ones responsible for the metabolic outcome.

15 But I think we all agree that some level of
16 preclinical testing is absolutely indicated for agents for
17 which there is no testing available from the clinical
18 experience.

19 DR. SALOMON: I think we should add in that Dr.
20 Sherwin also made another point and I left it out of my
21 summary. That was, if possible, some data should be
22 provided in an autoimmune model of diabetes because of this
23 outstanding issue of whether or not that is also an impact
24 on survival. I'm sorry I left that one out.

25 DR. BLACK: I would like to add one more point,

1 just that, to add to Dr. Ricordi's comments, our criteria
2 for trying to evaluate the risks of a procedure often ask
3 very carefully what is the adequacy of the database, whether
4 it is from in vitro studies, mechanistic studies, mouse
5 models of disease or the clinical field.

6 Sometimes, the nature of the clinical data makes
7 it very difficult for us to assess a particular combination
8 of agents for whom the other part of the database suggests
9 that there may be concerns. So I think it becomes complex
10 to try to pre-caveat Dr. Ricordi's comments by saying that
11 we can only do preclinical models when we do not have
12 clinical data.

13 So, sometimes, we will have to supplement the
14 clinical data.

15 DR. SALOMON: Somewhere along the way, you will
16 have to explain to me the frightening concept that you could
17 pre-caveat something.

18 DR. PAPADOPOULOS: I just have a question. The
19 one thing that I am still not clear about is we have heard
20 that there is a proposed trial, multicenter trial, to do
21 twenty-some-odd of the these transplants. I still have not
22 heard what the exact eligibility criteria will be for that
23 specific trial.

24 I presume that that protocol must have been
25 written and there has to be a consensus amongst the experts

1 as to who will be eligible.

2 DR. SHAPIRO: I actually presented that in slide
3 form in detail yesterday.

4 DR. PAPADOPOULOS: Could you just review it?

5 DR. SHAPIRO: The three patient categories; those
6 with hypoglycemia unawareness, those with metabolic
7 instability and those with early progressive secondary
8 diabetic complications.

9 DR. PAPADOPOULOS: What are you defining as these
10 early secondary complications? Do you have creatinine
11 cutoffs? What are they?

12 DR. SHAPIRO: They have got to have a creatinine
13 clearance greater than 60 mls per meter squared per minute.

14 DR. SALOMON: Speaking as a nephrologist, a
15 creatinine clearance of 60 mls per minute in a 25-year-old
16 person is a terrible creatinine clearance. I certainly
17 would not want any of my children to have that creatinine
18 clearance.

19 MR. SIEGEL: In diabetics, in particular, with
20 hyperfiltration; yes.

21 DR. SHERWIN: But it is above; right? There is no
22 limit. It is not below?

23 DR. BLUESTONE: Correct. They want it at a
24 certain goodness.

25 DR. SALOMON: I understand. I am just saying that

1 the range means that you are going to--that's fine. I am
2 just pointing out that it is a very broad range.

3 We are sort of where we could take a ten-minute
4 break. We are trying to get done by 4 o'clock. What do you
5 guys think? A ten-minute break.

6 [Break.]

7 DR. SALOMON: One thing I would like to do is we
8 have got an hour and then this is over. I discussed with
9 the FDA sort of what would be the key couple questions and
10 those we will try and get done now. I would like to spend a
11 relatively short period of time, unless there is just
12 overwhelming dispute is, on this question No. 2 which
13 actually, when it was initially presented to me, I was
14 willing to let go entirely because I agree with something
15 you had said earlier that insisting on HLA typing for
16 pancreatic islet transplantation was to really restrict its
17 future.

18 But the FDA asked me to put it back on the table
19 and I think that the question I would like to start off with
20 was there was an interesting question from Camillo and that
21 is, given this autoimmunity and some of the data Bernhard
22 came up with with HLA identicals; is there any concern on
23 the part of the group that--not that you would have to HLA
24 match but that, actually, there might be a detriment to even
25 a haplotype match.

1 DR. AUCHINCLOSS: There is data and it is so
2 controversial that it is not worth even thinking about. The
3 answer is simply unknown, I think. And that extends all the
4 way to the xenotransplant whether the xenotransplant is more
5 resistant or recurrent diabetes. So there is no scientific
6 way to answer your question.

7 I think the important thing in issue 2 is the
8 issue that is not included. I would probably match for
9 blood group although there is no good evidence there either
10 that that is necessary, but it is just easy and it is
11 prudent. I would not worry about HLA typing.

12 The question is whether you should do a cross
13 match.

14 MR. SIEGEL: Both of you have talked about HLA
15 typing. I think what you are saying is you wouldn't worry
16 about HLA matching as an inclusion criteria, I would hope.
17 Or what part of what we are asking the committee on this is
18 that we believe that all of these studies should be
19 collecting HLA data.

20 DR. AUCHINCLOSS: 100 percent, for sure.

21 DR. SALOMON: Nobody here would even have thought
22 of that one.

23 DR. AUCHINCLOSS: But the prospective question
24 that you have not addressed here is whether or not to do a
25 cross match. If the recipient does have antibody against an

1 HLA antigen of the donor, does that preclude the transplant?

2 DR. RICORDI: We always do a crossmatch and it is
3 excluded. A positive crossmatch will exclude the islet
4 transplant.

5 DR. AUCHINCLOSS: I think that would be prudent
6 way to approach it. But maybe you or Bernhard or one of
7 others can actually comment about how good the data is one
8 way or another.

9 DR. HERING: There are no data, but I guess, in
10 the Edmonton protocol, it may be difficult to do a
11 crossmatch. At the very same time, you can argue if a
12 patient is PRA-negative, has not received any blood
13 transfusion, no pregnancy, no previous transplant, it is
14 completely unlikely that this patient will have a positive
15 crossmatch.

16 I am not sure whether they actually did weight for
17 the crossmatch. I guess they transplanted right away.

18 DR. SALOMON: I can't imagine that, by the time
19 they took the organ, by the way, they hadn't finished the
20 crossmatch. But, certainly, by the time they processed the
21 islets--do you guys disagree with that?

22 DR. LAKEY: It is all being done and it is all
23 done ahead of time before the transplant.

24 DR. AUCHINCLOSS: So I would do it, but there is
25 no data to indicate that it is actually important, except,

at

226

1 as Bernhard says, where they are PRA-negative, I don't think
2 I would bother to do it.

3 DR. MILLER: This is a place where animal studies
4 may be of some help in that it won't help you with the
5 autoimmunity question but it will help about whether or not
6 there is any evidence that HLA matching in other species has
7 any effect. So, I don't know.

8 DR. SALOMON: That is a really good point,
9 actually. One of the things that we talked about was to
10 identify where preclinical models would help. That is
11 possibly a good message to the FDA.

12 DR. AUCHINCLOSS: I have written that grant twice
13 and not gotten funded.

14 DR. MILLER: Write it again.

15 DR. BLUESTONE: I think there is a problem.
16 Number one is that in non-human primates, that is not
17 doable. With rare exceptions, we don't have enough, yet,
18 markers to even do a lot of the matching there; right? Dogs
19 is not doable.

20 DR. AUCHINCLOSS: This isn't matching. This is a
21 cross match. Sensitize, get an antibody and then see what
22 happens. Does a positive crossmatch cause "hyperacute
23 rejection of islets?" Nobody knows.

24 DR. SALOMON: You could do A2, for example; isn't
25 that right--in figuring?

1 DR. AUCHINCLOSS: Nobody knows whether blood group
2 incompatibility is a problem.

3 DR. BLUESTONE: There have actually been some
4 studies in mice, now, in which presensitization is done. It
5 has been more difficult to suppress rejection. I was always
6 thinking, use the antibody, but you don't get hyperacute.
7 You just can't suppress the regular rejection very well.

8 DR. SALOMON: I still, though, think all the
9 animal data in tissue transplantation, whether it be skin
10 grafts and, certainly, any organ grafts, that if you are
11 presensitized against an MHC molecule, that you are going to
12 have a detrimental immune reaction.

13 DR. AUCHINCLOSS: It just is not the case. We do
14 not have the data that HLA antibody causes an adverse
15 outcome for islet transplantation.

16 DR. SALOMON: I would like to officially say that
17 I was not on the study section nor a reviewer of any of your
18 grants. Are we settled on that? I don't think that is even
19 worth summarizing.

20 Then what I would like to do is turn the page to
21 page 2. I am going to make the executive decision to skip
22 organ quality, as we did discuss that yesterday. I think
23 that is more getting back into product issues. I would like
24 to, again, just setting up priority, look at this route and
25 site of islet product administration as a question.

1 Is it desirable for islet preparations to be in
2 direct contact with the portal circulation and what data
3 support this determination?

4 DR. HERING: Insulin independence supports that
5 this is feasible. It may not be the best site but, before
6 you move to a new site, I think here you should demonstrate
7 preclinical data, that this is actual feasible.

8 DR. SALOMON: The animal studies have all been
9 done by putting islets under--excuse me; many animal studies
10 have been done putting islets under the kidney capsule.
11 There have been attempts in human patients to put islets
12 under the kidney capsule not, I would say anyone would
13 agree, I'm sure, exhaustive studies.

14 But there have been some trials and they haven't
15 worked very well.

16 DR. HERING: Every single transplant failed;
17 right.

18 DR. RICORDI: This is probably also because of
19 mechanical consideration that the kidney capsule in a rodent
20 or a small animal is like a very thin structure. The human
21 kidney capsule is probably one of the worst places we can
22 put islets. But there are other sites like the spleen. It
23 is an issue that is the object of continuous investigation.

24 The pancreas, itself, is a major target of future
25 potential new sites.

1 DR. SALOMON: We could say that a serious
2 objective for preclinical studies would be taking the best
3 that we can do now with human islet verification and test at
4 alternative sites. It probably really should be done with
5 human islets for at least some of these studies; right?

6 DR. RICORDI: Or at least in a large animal where
7 you have the same kind of mechanical consideration or
8 vascularization.

9 DR. SALOMON: Good point.

10 DR. BLUESTONE: I would just emphasize that one of
11 the interesting questions is that the thymus is a potential
12 site as well, but we have to be prepared, at some point,
13 then, to think about younger recipients.

14 DR. SALOMON: Right. Again, there, though, it
15 would be nice particularly to see successful non-human
16 primate models of that.

17 DR. BLUESTONE: You betcha.

18 DR. SALOMON: We tried, with a grant from the
19 Juvenile Diabetes Foundation, and did eight animals with
20 human fetal islets--so there is a difference; not adult
21 islets--into the thymus of juvenile rhesus. We tried it
22 with immunosuppression and without immunosuppression and
23 were uniformly unsuccessful in even finding the tissue
24 afterwards so we would find the transplant site where it was
25 marked.

1 Does that mean that it won't work? No. Does that
2 mean we picked the perfect immunosuppression? No. I am
3 just saying that there has been experience. I also know Eli
4 Najji has tried it as well and has not had much experience.
5 But I do think the animal data is compelling. So I am not
6 saying it is not worth trying. But that is definitely an
7 interesting topic.

8 DR. SHERWIN: Has the peritoneum been given up as
9 a potential site, the peritoneal cavity?

10 DR. RICORDI: Not for encapsulated products but
11 the requirement for islet mass varies with the site that you
12 consider so let's say if you need 1,000 islets in the liver,
13 you would need, like, 1,500 in the kidney capsule and maybe
14 2,500 intraperitoneally to achieve the same metabolic
15 results.

16 So there are considerations also--and the site may
17 affect other variables. That is why you may need data from
18 a large animal or a clinical model for new sites.

19 DR. BLACK: What about splenic infusion?

20 DR. SALOMON: I think Camillo mentioned that.
21 Camillo pointed out that that is another site. There is
22 animal data suggesting you can put it in the spleen or, as
23 you mentioned, the pancreas.

24 DR. RICORDI: The only caution there is that the
25 human spleen is, in that case--it has been used a lot in

1 dogs, like intrasplenic infusion. The spleen in a dog is
2 probably one of the largest organs in the body. It is a
3 huge spleen compared to humans. So it has been used
4 traditionally when you have unpurified preparation or where
5 you are afraid of intraportal high pressure or portal
6 hypertension.

7 Then it is rather safer to put an unpurified
8 preparation in the spleen. But that is definitely another
9 potential site of islet implantation that may have the same
10 requirement of mass as the liver.

11 DR. HERING: But the spleen is a site that needs a
12 lot of attention. Significant complications were noted in
13 clinical settings with intrasplenic transplantation, either
14 autotransplantation and I think, to some extent, with islet
15 allotransplantation because the anatomy is very complex. In
16 a few instances, islets in majority of injected islets were
17 found in the lung.

18 So it is something that needs attention. The
19 spleen is not the site to go without careful consideration.

20 DR. RICORDI: Or the patient with cirrhosis
21 because to get to the lung from the spleen, they have to
22 have collateral--

23 DR. BLUESTONE: So the question I would have, in
24 terms of site, is I think it is pretty safe to say that the
25 liver has been a site that is used a lot and successfully.

1 So you don't want to necessarily throw out that baby. What
2 I think the critical issue is here is safety.

3 Of the things that one can identify in the
4 procedure that could have some morbidity associated with the
5 injection sites is going to be one. What has been the
6 experience in terms of problems that have occurred with this
7 kind of route of implant?

8 DR. RICORDI: Bernard summarized them yesterday.

9 DR. HERING: I think simply a lack of efficacy.
10 So why would you do a transplant with some sort of
11 immunotherapy or immunosuppression without any evidence--

12 DR. SALOMON: Bernhard, we are not talking about
13 that. We are talking about the idea of intraportal
14 hypertension, the possibility of infarcting a lobe of the
15 liver, the possibility of it not going to the liver but
16 infarcting something else or embolizing another organ
17 system. That is, I think, what Dr. Bluestone is asking.

18 DR. HERING: There has been no single case
19 reported to the registry or communicated at any meeting or
20 published with any significant complications resulting from
21 intraportal infusion of purified and unpurified islets in
22 the '90's, to the best of my knowledge.

23 DR. EGGERMAN: Didn't you say yesterday that some
24 patients died that were associated--

25 DR. HERING: This was in the early '80's and late

at

1 '70's when significant volumes were infused into the portal
2 circulation without heparinization, without maybe adequate
3 washing steps as they are now standard.

4 This is the experience that we have.

5 DR. SALOMON: Have you had any instances in these
6 where patients will spike a fever? Has there been any
7 evidence for a disseminated intravascular coagulation? I
8 know any kind of cytokine release syndrome, pulmonary leak,
9 pulmonary edema? Hypoxia? Anything?

10 DR. HERING: The only complications that have been
11 noted are subcapsular hematoma and then there is one
12 reported death resulting from injury to the hepatic artery
13 and one gall-bladder injury requiring cholecystectomy. That
14 is what has been reported.

15 DR. SALOMON: Camillo, did you have a comment on
16 that?

17 DR. RICORDI: Actually, Dr. Alejandro has a paper
18 submitted--I don't know if it is accepted, but reviewing the
19 whole--of percutaneous intrahepatic catheterization of the
20 portal vein for islet infusion and complications that affect
21 hypotension. I think there was that one perforated gall
22 bladder was the worst.

23 DR. SALOMON: Jeff actually asked a question about
24 thymus. That opens up a door into questions of other
25 potentially immunoprivileged sites. I know there has been

1 some attention given for over a decade now to the testis,
2 for example, as an immunoprivileged site.

3 I have always thought that was sexist, but there
4 have been some clinical trials proposed for that. Do you
5 guys have any comments about any alternatives for
6 immunoprivileged sites? Let me say, I don't want to get
7 into fast gene--I think that is for another day.

8 DR. RICORDI: Then I would limit, definitely, the
9 volume that you infuse.

10 DR. SALOMON: No argument there; right? Well, I
11 am nonplussed, but let me summarize what came out of this
12 again with the idea of making sure that we have some
13 consensus and that the FDA questions have been answered.

14 I think there is a consensus that the portal
15 circulation--I'm sorry; let me back up before I summarize.
16 Do you have to put it in the portal circulation? I realize
17 we didn't answer that question specifically. We talked
18 about how good it was to put it in the portal circulation
19 because that is way you have done it. I buy that
20 100 percent. I was getting ready to summarize that.

21 But then it dawned on me the question that we
22 didn't talk about briefly was is there a metabolic argument
23 for the portal circulation? We all know there is, but how
24 strong is that relative to, let's say, putting it in the
25 forearm?

1 DR. SHERWIN: It is more theoretical but I think
2 it makes sense. The question I have is whether injection
3 islets into the portal circulation and having them lodge in
4 the liver is the same as having insulin produced by the
5 pancreas and then diluted in the pancreatic circulation
6 because I would suspect that a lot of the insulin has got to
7 leak--much more insulin has got to leak out into the
8 circulation systemically than it would if it was in the
9 pancreas, for example.

10 DR. HERING: There is more and more evidence now
11 from small animals studies that intraportal islet
12 transplantation actually induces a state of insulin
13 resistance and is really not a physiological site.

14 But, at the very same time, it is the most
15 accessible site for clinical transplant studies. There is a
16 long list of arguments in favor of other sites with true
17 portal drainage. Simply, we have to develop the sites in
18 preclinical models before we can proceed.

19 DR. SHERWIN: That is really what I would
20 emphasize for the future is really sites that would allow
21 insulin to be released into the portal vein rather than
22 directly in the liver. I think that this is much closer to
23 being a peripheral site than people think.

24 DR. SALOMON: Okay; excellent. Let me start
25 again. I think there is consensus here that, at the moment,

1 the injection of the islets into the portal circulation
2 makes a lot of sense from the basis of the preclinical
3 models, the clinical experience and I think everyone around
4 the table is comfortable with that.

5 We do acknowledge that the idea of other sites are
6 very critical to consider and that would be an excellent
7 target for studies in the preclinical models; right?

8 I think that about summarizes it. Amazing.

9 The next thing I would like to go to again, just
10 because I want to make sure that this gets covered before we
11 have to stop, is, on the next page, No. 8, and that is
12 outcome measures. I think that we have had some discussion
13 of these and I think Dr. Kenyon did a really nice job with
14 her last set of slides in giving us some sense of what
15 things look like in the non-human primate model in terms of
16 what sorts of things correlated.

17 Do you want to start, Dr. Kenyon, maybe in saying,
18 in a nutshell in your opinion and experience what should be
19 the best outcome variables?

20 DR. KENYON: I think it is a series of things.
21 Clearly, blood-glucose monitoring on a daily basis, at least
22 in the first month post-transplant and then you could
23 decrease later on; periodic metabolic assessment by either
24 intravenous glucose-tolerance test or arginine or glucagon
25 stimulation which, I understand, the investigators in the

1 network are discussing now; what is the best way to test
2 that without stressing the islets; hemoglobin A1c,
3 periodically, clearly.

4 I think those are the primary ones--reduction of
5 insulin requirement, insulin independence.

6 DR. SALOMON: One point you made, I wanted you to
7 comment specifically, was you did an IV glucose-tolerance
8 test. Two ways to interpret that--well, actually, there are
9 three ways that you could look at it. Glucose
10 disappearance, KG. You could look at C-peptide stimulation
11 and you could look at insulin levels.

12 Do you want to comment? Do you need to do all
13 three? Is one superior to the other?

14 DR. KENYON: I think, clearly, you need to look at
15 all three. It has just been our experience in the monkeys
16 that looking at the glucose release alone, which if you look
17 back at the literature historically, that is what has been
18 shown, that that, alone, really, does not give you a good
19 indication of the functional islet mass.

20 The glucose response curve can look fairly normal
21 in an animal with partial function whereas if you look at
22 insulin, first-phase insulin release, it correlates fairly
23 well with, in the short term, the number of islets we have
24 transplanted and, in the long term, with whether or not
25 there has been an episode of rejection.

1 So you can see, in the absence of rejection, a
2 maintenance of first-phase insulin release. Subsequent to a
3 rejection episode, you can see a decrease in that. So I
4 think it gives you a nice measure of graft function. And
5 then C-peptide clearly is important to look at as well.

6 DR. SALOMON: So, could we say that, again putting
7 this out as something to discuss, that insulin release
8 associated with a glucose stimulation would be more
9 sensitive than looking at the glucose disappearance curve.
10 I guess the reason I bring that up is that, if you look at
11 the experience with islet autotransplants for chronic
12 pancreatitis that I think has been very nicely documented
13 even just recently a follow-up study from David Sutherland
14 and the Minnesota group, many of those patients are insulin
15 independent. I think about 70 percent is the most recent
16 data. Bernhard, you can correct me if I am wrong. But 80
17 percent of them or more have abnormal IV glucose-tolerance
18 curves.

19 DR. HERING: This is entirely acceptable.

20 DR. SALOMON: I was just using it as a check
21 for--if you have a normal IV glucose-tolerance curve, and a
22 normal disappearance, my point was that even without
23 insisting on normal insulin dynamics, that would be quite a
24 great result for an islet transplant.

25 DR. KENYON: I agree. The slide I showed showed

1 you that at day 42, the first phase was significantly
2 blunted as compared to pre-pancreatectomy. But that animal
3 was insulin independent and had normal metabolic control.
4 What I am strictly saying is as a measure of functional
5 islet mass, not as a measure of outcome as far as metabolic
6 control.

7 So, in that case, hemoglobin A1c and the presence
8 of C-peptide and insulin independence.

9 DR. AUCHINCLOSS: I think there are two issues
10 her, Dan. One is outcome measures and there are dozens of
11 them. And they are relatively easy to list. Norma has even
12 mentioned--and, obviously, you want to get into things like
13 long-term complications, et cetera.

14 You might want to be thinking about imaging
15 techniques that might be applied to assess islet mass. But
16 all of those are easy. The hard question is which of them
17 turn out to be easy to list.

18 The question is which outcome represents efficacy.
19 I think the way to frame that argument is to ask the \$64,000
20 question, supposing you got an outcome of measurable
21 C-peptide production but ongoing use of insulin. How good
22 an outcome is that? I think you could make the argument--I
23 would be curious to see what the committee says--that that
24 is a surrogate marker for an acceptable outcome.

25 DR. HERING: The question, Hugh, is is partial

1 graft function--let's say C-peptide Alc is normal in the
2 absence of hyperglycemia. Would this justify
3 immunosuppressive treatment long-term?

4 DR. SHERWIN: Not an easy answer. We don't know
5 the answer. Obviously, it is much easier to manage diabetes
6 if there is some ongoing insulin production, even if it is
7 not adequate to sustain normal glycemia.

8 So, clearly, there is benefit accrued if you can
9 produce some insulin. On the other hand, we don't know
10 enough about the immunosuppressive--the risk/benefit ratio
11 is really not clear. So I wouldn't want to call it a
12 success. I would think that the outcome would be one for
13 investigation to try to determine whether it was successful
14 or not.

15 As far as the assessments are concerned, I would
16 propose that there are new methods you might think about.
17 One is now MiniMed has made a glucose sensor that allows
18 you, for three days, to continuously monitor glucose in an
19 ordinary day's circumstances with food.

20 So it seems to me that hooking these patients up
21 to a MiniMed sensor for three days periodically might give
22 you a nice assessment of their everyday levels of glucose.
23 I think you might learn something from that.

24 The other thing I might suggest--most of us in the
25 diabetes world are not too enthusiastic about IVGTTs as a

1 measure of glucose disposal because a lot of glucose is lost
2 in the urine when you give a big glucose load. My sense is
3 if you want to look at glucose levels, you might do a
4 glucose-tolerance test although an alternative approach,
5 which is reasonable, is a frequent sampled IVGTT to look at
6 insulin action and secretion simultaneously.

7 There are models that have been set up by Bergman
8 that allow one to make an assessment of insulin secretory
9 rate as well as insulin action with using minimal-model
10 techniques. That might be something to do.

11 DR. HERING: But those are research tools, I
12 guess.

13 DR. SHERWIN: But you are doing research. I look
14 upon this as a research study, at this point. I am not
15 saying it will be that way forever. I think, because it is
16 a research study, you really want to get as much information
17 as possible to satisfy people that have a metabolic
18 background, like myself.

19 DR. HERING: We are doing studies like this but I
20 think one other question is is there any assay that can be
21 more or less done at all centers so that we can follow
22 patients using the same assay. I guess here the question is
23 whether this could be an arginine stimulation test which
24 just takes ten or fifteen minutes, can be done on an
25 outpatient basis regardless of blood-glucose concentration.

1 DR. SHERWIN: Arginine, I wonder about. One of
2 the questions I didn't ask originally is as glucose
3 responsiveness fails, does amino-acid responsiveness fail in
4 concert because, for example, with type-2 diabetes, you lose
5 glucose response of beta-cell function but not amino-acid
6 response of beta-cell function.

7 So I don't know--if you don't have the answer,
8 then I would not use arginine because it might be a less
9 sensitive measure because people with impaired beta-cell
10 function of type-2 diabetes can have a normal beta-cell
11 response to something like arginine.

12 DR. KENYON: The reason that I had listed that in
13 the non-human primate studies is there has been a lot of
14 discussion back and forth for a while now on what is the
15 optimal test to use clinically.

16 We haven't actually looked at that in the monkeys
17 we have done in the past. We are going to be looking at
18 that now, but, really, the use of the test came from
19 interaction with the clinical-transplant people. I think
20 one of them would have to answer, if you have seen a loss of
21 arginine responsiveness in patients who are losing a graft.

22 DR. AUCHINCLOSS: My impression was that the
23 arginine test became popular because people got so concerned
24 about high glucose loads being toxic to islets. I have to
25 say I have got a lot of doubt about that particular feature

1 which we will talk about in the moment.

2 But I think that is really where the arginine test
3 crept into the transplant world in a big way.

4 DR. HERING: But I think you are right. Whatever
5 you do, you stress islets to release insulin. Whether you
6 use arginine without hyperglycemia or whether you use
7 glucose, I think it is pretty much the same thing you do.

8 DR. SHERWIN: That is one of the nice things about
9 just getting glucose profiles. You are not doing any
10 stressing to the system. But insulin secretion is obviously
11 a critical measurement. I don't know how useful it might be
12 to do urinary C-peptide over 24 hours as a way of trying to
13 assess integrated insulin secretory levels.

14 DR. BLUESTONE: I am a little confused because it
15 is a great wish list, but if tomorrow there is, from the
16 FDA's perspective, an IND that goes in from a group of
17 fourteen centers and they have to list the outcome studies
18 that they are going to all be able to do, whether that
19 center is in Edmonton, in the States, in Europe, that they
20 are all going to do the same minimal set that allows the IND
21 to be approved--not all the good research, because that may
22 not be done at all centers, or maybe it will have to be
23 centralized.

24 What is the subset of these that we agree on has
25 to be done at every center?

1 DR. HERING: It is a very short list. I think you
2 want to know hemoglobin A1c and you want to know whether
3 C-peptide is present. It basically comes down to very
4 simple measures because we don't want to study efficacy, we
5 don't want to compare whether this approach is now
6 preventing complications or is associated with improved
7 quality of life; not in this trial.

8 So, basically, we want to identify a protocol that
9 can be utilized in a subsequent prospective study to address
10 this question. But, at this point in time, this would be
11 too much and the Edmonton multicenter trial is not powered
12 to address any of the efficacy questions that could be
13 addressed in subsequent studies.

14 MR. SIEGEL: Let me suggest something about this
15 issue. This question is, in fact, divided, as you see, into
16 activity measures and efficacy endpoints. While I would not
17 presume to suggest that I know what are the right activity
18 measures, I would urge both the funding bodies and the
19 investigators, in fact, to explore a broad variety of these
20 because to develop this therapy, you are going to need
21 something that is going to give you a faster feedback than
22 whether the patient's kidneys fail.

23 You take a drug. You may change the dose a little
24 bit but you don't change the molecule a little bit. You do
25 that all the time with transplant procedures. You not only

1 change the drugs but you make minor changes in how you
2 transplant them. You need some rapid feedback as to some
3 indicator as to whether you are doing something that you
4 think might be better or might be worse.

5 Similarly, in this case, I think, you are
6 suggesting that you want early indicators of when you can
7 stop exposing the patient to immunosuppression. So there
8 are any of a number of reasons why you would want to have a
9 good measure so that, when you get to the efficacy--so that,
10 A, you can have items that you can optimize to and B, then
11 you can see, in fact, which of them are predictors of
12 efficacy when you are ultimately doing those larger efficacy
13 studies.

14 They don't have to be done at every center.

15 DR. SALOMON: It seems to me that what I am
16 hearing now is that there ought to be at least three kinds
17 of tests considered. The first is kind of parameters like
18 how is the patient doing, what is their hemoglobin A1c, what
19 is their glucose doing over periods of time.

20 I thought the idea Dr. Sherwin had of doing close
21 monitoring for maybe three days in, let's say, a GCRC
22 setting would be useful in some centers. Then there should
23 be a second class of studies that ask the question, is there
24 functioning islet tissue there. Those studies could be a
25 rather simple measurement of circulating C-peptide,

1 post-prandial bump, maybe an oral glucose challenge.

2 And then the third would be real functional tests
3 of an integrated nature such as a graded multi-step IV
4 glucose tolerance measuring C-peptide insulin and glucose
5 disappearance. I think, Bernhard, we don't need to worry
6 about whether your trial decides to do all three levels of
7 tests the first time through. We don't have to be that
8 specific.

9 But, do you agree that that is sort of the general
10 idea?

11 DR. HERING: I agree that detailed metabolic
12 studies should be done in a selected group of recipients.
13 This is an independent study but cannot be done in the
14 majority of islet-transplant recipients.

15 DR. MILLER: Why not? It is only 28 patients.

16 DR. KENYON: These are simple.

17 DR. HERING: If you are talking about a stepped
18 hyperglycemia clamp assay, if you are talking about a
19 frequently sampled IVGTT, if you are talking about
20 euglycemic clamp studies and if you want to do it at
21 intervals, the people cannot leave the CRC in the first
22 year, more or less.

23 DR. SHERWIN: We are not talking about that at
24 all--not at all. In fact, if you want to assess insulin
25 secretion, you could do it in ten minutes with an

1 intravenous bolus of glucose, if that is what you want to
2 do. But everything I said was outpatient. Nothing is
3 inpatient. I don't want people in the hospital. They
4 should be out of the hospital in their ordinary environment.

5 Even the glucose-monitoring system is an
6 outpatient procedure. Even that.

7 DR. AUCHINCLOSS: Bob, tell me about the
8 twenty-four hour C-peptide in the urine because, to me, it
9 seems to me the surrogate maker ought to stay focussed on
10 C-peptide. Is the 24-hour C-peptide in the urine a pretty
11 good marker of sort of total production?

12 DR. SHERWIN: Renal function is reasonable; yes.
13 Not bad. Fasting C-peptide is fine, too. But it is
14 something that should be considered. It is not that hard to
15 do. It is an easy measurement, basically.

16 DR. HERING: I think you will learn so much by
17 looking at insulin requirements before and after
18 transplantation, A1c levels, basic C-peptide and stimulated
19 C-peptide. I agree, the MiniMed approach should probably be
20 added. I guess the Edmonton protocol is proposing mean
21 amplitude of glycemic excursion which, basically, gives you
22 the same kind of information.

23 I would limit it. And then you have studies,
24 detailed metabolic studies, that can address an endless list
25 of other questions. But that will not help us right now at

1 this level. Basically, we want to assess the proportion of
2 patients with full or partial islet-graft function.

3 This is what we need. Once we have a protocol,
4 then we may want to proceed to the next level of complexity
5 and compare to intensified insulin treatment or pancreas
6 transplantation and then efficacy measures will be
7 completely different.

8 DR. CARA: I might be coming out of left field
9 asking this, but there is reason to believe, whether you
10 believe it or not is a different issue, but there is reason
11 to believe that insulin might "protect" the pancreas or at
12 least the islet-cell functions of the pancreas and may serve
13 some immunomodulatory role.

14 Do you know if concomitant insulin treatment
15 post-transplant actually improves ultimate outcome? Is it
16 something that should be considered?

17 You indicated the need to evaluate the actual
18 function of the transplanted tissue but I am wondering
19 whether it would make sense, in the beginning, to at least
20 maintain some sort of insulin there or whether or not it
21 should. I don't know.

22 DR. HERING: More or less every single
23 experimental study suggests that insulin administration in
24 the peri-transplant period improves islet engraftment.
25 Insulin can put beta cells at rest. That was the first

1 hypothesis. Insulin is a growth factor. Insulin is
2 antiapoptotic. Insulin blocks macrophage NO production.

3 But the question is at what levels. The Edmonton
4 protocol did not administer insulin in a routine manner
5 after transplantation, only if blood-glucose levels, I
6 guess, exceeded 180 milligram per deciliter.

7 But, at the very same time, the question was not
8 addressed whether peri-transplant insulin administration
9 would have been helpful. So I think you should prevent,
10 definitely, hyperglycemia in the peri-transplant period and
11 the question is what is the threshold that you would like to
12 see.

13 DR. SHAPIRO: Some people believe that it may
14 actually stimulate the growth and function of the islets.
15 So it is not truly known.

16 DR. AUCHINCLOSS: To put your question in context,
17 for a period of time, it was sort of the lore that you
18 couldn't do islet transplantation unless you maintained
19 absolutely rigid tight glucose control for weeks after the
20 procedure. But the fact of the matter is that the
21 successful islet transplants that have been done on people
22 generally have been done without tight glucose control.

23 I don't know about Norma, but I suspect you
24 probably give no insulin after you do islet transplants in
25 monkeys. We don't. We don't bother at all. None of the

1 whole-organ pancreas-transplant programs worry about glucose
2 control postoperative. None of the small-animal studies
3 maintain--so the lore is a little bit hyped, I think.

4 DR. HERING: But, Hugh, the point is what is the
5 number of islets required to restore insulin independence.
6 This number may depend on the degree of metabolic control
7 post-transplant. I guess you would agree with this.

8 In some of the experimental studies where you
9 transplant a very good number of islets, yes; you may see
10 normoglycemia and insulin independence and you may not want
11 to overtreat the recipient.

12 DR. AUCHINCLOSS: I like James' approach. Just
13 keep giving islets until they come off of insulin.

14 DR. RICORDI: Actually, one of the debatable
15 issues like whether do you need systemic, like, insulin,
16 exogenous insulin injection, to have metabolic control in
17 the post-transplant period or whether a mild hyperglycemia
18 can stimulate more insulin secreted at the site of
19 implantation in the microenvironment where you really need
20 the growth factor and the antigenic factor of the
21 antiapoptotic.

22 So I think there is still a little controversy
23 whether you should clamp very closely or whether a mild
24 hyperglycemia may be acceptable. But I would like to echo
25 Dr. Siegel's point that we need to find out markers that can

1 define good outcome in terms of what is good for the
2 patients.

3 I think that these endpoints that we are defining
4 are exactly doing that because if you measure C-peptide and
5 hemoglobin A1c, if you assume that you have two levels of
6 success in a cellular graft, it is not like an organ
7 transplant where partial function virtually does not exist.

8 But, in islet transplantation, you can either
9 achieve complete failure, partial function or complete
10 insulin independence. The measure to assess partial
11 function is C-peptide and whether you normalize hemoglobin
12 A1c levels, because those are outcome measurements that have
13 been, thanks to the studies of the DCCT and others that have
14 been correlated with positive endpoints for patients
15 because, even within the cohort of patients of the DCCT, it
16 has been shown that those patients with type-1 diabetes that
17 have residual C-peptide secretion do better in terms of
18 complication development than other patients.

19 There have been urine studies in Europe on
20 C-peptide treatment showing that even if you have a
21 C-peptide secretion around 1 nanogram, it may be something
22 beneficial for patients.

23 So I think C-peptide hemoglobin A1c does not just
24 give us an immediate assessment of how much the islets are
25 working, whether they are working or not, but they also can

1 be related to some kind of outcome long-term predictions.

2 DR. SALOMON: Camillo, can I ask you a question,
3 following up on that--well, to everyone, but to you--what
4 criteria should be used to determine the loss of graft
5 function? I guess my question is can you use any of these
6 criteria to diagnose rejection?

7 DR. RICORDI: It depends if you have partial
8 function or complete insulin independence. But I would say
9 that the first--if you have partial function, the first
10 index that you may have is hyperglycemia and higher insulin
11 requirement compared to what was your baseline when the
12 transplant was functioning. Absence of stimulated C-peptide
13 production, basal and post-prandial, are clear signs for
14 when you lose completely a graft.

15 You can do a glucagon test and have confirmation
16 of stimulated C-peptide test and have confirmation that
17 there is no more C-peptide production.

18 DR. SHERWIN: I would bet, although I am not
19 sure, that the best way of testing whether you are beginning
20 to lose function is insulin's response to intravenous
21 glucose over a ten-minute period. If you knew that and
22 could follow it, if you began to lose islet mass, that
23 response would begin to fall off.

24 DR. RICORDI: The reason I am saying depending on
25 the graft function, because we know from the preclinical

1 study when we did these monkeys with normal, that if your
2 baseline is insulin independence with an extremely
3 well-regulated glucose level and basal in the 80's range,
4 even suspect rejection when basal or post-prandial--when you
5 have just a little blip in post-prandial, it is already an
6 indication--when you have a partial function, it is more
7 complex because you may have more variable baseline or
8 post-prandial glucoses.

9 Then unless you do very frequent IVGTT, it may be
10 very difficult to catch at an early time.

11 DR. HERING: There is one important question in
12 this context; should islet-transplant rejection be treated.

13 DR. SALOMON: You sort of anticipated my next
14 question. What is the answer?

15 DR. HERING: This depends on the availability of
16 early markers of rejection, I think.

17 DR. AUCHINCLOSS: That's right.

18 DR. KENYON: I would say yes if we have an agent
19 that can effectively reverse it. I agree with Camillo, what
20 he is saying. It is so much cleaner if the animals are
21 clearly insulin independence. Using the loss of first phase
22 or a blunting in it as a predictor of rejection is difficult
23 because you would have to do it fairly often.

24 We have, retrospectively, seen animals that had a
25 rejection episode and, just serendipitously, we had done an

1 IVGTT the week before and there was clearly a loss of first
2 phase. But, especially, depending on the initial mass
3 transplanted, they can be having some kind of an ongoing
4 rejection for a while, I think, without us detecting
5 hyperglycemia.

6 Just like when you are getting diabetes, you can
7 lose a lot of cells before you actually detect
8 hyperglycemia. With regards to treating them with insulin
9 post-transplant, when we have done it, Hugh, is when the
10 animals have been hyperglycemic. So usually that is
11 associated with the marginal islet mass and then we will
12 treat them to keep them below 200 post-prandial and around
13 100 fasting.

14 I have had monkeys that actually required
15 significant amounts of insulin that got a marginal mass and
16 actually came off insulin after the first 100 days. But as
17 far using that as a marker, it is difficult to say--if the
18 animal has partial function, I think it is important to give
19 insulin because they may come off and it keeps their general
20 health status.

21 But, as far as protecting the islets,
22 post-transplant, we don't have any data to support it one
23 way or the other. It is just a matter of metabolic control.

24 DR. AUCHINCLOSS: I would say two things. One is,
25 I think, I buy the point that probably glucose control

1 matters somewhat but probably not to the degree of religion
2 that we had about five years ago. But I was actually
3 prompting you to point out that you believe you have treated
4 rejection episodes and reversed them and maintained islet
5 mass sufficient to maintain normal glycemia.

6 DR. KENYON: We have; with anti-CD154, multiple
7 episodes of rejection in the monkeys.

8 DR. MILLER: What is the registry data about
9 treating rejection? Has that been captured in the registry,
10 because I think when we are looking at risk to patients, I
11 think that the protocol should very much standardize what
12 gets done and how soon you stop, the less immunosuppression,
13 so that there are clear-cut answers when the pilot is done
14 how you manage rejection.

15 DR. HERING: There is only anecdotal data, and I
16 am not aware of any protocol that consistently reverses
17 rejection. So, hyperglycemia is a very late marker. We
18 know this. It is conceivable that 80 percent of the islet
19 mass is gone by the time you see hyperglycemia, or
20 50 percent, you can argue.

21 OKT3, ATG, steroids have been tested, but
22 anecdotal data, at best, are available.

23 DR. SALOMON: I have to say, it sounds like a
24 24-hour urine for C-peptide that was quantitative might
25 actually be--

1 DR. HERING: If you look at the normal range of
2 C-peptide in the urine, it is anything, I guess, from 5 to
3 200 microunits or whatever. I don't know. But it is a
4 wide, wide range. There is one paper in the literature, The
5 Pitfalls of Urinary C-Peptide Analysis. This was published
6 by Ken Polonski. If you really want to study C-peptide,
7 then you have to study the C-peptide kinetics of a person.
8 So you have to use radioactive C-peptide and have to go into
9 a lot of details if you really want to develop a sensitive
10 assay.

11 DR. SHERWIN: That's correct. The other thing I
12 would emphasize again, and you are right about glucose being
13 a late marker, but one thing that I have been struck by with
14 the glucose monitoring is that, in people with normal Alc's
15 that we aggressively treat, let's say, with pumps, once you
16 put them on a continuous monitor, there are a lot of
17 abnormalities because you are getting--the immediate rise
18 and fall is much more abnormal than we suspected by getting
19 a continuous readout.

20 So I think you may detect subtle abnormalities in
21 post-prandial glucose metabolism with that kind of an
22 assessment. I surely would try it as a trial in your study,
23 in not necessarily every patient, as a way of trying to pick
24 up early rejection because, obviously, that would be
25 critical.

1 DR. HERING: There is, now, I guess, some software
2 some mathematical modeling software, so it could address the
3 question whether a given glycemc profile could be an early
4 marker of rejection; right.

5 DR. SALOMON: We are getting a little bit toward
6 the end here. I just want to make sure that we get the
7 highlights. One thing that we haven't--we have sort of been
8 talking about 8a up until now, of course, on purpose. Can
9 we just spend the last couple of minutes here on 8b, the
10 idea here being what kind of efficacy parameters would be
11 reasonable for really judging an outcome.

12 What would you accept as a good outcome? What is
13 the range of things? Obviously, everyone understands that
14 perfect islet function is a good outcome, but what about the
15 intermediates there?

16 DR. HERING: One outcome measure is healthcare
17 dollars per quality-adjusted life years saved.

18 DR. SALOMON: Anything else?

19 DR. RICORDI: I think C-peptide secretion with
20 normalization of hemoglobin A1c levels in the absence of
21 severe hypoglycemia would be a gold standard of treatment
22 now.

23 DR. SALOMON: Even if the patient was still on
24 insulin.

25 DR. RICORDI: Yes. I am saying intermediate

1 outcomes, not--

2 DR. SALOMON: I understand.

3 DR. AUCHINCLOSS: Are we sure we agree on that? I
4 even saw you, Bob, nodding your head yes.

5 DR. SHERWIN: Yes.

6 DR. AUCHINCLOSS: I think that is a very
7 fundamental point for this committee if it really agrees
8 that C-peptide, normalization of hemoglobin and A1c is a
9 surrogate marker for a good outcome in a trial even if you
10 are on long-term immunosuppression.

11 DR. SHERWIN: I think--would you say "good?" It
12 is an intermediate outcome that I would accept as acceptable
13 from an experimental perspective. I don't know whether it
14 is a good outcome or not because I have no way of assessing
15 the long-term effects of immunosuppression.

16 But I think it is something that is a reasonable
17 one to look at. It would be not an unacceptable outcome.
18 Let's put it that way.

19 DR. BLUESTONE: I guess the question I have--this
20 is my earlier question, now, an hour later. If at one year,
21 somebody had a partial functional islet graft but was on
22 severe immunosuppression with this protocol, would you leave
23 him on the immunosuppression?

24 DR. RICORDI: You give him another informed
25 consent.

1 DR. AUCHINCLOSS: You would actually give him more
2 islets.

3 DR. SHERWIN: I would go on. I think I would go
4 on. I need to know more--hopefully, I would have learned
5 some more as I went along. I am not saying that it is
6 inappropriate therapy, really; no. And I don't know the
7 answer to it. It is an experiment that, as long as it is
8 conformed consent and we are getting a good outcome from
9 Alc, I think it is an experiment worth seeing, if the
10 patient is informed.

11 MR. SIEGEL: I guess more of what we are getting
12 to with this question, though, would be what outcome would
13 be convincing that you have a favorable risk/benefit, either
14 a measure of benefit or reasonably likely to predict
15 clinical benefit, to the extent that you would feel this is
16 what you need to know to say yes, this is an effective
17 treatment.

18 DR. SALOMON: Give us another minute. We are
19 getting there.

20 DR. AUCHINCLOSS: Jay is asking the right question
21 because I was trying to phrase it that this was a surrogate
22 marker for a good clinical outcome. Bob answered the
23 question, I think, by saying, yes; you ought to go ahead and
24 study that.

25 DR. CHAMPLIN: But the long-term outcome is,

1 obviously, reducing the end-organ damage and that, at least
2 right now, correlates with the hemoglobin A1c the best and,
3 at least for a surrogate, in the short term, that would be
4 the gold standard.

5 I guess the other aspect is is the patient
6 suffering from their immunosuppressive treatment. If they
7 are doing well without infection, without renal failure or
8 any toxicity from those drugs, then there is little harm in
9 continuing it. So that has to be considered as well.

10 DR. CARA: I have a couple of comments. One is
11 that, regardless of whether or not the glycohemoglobin has
12 been improved or normalized, I think one of the important
13 issues is to sort of continue the ongoing monitoring that we
14 routinely provide to individuals with diabetes to make sure
15 that we are, in fact, reducing the incidence of kidney
16 disease, eye disease, and so on and so forth.

17 I think another important issue is the
18 quality-of-life issue. We may not necessarily "cure"
19 individuals with diabetes, but if we can improve their
20 quality of life, either by reducing hypoglycemia or frequent
21 episodes of ketoacidosis or hospitalizations or whatever, I
22 think that is of clear benefit.

23 MR. SIEGEL: I would infer from that, though, that
24 when--and I heard that the studies that are being planning
25 now are not at that stage of proving clinical benefit, when

at

1 we are looking at that, then, those comments would also
2 relate to what sorts of patients you would want to study.

3 If you want to show you are preventing
4 complications, you want to study a patient population in
5 which you know you have a reasonable incidence of what
6 complication to expect.

7 Or if you want to improve quality of life, you
8 want to study patients whose quality of life needs
9 improvement.

10 DR. CARA: But there are a couple of different
11 ways that you could, obviously, do that. But I think the
12 historical data that we have, thanks to the DCCT, provides
13 us with fairly substantial information as to the natural
14 history of diabetes.

15 If we can impact that in any positive way, I think
16 that is a very important issue.

17 DR. SHERWIN: There is one issue, actually,
18 revolving around that question. Again, I don't know enough
19 about transplantation; most people with diabetes die of
20 heart disease and macrovascular complications. My
21 impression is that people who get transplants have a high
22 rate of macrovascular complications.

23 So the one issue that we have not focused on and I
24 am sorry I didn't really focus on it before is what do we
25 know about transplantation in general with respect to

1 macrovascular disease and could we be accelerating
2 macrovascular disease in this patient population.

3 That might be one of the things we monitor.

4 DR. LEVITSKY: I thought most of that was due to
5 the glucocorticoid but maybe I am wrong about that, in
6 renal-transplant people, people who are not too controlled.
7 My question, actually, related to, as we were discussing the
8 previous scenario with the patient who was sort of a
9 half-way patient, it seemed to me that we were discussing an
10 N of 1, not an N of however many patients are going to go
11 into a study.

12 I think I would like people to refocus the
13 question in terms of if the outcome of this study is that
14 everyone enrolled in it, or a certain percentage of people
15 enrolled in it, has that same scenario, that they are all
16 sort of halfway there but not completely off insulin, not if
17 one single patient is in the study is there and you are
18 making an individual patient decision, what kind of outcome
19 do we want for the entire study.

20 Does 50 percent of the population have to be
21 completely off insulin? If 50 percent of the population if
22 on insulin but has a better hemoglobin A1c, is that an
23 effective outcome?

24 DR. SALOMON: I think that is a good way of posing
25 the question. I don't know that we need to come up with an

1 answer to that, but I think that--

2 MR. SIEGEL: Dr. Bluestone has argued, I think,
3 that the argument for posing it in terms of the individual
4 patient is that it is only the individual patient who is
5 experiencing that benefit who is also experiencing the risks
6 of prolonged immunosuppression. So, if that is the main
7 risk you are wanting to counterbalance, you can look at it
8 that way.

9 DR. CHAMPLIN: I would argue that if you can be
10 successful in some patients, some meaningful fraction of the
11 patients, that would give you promise that improvements upon
12 the procedure, giving more islets, optimizing
13 immunosuppression, doing things better, could get you up to
14 100 percent.

15 So the part-way solution, I would view, is good
16 for a step that would justify carrying on.

17 DR. SALOMON: We are near the end here, so what I
18 would like to do is try summarizing this last question of
19 the two days.

20 DR. CARA: Could I make one more point? That is
21 the issue that--I think we sort of agree on the things that
22 we know we would need to be looking at from the point of
23 view of diabetes, but I am not so sure that we have a good
24 sense of what we routinely don't look for that we probably
25 should be looking for in a population of individuals that

1 are undergoing islet-cell transplantation.

2 The sort of thoughts that occur are should we be
3 looking at issues like bone integrity as a result of
4 continued chemotherapy. Should we be looking at a variety
5 of other issues related to either the treatment of the
6 concomitant therapy?

7 DR. AUCHINCLOSS: The answer is yes, we need the
8 long-term data.

9 DR. CARA: And, if so, what?

10 DR. AUCHINCLOSS: The question is what is the
11 short-term surrogate endpoint. I just wanted to come back
12 to that and say I don't personally agree with the statement
13 that I made about partial function.

14 I believe that an acceptable surrogate marker is
15 insulin independence. I think if you had people insulin
16 independent, you could call that a success. I would
17 actually agree with Dr. Sherwin. I would not feel
18 comfortable accepting, at this point, partial function as
19 demonstration of benefit.

20 I understand that, in both cases, you go ahead and
21 get long-term data to verify the surrogate endpoint. But I
22 think, at this moment, I would have to say that insulin
23 independence is the surrogate marker I would take.

24 MR. SIEGEL: Can I ask--I am enjoying this
25 discussion, but I hope we won't leave Dr. Sherwin's question

1 hanging because I would like to hear more before we go home
2 as to whether are there specific concerns about making
3 macrovascular disease worse or should it be looked at--can
4 you look at it as an endpoint for making it better and how
5 would you look at it.

6 DR. SALOMON: I think that the point is, and I
7 think that is sort of what Hugh is getting at, is that,
8 within a twelve-month time frame, that is not so very easy
9 to do, frankly, in any objective way. The fact that a risk
10 is there, however, which was alluded to by Dr. Levitsky, Dr.
11 Cara and Dr. Sherwin, is real.

12 Steroids, alone, are not the only cause for
13 accelerated atherosclerotic vascular disease in transplants.
14 In terms of bone disease, it is known cyclosporine and FK506
15 do increase bone turnover and decrease bone deposition which
16 is something that has not often been made a big deal of, but
17 it is actually a very real thing.

18 So I think that there are some potential deficits.
19 But, again, I don't think that you could do bone
20 densitometry in a twelve-month time frame and significantly
21 see differences that would be relevant.

22 DR. SHERWIN: But you want to do it baseline,
23 then. You are telling me you want to do it baseline because
24 you might continue this trial for five years. It would be
25 good to have that baseline information.

1 I also think you should do, then, carotid-artery
2 ultrasound. Then the issue is, in other transplants that
3 people do, they do coronary angiography. I am not trying to
4 say that is what I--

5 DR. SALOMON: I think there is a lot of interest
6 in kidney and heart and liver transplantation with these
7 cardiovascular risks. Let's face it. It is the most common
8 cause of death in vascularized organ allografting, more
9 common than dying because of the loss of your graft which is
10 sobering for all of us.

11 But we are very frustrated by the fact that there
12 are very few and very poor measures of it. You could spend
13 millions of dollars trying to do quantitative coronary
14 angiography or what they call IVUS, intravascular
15 ultrasound. I don't think anybody wants to go there yet
16 with the first few islet transplants.

17 DR. SHERWIN: But doppler ultrasound of the
18 carotid artery seems to correlate reasonably well with
19 macrovascular disease. It is surely being used by the DPP
20 as a surrogate marker. It is not very difficult to do.

21 DR. SALOMON: Again, this is not meant as an
22 argument. I do want to end at 4 o'clock because we are
23 going to lose people on the committee. I thought, before we
24 walk away, that we should try and summarize this last part.

25 For 8a, my sense of the committee is that there

1 are four kinds of tests that you go from simple measures
2 such as their insulin dose, their daily glucose levels, to
3 more complicated tests such as the stimulated secretion of
4 C-peptide, the glucose-disappearance curve.

5 Then the third level would be more complicated
6 integrated tests which would include stimulated glucose,
7 stimulated insulin, release, stimulated C-peptide release
8 and then, finally, a fourth level that I think Dr. Sherwin
9 made me aware of that would even require a hospital
10 admission where you start getting into glucose clamping.

11 I know, Dr. Sherwin, you were not suggesting that
12 we do any of those things, but there is a fourth level of
13 testing out there that could be done in a metabolic unit. I
14 think Dr. Hering made the point that he thought you had to
15 keep that in mind, not to get completely carried away.

16 I think that the idea would that, at this point,
17 it is probably too early to say what criteria would be used
18 to determine the loss of graft function short of someone
19 completely off of insulin who suddenly is back on their
20 maintenance dose of insulin, something really obvious with
21 no detectable C-peptide. You don't need to get hit over the
22 head with a two-by-four to pick that one up, but more subtle
23 changes and, particularly in patients who might have partial
24 responses, this could be very difficult.

25 In terms of 8b, the idea of--

1 MR. SIEGEL: Before we leave that, am I not
2 correct in having heard the committee say that, in fact, it
3 would be a very important goal to identify early measures of
4 dysfunction for any of a variety of reasons but, notably, to
5 treat rejection but potentially other reasons as well?

6 DR. SALOMON: Yes; I think the committee agrees.

7 MR. SIEGEL: That there are potential markers out
8 there and that, for the most part, the only argument against
9 those potential markers was that, in some cases, they might
10 be inconvenient or costly to do.

11 DR. SALOMON: I think that, overall, what we are
12 trying--I think what the committee is telling you is that,
13 right now, having not had much of an experience with
14 successful islet transplantations, certainly under this
15 particular circumstance and protocol, that it would be
16 premature to tell you what measures, that they should be
17 measured and that that one of the major objectives of the
18 trial should be to answer that question with real data.

19 In terms of 8b, that, of course, is the idea of
20 what would be the endpoint benefits of a trial like this.
21 There, I think, there was a little bit of lack of clarity on
22 the part of the committee. I think concerns were raised
23 that it is easy, if everybody is off of insulin with great
24 insulin and glucose metabolism.

25 It is not quite so easy to decide on patients,

1 let's say, who are still on insulin but have measures that
2 clearly would suggest that they have a stability of their
3 diabetes.

4 However, I think that, for example, the Edmonton
5 group would say if these are patients who had really severe
6 hypoglycemic episodes or had severe ketoacidosis,
7 complicated ketoacidosis, episodes that those patients
8 really would be getting a significant benefit from this
9 study and probably would justify that.

10 I think we are all clear about the fact that we
11 don't know what the long-term consequences of this
12 particular immunosuppressive regimen is in this particular
13 group of patients and that that makes this determination a
14 little bit more complicated.

15 DR. MILLER: Could I just add something to what
16 you are saying. It is not really clear that, if they are
17 under better control--i.e., no DKA or hypoglycemia--that
18 that is an effect of the graft, itself. I mean, these
19 patients will then be changed into a very intensive
20 monitoring roll and so it could be an epiphenomenon.

21 I disagree that that is an endpoint, especially in
22 these early trials. I really think that, in the earliest
23 trial, the goal is to get as much information as possible to
24 determine the safety and the preliminary efficacy.

25 This question about how to determine what is for a

1 phase III trial, I think, is very premature at this time.
2 Let's get some data you can get these in before you start
3 planning a phase III trial.

4 MR. SIEGEL: I would agree. By the way, I think
5 that point is very well taken. There is a lot of data out
6 there which suggests that, in almost all diseases, that
7 patients who enter clinical trials do better on their trial
8 than they did in the period proceeding the trial.

9 Given what we have heard about the issues of poor
10 management and whether that represents optimization, one
11 might expect that here. I will toss out something that I am
12 sure nobody will think is a good idea, at least nobody is
13 actually doing the experiments, which is, at some point, and
14 I agree with you entirely, Carole, that this is not the
15 point, but at some point where one were studying this, an
16 interesting way to get at that question, although possibly
17 not feasible, would be to take brittle diabetics and,
18 actually, to randomize them and have some of them in an
19 intensive management program.

20 Once you had a therapy that you knew was
21 reasonably effective, you could answer some important
22 questions.

23 DR. SALOMON: I think Carole's point is excellent.
24 The point I was making was simply that, if the Edmonton
25 group puts forward the idea that those are suitable patients

1 for entry into such a trial, accepting the significant
2 implications, risk, et cetera, to that patient, I didn't
3 think it was unreasonable to put it in the list of things
4 that would be reasonable outcome measures.

5 However, the potential that that might be a
6 clouded outcome measure is well taken.

7 Then, lastly, but I don't at all unimportant, is
8 the point brought up at the end by Drs. Sherwin and Cara and
9 Levitsy that the potential of cardiovascular risk factors,
10 bone and other somatic complications of the therapy, really
11 are significant and they are clearly factors in allograft
12 experience with these immunosuppressive drugs and will have
13 to be a parameter.

14 I think that kind of summarizes it.

15 DR. RICORDI: May I make on brief comment. I
16 completely agree that hemoglobin A1c alone could be a marker
17 of better management of the patient. That is why you
18 capolate with C-peptide that cannot obviously emerge out
19 from nothing just because of management. But it is very
20 important also that as soon as these patients enter in the
21 candidate list, they start--they are treated with intensive
22 insulin management.

23 We, indeed, are using this Teledox system that Dr.
24 Alejandro has been using with patients entering the
25 candidate list which manage very closely glucose levels that

1 you can, indeed, improve even the insulin requirement before
2 transplant. You have to make sure that your baseline is
3 what you can achieve with close monitoring and ideal patient
4 treatment and then judge what is the additional component of
5 the transplant.

6 MR. SIEGEL: If you enter somebody because of
7 asymptomatic hypoglycemia or brittleness, you put them on
8 the candidate list, and you have this intensive monitoring,
9 do you then confirm that they remain brittle despite this
10 intensive therapy before you actually transplant them?

11 DR. RICORDI: Yes, because you have to have tried
12 to optimize treatment before.

13 DR. SALOMON: I think, again, a couple of
14 different times, we have made the point that the baseline,
15 and establishing the baseline of these patients before
16 enrolling in the trial for any one of these parameters is
17 going to be critical. I think that is true. It is true of
18 the cardiovascular and the bone diseases, et cetera.

19 I would like to end here. I want to thank
20 everyone on the committee, all the speakers who joined us
21 today, the FDA, Gail Depolito, her staff at the FDA and
22 everyone else for their participation today.

23 Thank you again.

24 MR. SIEGEL: Thank you all; a very informative and
25 interesting discussion.

at

1

[Whereupon, at 4:50 p.m., the meeting was


2

adjourned.]

3

C E R T I F I C A T E

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.


ALICE TOIGO