

1 efficiency of the leukofiltration.

2 DR. EWENSTEIN: Right, but I guess what  
3 I'm saying on the second point then is: when would  
4 you extrapolate from the little we know about the  
5 difference between variant CJD and classical? That  
6 you might expect more of a benefit with leukoreduction  
7 in the variant case than classical.

8 And I would admit that if you see nothing,  
9 no reduction in fresh plasma, then you're not going to  
10 see any reduction in the freeze-thawed, but if there  
11 are other TSEs where there is more propensity for  
12 leukocytes, then you might see a difference between  
13 the frozen and fresh plasma experiments.

14 CHAIRMAN BROWN: I think that's correct.  
15 I think that was the implication. There might be I  
16 don't think maybe a greater association rather than  
17 perhaps simply more of it. There may be a greater  
18 burden in the body of infectivity peripherally in new  
19 variant than in classical CJD. I think that's  
20 probably as far as you can push that at the moment.

21 Bob?

22 DR. ROHWER: Well, that's the point I was  
23 going to make. But that means the distribution could  
24 be the same. It's just the overall amount in all  
25 compartments goes up.

1 CHAIRMAN BROWN: I think we'll take our  
2 break now, and when we come back, we will go to a  
3 vote, and this will obviously have the advantage of  
4 giving anybody the opportunity to lobby for the next  
5 ten or 15 minutes.

6 It is now 10:56. At 11:10 we'll  
7 recommence.

8 (Whereupon, the foregoing matter went off  
9 the record at 10:53 a.m. and went back on  
10 the record at 11:10 a.m.)

11 CHAIRMAN BROWN: Will the committee please  
12 reconvene?

13 And, Dr. Asher, would you like to read our  
14 question again? Dr. Asher.

15 DR. ASHER: Okay. Let me read the first  
16 question.

17 Can leukoreduction be expected to reduce  
18 significantly the infectivity theoretically present in  
19 blood of persons during the course of Creutzfeldt-  
20 Jakob disease and new variant Creutzfeldt-Jakob  
21 disease? If so, for which components?

22 CHAIRMAN BROWN: I think that it's  
23 possible we should vote on the first question. This  
24 is a double question, and the answer to the second  
25 question is implied in the first. So I think the

1 committee should vote simply on the first question,  
2 which is: can leukoreduction be expected to reduce  
3 significantly the infectivity theoretically present in  
4 blood of persons during the course of CJD and VCJD?

5 Dr. Schonberger.

6 DR. SCHONBERGER: Insufficient  
7 information. No.

8 CHAIRMAN BROWN: Dr. Leitman.

9 DR. LEITMAN: I'd agree with that.  
10 Insufficient information. No.

11 CHAIRMAN BROWN: Dr. Lurie.

12 DR. LURIE: Insufficient information, No.

13 CHAIRMAN BROWN: Dr. Ewenstein.

14 DR. EWENSTEIN: No.

15 CHAIRMAN BROWN: Dr. Belay.

16 DR. BELAY: No.

17 CHAIRMAN BROWN: Dr. Tramont.

18 DR. TRAMONT: No.

19 CHAIRMAN BROWN: Dr. Bolton.

20 DR. BOLTON: No.

21 CHAIRMAN BROWN: Dr. Hollinger.

22 DR. HOLLINGER: Insufficient information.

23 Abstain.

24 CHAIRMAN BROWN: Ms. Walker.

25 MS. WALKER: No.

1 CHAIRMAN BROWN: Dr. Burke?

2 PARTICIPANT: Not here.

3 CHAIRMAN BROWN: I'm sorry. Dr. Cliver.

4 DR. CLIVER: You've skipped Dr. Piccardo,  
5 but Cliver votes no.

6 CHAIRMAN BROWN: Oh, all right. You're on  
7 the corner there. I missed you. Sorry, Pedro.

8 Dr. Piccardo.

9 DR. PICCARDO: No, because of insufficient  
10 data.

11 CHAIRMAN BROWN: Dr. Cliver, did you vote  
12 already?

13 DR. CLIVER: Yes. No.

14 CHAIRMAN BROWN: You voted not. Yes, no,  
15 no? I know. I'm just --

16 (Laughter.)

17 CHAIRMAN BROWN: Dr. Ferguson.

18 DR. FERGUSON: No.

19 CHAIRMAN BROWN: Dr. McCurdy.

20 DR. McCURDY: No.

21 CHAIRMAN BROWN: And Dr. McCullough.

22 DR. McCULLOUGH: Insufficient information.  
23 Yes.

24 CHAIRMAN BROWN: And Dr. Brown votes  
25 insufficient information. Yes.

1           So the vote, we have only 15 voting  
2 members at the moment, and the vote is, therefore, 13  
3 to two.

4           PARTICIPANT: With one abstention.

5           CHAIRMAN BROWN: Oh, who abstained? Oh,  
6 okay. In that case it is 12 to two and one  
7 abstention. Twelve and two is 14 and one is 15.

8           That concludes the committee's charge  
9 today, and we will now hear the Topic 3, which is  
10 simply an update on the regulatory status of processed  
11 -- oh, I'm sorry. There is one other thing because I  
12 guess as a formality I should have asked for anybody  
13 in the audience who wishes to speak on this issue and  
14 influence our vote.

15           (Laughter.)

16           CHAIRMAN BROWN: Seeing none, we'll  
17 proceed to Topic 3 and here there are just three  
18 items:

19           First, an update on the regulatory status  
20 of processed human dura mater. That will be a very  
21 short presentation by Dr. Durfor, the Office of Device  
22 Evaluation of the FDA.

23           And then once again I will ask whether  
24 there are any comments from the floor, following which  
25 we will adjourn.

1 Dr. Durfor.

2 DR. DURFOR: Good morning or afternoon.  
3 I'm not sure which at this point, but I do appreciate  
4 your time to give you an update of where we stand on  
5 human dura mater.

6 Next slide.

7 I'll just give you one slide of background  
8 and then a couple of comments about where we stand.  
9 Human dura mater at this time is an unclassified pre-  
10 amendments medical device. Pre-amendments means that  
11 it was in commercial distribution before the medical  
12 device amendments were enacted in 1976. Unclassified  
13 means that at this point in time it has not been  
14 classified as which risk category is a medical device.

15 In February of 1990, the Neurological  
16 Devices Advisory Panel, which is a component of the  
17 Medical Devices Advisory Committee of the FDA, met  
18 together and made a recommendation that it could be  
19 dealt with as a Class II medical device. I'll discuss  
20 this a little bit more in a minute, but that  
21 recommendation was never finalized.

22 The other piece of background information  
23 I bring to you is to remind you that about a year ago  
24 I met with you and reviewed with you the guidance  
25 document that we were putting out for preparation of

1 a pre-market notification application for human dura  
2 mater. This guidance document was based on the  
3 deliberations of this particular panel in both 1997  
4 and '98.

5 Next slide.

6 So in September of last year, we convened  
7 the Neurological Devices Panel again. We felt that in  
8 the nine years between the last recommendation and  
9 1999 a considerable amount of information had been  
10 developed in terms of the risks and the value of human  
11 dura mater.

12 That panel meeting met and was represented  
13 by this panel member, both by Dr. Piccardo, who is  
14 here with you today, and Dr. Penn, who was a temporary  
15 voting member on this panel when you were discussing  
16 issues of human dura mater in '97 and '98. So we  
17 tried to include the comments of this panel in that  
18 panel as well.

19 That panel met and, once again, made a  
20 recommendation that human dura mater could be viewed  
21 and regulated as a Class II medical device.

22 What does that mean? There are three  
23 classes of medical devices. Class II medical device  
24 is a device for which we understand the safety and  
25 effectiveness of the device, and it can be regulated

•  
**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 first using the general controls of a Class I medical  
2 device, which means that a manufacturer would be  
3 prohibited from either misbranding or adulterating a  
4 product. They would be required to follow GMPs. They  
5 would be required to use the medical device reporting  
6 requirements and other issues associated with the  
7 general control of medical devices.

8 In addition, Class II medical device means  
9 that the safety and risk of a product are sufficiently  
10 well understood that they can be controlled by special  
11 controls.

12 And the last bullet sort of gives you an  
13 idea of what those special controls are.

14 Next slide.

15 The panel, a component, once again, of  
16 finalizing the regulatory status of any product  
17 requires a recommendation from a panel. Part of the  
18 deliberations of that of that particular panel  
19 involved identifying special controls that would help  
20 us make sure that the product is both safe and  
21 effective.

22 During the panel deliberations, the  
23 following special controls were identified by the  
24 panel. Those were a careful donor selection, testing  
25 guidelines, post market surveillance, patient



1 registries, and device tracking.

2 Next slide.

3 After consideration of the literature, the  
4 comments of this panel, and the comments of the  
5 Neurological Devices Advisory Panel, the FDA agrees  
6 with that recommendation that human dura mater can be  
7 considered as a Class II medical device.

8 Part of our preparation efforts for the  
9 preparing the final rule for that classification  
10 involved the FDA identifying risks to health. We have  
11 identified the following risks to health with human  
12 dura matter. Those are infection, transmission of  
13 TSEs, CSF leak, and adverse tissue reactions.

14 Next slide.

15 We feel that the appropriate special  
16 controls for controlling these risks are the two  
17 following guidance documents which are available on  
18 the FDA Web page.

19 The first is the guidance for the  
20 preparation of a pre-market notification application  
21 for human dura mater, which once again I discussed  
22 with you last year, and the guidance on medical device  
23 tracking, which I'll say a little bit more about in a  
24 minute.

25 Next slide.

1 Just as a quick review, the guidance  
2 document for the preparation of a pre-market  
3 notification application for human dura mater has a  
4 variety of issues. It was an update to an existing  
5 document, and here are some of the key issues that  
6 were listed -- that are listed in that guidance  
7 document, which is, once again, available for public  
8 use on the Web:

9 Donor qualifications;

10 Histology of the brain from which the  
11 donor -- from which the dura mater is taken;

12 Issues associated with archiving; and

13 PrP RES testing when such a test becomes  
14 available.

15 There are also other issues that this  
16 panel recommended to us with regard to how dura mater  
17 should be processed, and then there are product  
18 characterization issues and record keeping and  
19 tracking.

20 Next slide.

21 The other special control that we are  
22 considering is the guidance document on medical device  
23 tracking. In December of 1998, FDA issued a tracking  
24 order for human dura mater. This particular  
25 regulation of the FD&C Act requires the manufacturer

1 to develop, implement, and periodically test a program  
2 that allows them to locate any patient that has been  
3 implanted with a medical device until the device is  
4 either explanted or the patient dies.

5 And this is not unique to dura mater.  
6 There are other track medical devices as well.

7 Next slide.

8 So in conclusion, at this point in time  
9 FDA is preparing a rule to classify human dura mater  
10 as a Class II medical device.

11 Thank you very much.

12 (Applause.)

13 CHAIRMAN BROWN: Thank you, Dr. Durfor.

14 Are there any comments or questions from  
15 the floor with respect to the presentation you just  
16 heard?

17 DR. SCHONBERGER: Yeah, just one.

18 CHAIRMAN BROWN: Just a second. If not --

19 DR. SCHONBERGER: No.

20 CHAIRMAN BROWN: You're not on the floor,  
21 Larry.

22 DR. SCHONBERGER: Oh.

23 CHAIRMAN BROWN: Yet.

24 (Laughter.)

25 CHAIRMAN BROWN: Dr. Schulman (phonetic).

1 DR. SCHONBERGER: I was just asking  
2 whether -- it said to track them until device  
3 explanation or death?

4 DR. DURFOR: Explantation. Did I spell  
5 that wrong?

6 CHAIRMAN BROWN: Yeah, that's good, Larry.  
7 I was wondering about that myself. What's a device  
8 explanation? Yeah.

9 DR. DURFOR: Explantation. I'd like to  
10 blame my secretary for that, but I made my own slides.

11 CHAIRMAN BROWN: Taken out. Is that what  
12 you're saying?

13 DR. DURFOR: Yes.

14 CHAIRMAN BROWN: Yeah, okay. Well, the  
15 Chairman is aware that as the committee has been  
16 realigned over the past year, like the Supreme Court  
17 it has moved from a somewhat conservative to a  
18 somewhat liberal position, but he thanks the members  
19 for their intelligent and expeditious attack of the  
20 problems that were before it today.

21 DR. LURIE: May I?

22 CHAIRMAN BROWN: Yes.

23 DR. LURIE: Just I'm happy to see that  
24 this Class II medical device thing is going forward,  
25 but really I think it's long overdue at a minimum that

1 this happen. It's now ten years since the  
2 Neurological Devices Advisory Committee recommended  
3 this, and it had to be reiterated nine years later.

4 So I hope that the next time we get an  
5 update that it will be truly completed because it's  
6 taken way too long.

7 CHAIRMAN BROWN: Thank you, Committee. I  
8 will see you again in the fall, if not sooner.

9 The meeting is adjourned.

10 MR. FREAS: Thank you, Dr. Brown.

11 (Whereupon, at 11:23 a.m., the meeting was  
12 concluded.)

C E R T I F I C A T E

This is to certify that the foregoing transcript in  
the matter of: MEETING

Before: TRANSMISSIBLE SPONGIFORM  
ENCEPHALOPATHIES ADVISORY COMMITTEE

Date: JUNE 2, 2000

Place: GAITHERSBURG, MARYLAND

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.

Rebecca Davis