

1 HIV-positive unit if the deferral is changed to five years.

2 We know HIV is transmitted by transfusion and yet  
3 this risk is acceptable because individuals choosing an  
4 alternate lifestyle are being discriminated against. At the  
5 same time, we are spending millions of dollars to perform a  
6 NAT test for HIV to detect three to five HIV-positive units  
7 a year.

8 "These two FDA guidances seem to be at cross  
9 purposes. Continuing to defer MSM donors permanently  
10 enhances the safety of the blood supply much more cost  
11 effectively than NAT. There is no additional cost to  
12 continuing to keep that one HIV-positive unit out of the  
13 blood supply. We will continue to spend millions to keep  
14 the other few out with NAT.

15 "I would recommend that the committee continue  
16 current deferral policies even in the face of possible cries  
17 of discrimination. There are less risky methods of  
18 increasing the donor pool than reinstating previously  
19 permanently deferred donors. If present, I would be voting  
20 against dropping the deferral to five years."

21 I just wanted to read that into the record from  
22 Colonel Fitzpatrick.

23 Yes?

24 DR. NELSON: I think this is a pretty tricky and  
25 delicate issue and difficult, but it isn't as difficult as

1 the CJD because we have to wait quite a while to get an  
2 answer on it. One of the things that occurs to me is I  
3 think the sort of bottom line is if we change the deferral  
4 criteria to five years, and I would think that if it would  
5 be changed at least initially that should be the change, not  
6 one year, until we have more data.

7 But if we change it to five years, we would have  
8 information on the prevalence in the additional population,  
9 whether it was 62,000, 160,000 or 10,000. We could get  
10 prevalence data on that population. If the prevalence  
11 significantly increased, then, even if it is one, or however  
12 small number, that might, for one reason or another, escape  
13 all the testing with NAT an antibody, et cetera, the policy  
14 could be reevaluated.

15 But if it turned out that there was no increase,  
16 then the benefit would be however many additional donors  
17 that yielded. The estimate was that the CJD policy  
18 decreased the donor pool by 2.5 percent, maybe more, of some  
19 units. This, it wouldn't increase it by 2.5 percent but  
20 maybe 1 percent. So it is not completely insignificant.

21 That is my thinking. I don't think that people,  
22 necessarily, have a right to be a blood donor. I can  
23 understand all the issues and that there are issues, but it  
24 isn't quite the same as a right to insurance or a right to a  
25 job or a right to many other things.

1 I think the instruments that we use in a busy  
2 blood bank, et cetera, will have to be sort of blunt and not  
3 totally personalized. So, therefore, I think we need a  
4 single question or two.

5 But I think we could change it to a five-year and  
6 before the risk of a unit slipping through, we would have  
7 data as to whether the risk has been increased.

8 DR. HOLLINGER: Thank you.

9 Dr. Schmidt?

10 DR. SCHMIDT: I don't think we are going to get  
11 any data asking people do they remember when; is it three  
12 years, four years, five years, et cetera. We are setting up  
13 an artificial situation where we are going to accept what we  
14 think they said. We wouldn't accept another test based on  
15 such--the terrible certainty of what the test would result.  
16 And this really is a test. So I am against making any  
17 changes until we do them all and do them right.

18 DR. HOLLINGER: Dr. Epstein?

19 DR. EPSTEIN: I just want to make a few points  
20 that I hope are clarifying. A lot has been said about the  
21 inconsistency of criteria that lead to twelve-month deferral  
22 versus lifetime deferral. The concept that emerged in  
23 putting forward these different deferral periods was that,  
24 in some cases, we were trying to address risks that  
25 presented themselves as discrete or one-time exposures where

1 the real issue was to wait for something in excess of a  
2 window period before permitting the blood collection on the  
3 notion that you would then have positive tests and could  
4 exclude use of the unit.

5           However, there was independently the notion that  
6 there were, in fact, some risk histories that connoted  
7 lifestyle choices that imply ongoing risk and where one  
8 could not be confident that simply waiting one window period  
9 from a discrete exposure was meaningful.

10           Those lifetime deferrals, as has been stated, were  
11 for history of intravenous drug use, sale of sex for money  
12 or drugs, or male sex with males since 1977 in the AIDS era.  
13 I think that it needs to be understood that two concepts  
14 were driving FDA thinking, that these relate to the whole  
15 notion of layers of safety; in other words, a series of  
16 overlapping safeguards to protect the blood supply.

17           The idea was that first you educate people so that  
18 they don't present as donors if they have known risk, that  
19 you then ask them questions to try to exclude them from  
20 donating if they might be a person at high risk either  
21 because of incidence or because of prevalence.

22           This is a very key point, that we have, forever  
23 and a day, tried to keep from collecting persons likely to  
24 have a positive collection because it would go into the  
25 quarantine inventory but there is finite risk that it would

1 come out in error. We have always done this.

2 Then, of course, the third layer of protection is  
3 testing deferral registries, quarantine management, et  
4 cetera. So, again, the deferral criteria have always been  
5 organized to try to capture both persons at high incidence  
6 but also persons identifiable as being in groups with high  
7 prevalence to prevent infectious units from entering the  
8 inventory at the blood center.

9 I would also like to address what I think is a  
10 misunderstanding whether FDA criteria are based on  
11 identification of self-identification of a group as opposed  
12 to behavior. The question that we ask of persons who may  
13 have had sex with males as males is not do you self-identify  
14 as gay or bisexual. We ask, "Have you, as a male, since  
15 1977, even one time had sex with another male?"

16 I would contend that that is behavior. We also  
17 can look at it as lifestyle choice, identification, personal  
18 identity, whatever else. But the bottom line is we are not  
19 asking people to self-identify. We are asking whether they  
20 have engaged in a certain behavior.

21 I think that the current FDA policy has been very  
22 much misrepresented on this point. It is, indeed, behavior  
23 that we ask about. There is no question, in general, that  
24 the behavior, that history, is associated with known high  
25 prevalence and known high incidence of, at a minimum, HIV

1 and hepatitis B and, marginally, for HCV, as has been said  
2 twofold for HCV, but at least tenfold for hepatitis B and  
3 even higher than that for HIV.

4           So the real challenge, as I see it, is whether  
5 knowing that MSM, persons who have that behavior, in  
6 general, are at known high prevalence and high incidence, is  
7 there any way to identify a safe subset. That is the real  
8 question.

9           One attempt to do that has been to look at persons  
10 who deny that behavior within the last five years. I would  
11 suggest that issue is not merely whether we have captured  
12 window period. Of course, we capture window period in five  
13 years. The issue with moving to one year is whether we have  
14 really captured a safe cohort that are not engaged in  
15 ongoing risk behavior.

16           I think that is a fundamental difference between a  
17 one-year exclusion and a five-year exclusion. The point was  
18 brought up earlier, and I am sorry that Dr. Smith has left,  
19 but we have looked at, alternatively, the question of  
20 whether a person states that they are in a monogamous or  
21 mutually monogamous gay relationship as well as the question  
22 of whether they state that they practice only safe sex.

23           But, to our knowledge, based on data that have  
24 been made available to us by the CDC and actually are  
25 published in, I think, the paper from Valroe was put in the

1 packet, none of those criteria has yet been established to  
2 correlate with low risk.

3 In other words, in the face of stated monogamy, in  
4 the face of stated safe sex, persons who engage as males in  
5 sex with males still have indistinguishable risk from those  
6 who do not state monogamy and safe sex.

7 We can have a long discussion about why that is  
8 so, but the fundamental point here is that we still wish to  
9 try to identify safe donors before we put a needle in the  
10 arm. We want individuals at low prevalence and low  
11 incidence before we put a needle in the arm.

12 The question is have we gotten far enough in that  
13 direction with a floating five-year exclusion as opposed to  
14 a floating post-'77 exclusion, a lifetime exclusion. We all  
15 understand that it is somewhat nonspecific and undoubtedly  
16 captures uninfected individuals whose lifestyles may not put  
17 them at increased risk.

18 We understand this. It is nonspecific. It is  
19 overinclusive. But it works. It works because it captures  
20 the high-risk subset. The question is whether it is also  
21 safe to relax it in the way that has been described. That  
22 is really what the debate should be about.

23 So I hope I have clarified the distinction between  
24 a one-year deferral, a five-year deferral and a life-time  
25 deferral and what I think is the pivotal question.

1 DR. NELSON: Do you think monitoring the  
2 prevalence, if a change was made, and stating some sort of  
3 criteria is useful?

4 DR. EPSTEIN: I think it is a useful concept. We  
5 have never actually done it by implementing the change in  
6 the blood supply. In other words, when we contemplated this  
7 kind of change, we have usually moved towards studies,  
8 epidemiologic studies, that would predict for us what would  
9 happen if we made that change in the blood supply.

10 What is being suggested is that, if we think it is  
11 safe enough and, at some point, I assume there will be a  
12 vote, that the way to go about it is make the change but  
13 monitor it closely, hopefully on the notion that we could  
14 catch it quickly enough if it appeared that prevalence among  
15 donors was rising or incidence among donors was rising  
16 where, and I would hope not, but transmission rates were  
17 rising.

18 So that is a different model for how to go about  
19 change. I don't reject it up front but I think there has to  
20 be some notion that we would only apply that strategy for  
21 changes where we were fairly well convinced that it wouldn't  
22 cause decreased safety and we were just monitoring to make  
23 sure that we were right.

24 DR. SCHMIDT: Jay, your logical progression down  
25 the line omits something that we didn't discuss today at



1 all, and that was the concept of giving people the  
2 opportunity, after they had told us something, to say,  
3 "Oops; I lied," or, "I forgot," and, after they donated the  
4 blood, let us know to throw the blood away.

5 If we were doing that, then to say we are going to  
6 believe them now or that it was logical without that, it  
7 doesn't fit at all.

8 DR. HOLLINGER: Paul, I would like to go back to  
9 something you said earlier. While I might favor a one-year  
10 deferral, I think the reason for going to a five-year or  
11 something might be because of the question you just entered  
12 into, that it is maybe sometimes to know, or difficult to  
13 remember, what that last time was, so to speak and,  
14 therefore, that gives you an element of safety.

15 The other issue is that it is my intuition, if you  
16 will, that people who have not engaged in sex with another  
17 male for five years are probably not somebody who maybe  
18 engaged in sex previously, extensively. I may be wrong on  
19 that, but I think--that is just my feeling without any data,  
20 but we haven't had any data today, anyway.

21 Are there any other burning issues here before we  
22 bring this to a vote? Dr. Macik?

23 DR. MACIK: There are a couple of things that keep  
24 going through--I am really trying to decide where I stand on  
25 this. On the one hand, I am always opposed to a limitation

1 that brands all people for a possible risk in a subset of  
2 that group, which is basically what you are doing if you say  
3 everybody is excluded back to '77.

4           What ways do we have of finding, as Dr. Epstein  
5 said, the safe subgroup within the group. There are  
6 possibilities. Do these people get tested and come back?  
7 It was brought up earlier, what is the likelihood they are  
8 actually going to come back?

9           Well, if they want to be a blood donor, if that is  
10 really an issue for them, they will come back. If they were  
11 showing up just because it was part of the office procedure,  
12 then they won't come back. But it gives an option to a  
13 subset of people who maybe have not had sex in five years,  
14 maybe had a single encounter, who really want to donate, to  
15 give them an entry into it without branding them because of  
16 a single incidence or lifestyle that they have left behind  
17 or something.

18           We, ultimately, have to rely on our science test  
19 over our behavior test anyway because there are going to be  
20 so many liars, both intentional and unintentional people  
21 saying, "Well, I didn't do that," "I only did it once; that  
22 is not really going to matter. I am just going to answer  
23 'no' to this question."

24           That is true whether we are dealing with men who  
25 have sex with men or whether we are dealing with

1 heterosexual groups that are at high risk or an I.V. drug  
2 user who is at high risk. Some assumption by this  
3 questionnaire is that people always tell the truth.

4 We, of course, know that that is not necessarily  
5 true. So I think some of the issues--I am leaning towards  
6 lifting the current restriction but, perhaps, looking a  
7 little bit more until we maybe get some more data. Is the  
8 prevalence really less in people who had sex one time or  
9 haven't had sex with a man in five years, if we can get that  
10 information in some way.

11 What better way to get that information if we  
12 offer them the ability to test and, if they test negative,  
13 to donate. If they don't, they we actually have some  
14 information, some data, to go into our prevalence file. So  
15 I think there are a lot of things that we need to address  
16 here.

17 I just hate to exclude an entire group without  
18 giving some options for how to get them back.

19 DR. HOLLINGER: Clearly, if know if we really want  
20 to get subsets, we could really get a clear subset. Young  
21 males who have sex with a male who is black who has had an  
22 STD. You could really focus in on it, but I think you have  
23 to paint with a broader brush than that, unfortunately,  
24 because, while that might grab the majority, there is still  
25 a fairly large number outside that who you might not pick

1 up.

2 I think those are important with any question you  
3 have.

4 DR. MACIK: But going broadly by saying have you  
5 not had sex in five years--that is the broad subset.

6 DR. HOLLINGER: If there are no other burning  
7 questions, Andy, could you have the question put up please,  
8 or would somebody please put the question.

9 For the record, I will read the question for the  
10 committee. "Do the available scientific data support the  
11 concept that men who have sex with other men, MSM, can be  
12 deferred from donating blood for a period of five years  
13 following MSM activity rather than being deferred for any  
14 MSM behavior since 1977?"

15 All of those who are in favor of that question,  
16 please raise your hand.

17 [Show of hands.]

18 All those opposed?

19 [Show of hands.]

20 Abstaining?

21 [No response.]

22 The consumer representative?

23 MS. KNOWLES: Yes.

24 DR. HOLLINGER: The industry representative?

25 DR. SIMON: Yes.

1 DR. SMALLWOOD: The results of voting on the  
2 question as read, there are six "yes" votes, seven "no"  
3 votes, no abstentions. Both the consumer and industry  
4 representatives agree with the "yes" vote.

5 Dr. Epstein?

6 DR. EPSTEIN: For the record, you had a straw poll  
7 and I wonder if we could record that voting because I think  
8 it was a pertinent question. You had asked how many of the  
9 committee favored some change in the donor question. You  
10 only asked those in favor to vote, of whom there were eight.  
11 By implication, had there been no abstentions, there would  
12 have been five votes against.

13 I think that is also useful information to the  
14 FDA, especially given the closely divided vote.

15 DR. SMALLWOOD: I'm sorry; I didn't record the  
16 straw vote because it wasn't the question that we had before  
17 us. Sorry.

18 DR. HOLLINGER: Are there any other comments from  
19 the committee? This has been a very difficult issue. Dr.  
20 Linden?

21 DR. LINDEN: I don't know if I can speak for the  
22 other people who voted no, but I felt that the data that we  
23 have been presented, particularly today, did not allow me to  
24 vote yes for this. But I very much endorse the concept of  
25 trying to change this, trying to identify subgroups, looking

1 at this as a reentry mechanism.

2 So, even with my no voting, I would encourage FDA  
3 to continue to pursue this issue, to look at possible  
4 options where this could be changed in a safe fashion.

5 DR. HOLLINGER: What would we look for as  
6 additional data that would help you make that decision.  
7 What kind of data would you want?

8 DR. LINDEN: I think additional data on the  
9 prevalence in this or other subpopulations would be helpful.  
10 That was missing from what we had today. The assumption was  
11 that the prevalence and MSMs as a whole are the case for  
12 this population. I am not sure that that is the case. It  
13 would be useful to look at that particular issue as well as  
14 generally, perhaps, looking more thoroughly at the issue.

15 Dr. Dayton did a wonderful job with this, but I  
16 know it was put together very quickly and I am sure that,  
17 given more time, there are, perhaps, other things that could  
18 be looked at.

19 DR. HOLLINGER: Thank you, Jeanne.

20 Dr. Nelson?

21 DR. NELSON: There are two key pieces of  
22 information that are missing. One is the left side of that  
23 equation. If you change the questionnaire, what would  
24 happen and how many people would you get and how many would  
25 respond adequately and how many would the ratio remain the

1 same. That is completely unclear.

2           The other issue is what is the prevalence. What  
3 is the size of the population under five--we were presented  
4 some data on that--we were presented data on the size of the  
5 population which may or may not be correct, but we have no  
6 idea how many of those would be blood donors if there was a  
7 change, and we have not the vaguest idea as to whether the  
8 prevalence in that population is the same as the prevalence  
9 in all MSM.

10           My guess is that it is probably far lower if you  
11 exclude all the other risk behaviors that are currently  
12 excluded, drug use, et cetera. But some of those data could  
13 be obtained, I think, like the prevalence in that particular  
14 population would be very useful.

15           If it turns out it is 8 percent or 30 percent,  
16 then I would say that we probably shouldn't change the  
17 criteria. But if it turns out it is closer to the  
18 heterosexually active population, then it is not really  
19 changing the risk at all. I think that is key.

20           And maybe some surveys could define that. The  
21 only way we would know who would donate blood would be  
22 changing the criteria and see what happens, I think.

23           DR. HOLLINGER: Dr. Stuver?

24           DR. STUVER: It would seem to me that a mechanism  
25 for trying to evaluate how some of these changes in

1 questions would have an effect would be within the context  
2 of this task force. I would think there would need to be  
3 some specific evaluation of, well, if you change the  
4 question, this is what is going to happen. These people  
5 will respond differently if is this way or that way. These  
6 are the kinds of people that respond in the affirmative or  
7 not.

8           It seems logical that would be a place to try to  
9 get at some of this exact information, for not just this  
10 risk factor but for some of the other permanent deferral  
11 risk factors.

12           DR. HOLLINGER: Thank you, Sherri.

13           Anybody else have a comment? I appreciate the  
14 committee's staying. It was a long day today. Tomorrow, we  
15 will start at 8 o'clock and the plans are to go until 4:00.

16           Thank you very much.


17           [Whereupon, at 6:10 p.m., the proceedings were  
18 recessed, to be resumed on Friday, September 15, 2000 at  
19 8 o'clock a.m.]

20



**CERTIFICATE**

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Alice Toigo". The signature is written in dark ink and is positioned above a solid horizontal line.

**ALICE TOIGO**