

1 absolute consensus that, prior to initiating a Phase I
2 trial, all of it should be on the table, and I still think
3 there are many aspects of that will be addressed in some of
4 the other questions put forward.

5 If one says, well, should we not accept sequences
6 that are anomalous or unexpected, one could say that, but of
7 course that discussion will evolve to what level of anomaly
8 or unexpectedness is acceptable. This can go on and on, so
9 that is why I voted nay, to reflect that there was this--
10 more complexity of opinion expressed.

11 CHAIRMAN SALOMON: Again, it was gray, I just
12 wanted to make sure everyone understood why you would vote
13 nay. One thing I did not say, and I apologize, is that I do
14 always encourage the audience to participate. This is a
15 public meeting. There is a microphone there, and if you
16 choose to come to the microphone, which I see a gentleman
17 doing, then just identify yourself for the transcription.

18 I would like to make the same point to our invited
19 speakers, and they should specifically use the microphone
20 there on the left by the podium. Again, I would encourage
21 them to participate, and the only thing is I'm going to try
22 to stay on time.

23 DR. BYRD: I'm Paris Byrd, from Maxogen in Redwood
24 City, California, and I want to address the issue of
25 sequencing AAV vectors at the production lot level.

1 To actually do that requires at least one entire
2 production lot. The amount of DNA that is in one of these
3 production lots for AAV would then go for sequencing and you
4 would not have enough DNA to actually do an entire sequence
5 by the standard sequencing methods that are now in use.

6 The question is would you accept then using it in
7 its plasmid form, which is one step removed from the actual
8 production lot? The size is not really captured for
9 adenovirus, as it would be for AAV, in terms of what is
10 feasible.

11 CHAIRMAN SALOMON: That is a really good point. I
12 think we should have some discussion of what we are exactly
13 talking about sequencing there, but I think the general
14 intent right now, with this first, question, was just that
15 you had the complete plasmid that you started your
16 experimentation with sequenced, and in a few moments we will
17 get back to talking about should you also have sequenced the
18 master producer line and/or clinical lot. That is a good
19 point. Thank you for bringing that up.

20 FLOOR QUESTION: In order to answer this question,
21 then, what are we actually sequencing? Will it be the
22 production lot? Will it be intermediates? I'm not quite
23 sure that we have an answer that we would want to live with
24 now, based on this, and one thing that's not captured is are
25 we talking about GLP-validated sequencing or research-grade

1 sequencing? They are two very different things, and for
2 AAV, we're talking not about \$20,000, we're talking in the
3 range of \$200,000 to \$250,000 to actually dedicate an entire
4 or multiple production lots to actually getting a sequence
5 on the final production lot under GLP.

6 CHAIRMAN SALOMON: Excellent. Excellent point.
7 Yes?

8 DR. CHAMBERLAIN: From my own personal point of
9 view, I mean, I think these are good issues and they apply
10 not just to AAV, but to other viruses. What I was voting
11 on, at least in my own opinion, was that the starting
12 material, which in most cases is going to be a plasmid,
13 should be sequenced. I think the question of whether one
14 would also want to sequence a production lot or viral
15 growth, we should come back to on point three.

16 CHAIRMAN SALOMON: I agree. That is what I
17 assumed we were voting on, and Carolyn, that follows the
18 discussion we had yesterday, that that is what we would be
19 voting on, so this would be the plasmid initially. The idea
20 is that we don't know there is like a gene stuck in the
21 middle that some fellow put in trying to help us along, and
22 got past.

23 DR. SAUSVILLE: I think that is a key point. I
24 think the spirit of this should be that latitude needs to be
25 potentially available to the FDA, to make the situation

1 reasonable to each biological situation and to be driven by
2 the science of each vector. The key point is exactly that
3 there is a definable starting point as to where you then
4 evolve the sequence for clinical use.

5 CHAIRMAN SALOMON: There actually was a question
6 raised there that, I think, might be worth a few minutes of
7 discussion, and that is what are we talking about when we're
8 talking about sequencing. Before we go on to question two,
9 there's a question I had a little bit later in my notes for
10 discussion, but I think it actually is not inappropriate to
11 kick it around for a minute here. I think, as we just
12 heard, there are different kinds of sequencing that we could
13 do, and you could sequence once or sequence 100 clones.

14 What exactly does the committee think about that?
15 What should we define in general terms to the FDA,
16 sequencing?

17 DR. MULLIGAN: I would say simply just getting the
18 right sequence, that at this point, as opposed to one of the
19 other questions, it's just that what you bring in to begin
20 whatever process is what you thought it was, and so I think
21 people know how to sequence, and I think the criteria is
22 getting the right sequence, not making any errors.

23 CHAIRMAN SALOMON: That would be saying that this
24 initial 40 KB sequencing is academic-quality sequencing,
25 where we trust each other. It is reasonable. There isn't a

1 whole open reading frame that got stuck there and we know if
2 there are some deletions in the starting material.

3 DR. MULLIGAN: I guess one more point, and it's
4 that I think we keep getting off on what if there are other
5 sequences, and I think we should look at it very
6 philosophically, just knowing what we have in our hands,
7 okay, and we'll address the issues at another point, in
8 terms of if it looks like there are other things we didn't
9 think.

10 So, it is really having something where you know
11 exactly what it is, and then there is a separate
12 interpretation of whether that is okay.

13 CHAIRMAN SALOMON: Very good. Agreed. Dr.
14 Anderson?

15 DR. ANDERSON: Let me just ask our FDA colleagues,
16 is the FDA position that an academic sequencing, assuming
17 that all the data is there and so on, is adequate, or are
18 you going to require GLP-level sequencing?

19 DR. NOGUCHI: The really correct answer is at all
20 levels, regardless of who does it. We want to make sure the
21 information you supply us is accurate and is controlled and
22 is reproducible. That can be done in academic center or it
23 cannot; it can be done in the pharmaceutical industry or it
24 cannot. It depends on exactly what you submit.

25 CHAIRMAN SALOMON: I have a series of questions

1 that follow, sort of what does it practically mean to
2 sequence, I left for later, but I think we will just follow
3 them now.

4 The second question then is, I think everyone
5 would agree, but if you disagree, that this could be manual
6 or automated sequencing, core lab or contract lab, and if
7 there's any discussion there--it doesn't seem like it. The
8 next question is that you should submit the sequence, and
9 the question now is what does it mean to submit sequence?
10 How should it be submitted?

11 One of the things that I feel fairly strongly is
12 that sequence should not be submitted as just a run of A's,
13 G's and C's, but rather should be submitted electronically
14 and submitted with comparison to relevant published data
15 banks, and that should be specifically stated. Right now,
16 there is no requirement or even request for electronic
17 submission of sequences.

18 It would be, I think, valuable if the rest of the
19 committee agrees to make a recommendation to the FDA that at
20 least there be electronic submission of the sequence, not of
21 the IND. It is there any discussion of that? This is just
22 on how to submit sequence to the FDA.

23 DR. BREAKFIELD: We were discussing what if you
24 had some contaminated sequence and it was being kind of
25 generated in multiple vectors, kind of the example we saw

1 with the salmon sperm. It would be nice of these sequences
2 that would then be available to other investigators that are
3 sequencing, you know, that this database would be accessible
4 to some extent.

5 CHAIRMAN SALOMON: Well, that, I think, we should
6 defer to our FDA colleagues, and a lot of that I don't think
7 could be publicly available, because if a company submits a
8 sequence on their vector, I don't think there is any law
9 that would allow that to be publicly available. Again, I
10 would defer. I don't know if a lot of academic
11 investigators developing new things, intending to sell them
12 to pharmaceutical companies, are going to share their
13 sequences publicly, either. Any comment from the FDA? That
14 is pretty clear, though. Right?

15 DR. NOGUCHI: That really gets back to the
16 accuracy of the data. We would expect that people know they
17 need to control their own systems internally, and if you
18 have a problem, that you would address that. You can always
19 ask us for help or advice on that, but if you have
20 contamination of your whole system, you need to clean it up.
21 That is independent of FDA evaluation.

22 CHAIRMAN SALOMON: The next question would be does
23 everyone agree you should sequence both strands of a double-
24 stranded DNA vector or plasmid?

25 DR. CHAMBERLAIN: I think that gets back a little

1 bit to what we mean by an accurate sequence. You said what
2 we submit should be accurate and reproducible, but I think a
3 typical academic sequencing lab that is just going to do
4 one-pass, one-strand sequencing is going to have an error
5 rate of about one percent. If you do both strands, you're
6 going to drop that error rate, but even the professional
7 labs doing the genome sequencing that are doing 6X, 10X
8 redundancy are getting error rates of one-in-10,000. I
9 don't know how pedantical we want to get here about error
10 rates, but I think the difference between one strand and two
11 strands is mostly a matter of what your error rate is
12 acceptable at.

13 CHAIRMAN SALOMON: That's actually what it
14 intended to do, was to reduce your error rate. That was
15 question--that was my last question, is what would be the
16 error rate you would tolerate? As we all know, there's an
17 error rate of about one-in-1,000 bases with automated
18 sequencing.

19 DR. NOGUCHI: Dan, I think what we're asking here
20 are really advice on the major points. The technical
21 details is one reason why the specific guidance FDA will
22 have will need further discussion and interaction with
23 reality as to what can be done. We really unfortunately
24 need to move and get some very fundamental questions on the
25 table, which we have already alluded to in our previous

1 discussions.

2 CHAIRMAN SALOMON: Good enough. If everybody is
3 comfortable, I realize it takes time, but the issue here is
4 we keep talking about sequencing without any discussion yet
5 about what sequencing means. I think I was detecting some
6 people were uncomfortable with it. Let's move on to
7 question two. Vectors greater than 40 KB in size, it's
8 proposed that coding sequence of the gene of interest,
9 transcriptional control regions, and regions including and
10 flanking any sequences that are altered during production of
11 the vector should be determined prior to initiation of a
12 Phase I clinical trial. This is very early.

13 For this group of vectors, which now are the
14 herpesvirus vectors, the poxvirus, EBV and--should the full
15 sequence be determined prior to initiation of a Phase II
16 clinical trial or later? That is the next thing we need to
17 discuss. I guess the specific proposals are the extent of
18 flanking sequence determination that would be adequate.

19 DR. MULLIGAN: Just to get the ball rolling, I
20 think how you actually do the sequencing would be a tough
21 issue, but I think I would very strongly push for having a
22 complete sequence of the herpes vector, EBV vector, and I
23 think the issue of do you sequence every new vector is
24 perhaps something I would not have great concerns about.

25 I think that every vector or the parent vector,

1 ought to be sequenced, and some are, some are not, and I
2 think this is the genome generation, and I think having
3 information, when you can get that information, is something
4 that is very important. I'm interested to hear what Xandra
5 thinks, but I think with these big DNA viruses, I think
6 there are still questions about whether isolates may have
7 certain sequences.

8 They could have unusual pathogenic
9 characteristics, and sometimes the biology of the test
10 systems may not identify those characteristics. If we were
11 to see patches of human sequence in a herpes isolate, one
12 particular researcher's herpes isolate, that could be very,
13 very important. It could point us to other pre-clinical
14 tests to see whether there was something important or not
15 important about that sequence.

16 I just think that this is a generation where, when
17 you can get the information, you must get that information.
18 I think the cost-benefit is a benefit. There is a real
19 benefit to having a sequence, but whether, again--to
20 reiterate, I don't think that you necessarily want to make
21 everyone, every time they put a gene in a herpes vector, do
22 a sequence. I think there ought to be strains that are used
23 by people, and when they use it, if they use to choose to
24 use a big virus, it's not that they're penalized for using a
25 big virus, but if they're using it, it's more complex and

1 provides more risk.

2 CHAIRMAN SALOMON: What we have to focus on now is
3 do we accept the basic principle that you need sequence,
4 which means then it is really why do we not hold that
5 principle to a larger vector. That is basically the
6 question.

7 DR. MULLIGAN: Yes, one more point that might be
8 helpful to have the FDA talk upon, is the proposal here I
9 found is interesting, because it says when you go to a Phase
10 II, then you ought to have it, and I think it would be
11 helpful to have their perspective. My feeling is it is just
12 information. You must have that information before you
13 initiate a Phase I, and I'm curious as to the reasoning
14 about why in the Phase II do you all of a sudden have to
15 have that information.

16 CHAIRMAN SALOMON: Good point. Okay. Dr.
17 Sausville?

18 DR. SAUSVILLE: So the question allied to some of
19 those considerations is--one thing about the size cutoff is
20 clearly the really new creations would likely be most
21 frequently, particularly plasmid-derived or things of that
22 nature. I think the question I put out on the table for
23 people who actually work with these viruses, is does the
24 community of herpesvirus workers or poxvirus workers have a
25 sort of repertoire of common strains that could potentially

1 addressed this, that as long as you start from someplace
2 that you know where everybody came from and there is
3 sequence or information, and then what you are going to be
4 doing or what the individual investigator is going to be
5 doing is going to be editing that in relation to their local
6 and regional context.

7 That might be, to me, a more defensible compromise
8 that wouldn't call for making this simple distinction on the
9 basis of size.

10 CHAIRMAN SALOMON: Dr. Whitley has gotten up,
11 because I was going to call on him in a second anyway.

12 DR. WHITLEY: I will let Dr. Breakefield join this
13 discussion, as well. I think it goes back to what Dr.
14 Anderson said earlier, and that is there are potential risks
15 versus benefits in terms of sequencing the entire genomes of
16 the "herpesvirus family". I don't think it's wise to say
17 herpes simplex is the same as cytomegalovirus or Epstein
18 Barr virus is the same as HHVA. I can well-understand
19 Rich's argument about KHSV and EBV. I think the argument is
20 a little bit less cogent when we talk about herpes simplex
21 and varicella.

22 Cytomegalovirus is somewhere in between, but it is
23 certainly going to be a risk-benefit analysis in terms of
24 whether you sequence these viruses. It is a tremendous
25 amount of work even in the era in which we live, I wouldn't

1 deny that, and it will be very costly, more costly than the
2 smaller genomes that we talk about sequencing.

3 To address the other question that was put on the
4 table, are there prototype herpes simplex virus strains that
5 have been used in the engineering of these viruses? For the
6 two that are currently in clinical trials now, one that Bob
7 Martuz has developed that is known as G207, and the other,
8 which is known as NV1020, which is a variant of a candidate
9 vaccine strain that was studied 10 years ago, they came from
10 different parental lineages.

11 Whether other herpes simplex viruses arise from
12 wild-type viruses remains to be seen, but for EBV, the folks
13 who are working with that, there at least four strains of
14 EBV that are being used for vectors. So, it is going to be
15 very complex and has to be very carefully thought out by
16 this committee.

17 CHAIRMAN SALOMON: Rich, before you sit down, for
18 those of you don't know, Dr. Whitley's doing herpesvirus
19 vector and clinical research with them. First of all, when
20 you say it is difficult to do, how long would it take you to
21 fully sequence a clone? How hard is it to do in real terms?

22 DR. WHITLEY: I don't know. What would you say,
23 Xandra, from what you have been sequencing at MGH?

24 DR. BREAKEYFIELD: We just sequenced the
25 amplicons, because we tend to use that system. I have to

1 agree with you, taking on sequencing of the herpes genome,
2 there are so many repeat elements in it and it's not just
3 the size. I do think that sequencing is getting better and
4 better, but right now, that would definitely be prohibitive
5 to an individual investigator trying to move forward, just
6 because of the difficulty right at this point in time.

7 If you are going to decide on some strain
8 backgrounds and then sequence what they've manipulated, at
9 least initially maybe, when sequencing methodologies
10 improve, you know, make that a requirement. But, right now,
11 it would definitely slow things down.

12 CHAIRMAN SALOMON: To both of you, one of the
13 things that I think Dr. Sausville was commenting on would be
14 let's say I want to start--I decided I'm excited. I'm going
15 to do a herpesvirus trial tomorrow. I call one of you up
16 and I get what? Do I get a plasmid that has already been
17 sequenced, even though I know everybody has messed around
18 with it for umpteen weeks or months or years? But are there
19 sort of parental strains? I think that was one of the
20 questions.

21 DR. WHITLEY: I can tell you what we're doing, and
22 I am going to speak for Bob Martuz, as well, because we sort
23 of have been doing this together, and Xandra can correct me
24 if I'm wrong, but for G207, which is his virus, the inserts
25 into ribonucleotide reductives have been sequenced, the

1 deletions have been sequenced, and about 1,000 base pairs on
2 either side of the deletion have been sequenced. That is a
3 standard virus that is being given out either by Bob or by
4 the sponsor, who is developing that particular virus for
5 therapeutic indications.

6 For the viruses that we have made, we have
7 sequenced the deletions. We have sequenced the inserts, so
8 we know basically where we stand with that, and we're giving
9 out the same parental stock. It is one parental stock that
10 has been aliquotted into 1,000 lots, and that's the virus
11 that goes out.

12 CHAIRMAN SALOMON: The point is that what I would
13 get from you as I started my trial is basically not a fully-
14 sequenced vector.

15 DR. MULLIGAN: That is correct.

16 DR. BREAKFIELD: They kind of come in two forms.
17 They have been cloned into the F-plasmids, also, and
18 typically we digest with like 10 different restriction
19 enzymes, because there is instability and there is a lot of
20 change that goes on in herpes vectors just as their passage.
21 I think if you don't do sequencing, you definitely have to
22 do a very extensive restriction analysis of the genome to
23 make sure you don't have major rearrangements.

24 DR. GORDON: I just want to say there is a little
25 bit of reverse logic expressed in the question, I believe,

1 here, too, in the sense of implying that you would want to
2 be more careful with a vector after it cleared its safety
3 trial than before it cleared its safety trial. Here you are
4 saying let's not worry about the full sequence until after
5 we know it is safe in a Phase I trial, and once we know
6 that, we should sequence the whole thing before doing a
7 Phase II trial.

8 I think there is a reverse logic there, which, if
9 corrected, could allow you to escape some of these problems.

10 DR. SIEGEL: Let me address that question, not to
11 at all suggest what the right answer to the question on the
12 table should be. The first thing to point out is that
13 virtually all clinical trials are safety trials, certainly
14 Phase II and Phase III trials are products in development,
15 which extend exposure to much broader populations than--you
16 know, if you do a Phase I trial in one dozen people, you
17 could events occurring at a 30 percent or 40 percent
18 frequency that you just don't happen to see.

19 So, they are all safety trials. Now, the question
20 was raised before about the logic of phasing in a
21 requirement, and I have to say a lot of different types of
22 requirements are phased in during clinical development. The
23 whole notion of good manufacturing practices and of process
24 validation is phased in. There was a question about--Abbey
25 had a question about getting rid of contaminating virus that

1 was talked about, autoclaves and acid treatment.

2 If you were making something for production, you
3 would probably have to test every corner of your autoclave
4 to ensure that it did, in fact, function as anticipated to
5 get rid of that contaminating virus, but we don't impose
6 that at any early phase of development for those sort of
7 pragmatic reasons French was mentioning. We might impose
8 some practical standard that you have an autoclave that is
9 known to reach the right temperatures and is appropriately
10 tested, but with a simpler form of validation, and then we
11 phase in requirements with size.

12 Many, especially in biotechnology, many, many,
13 many products that go into Phase I do not go into Phase II
14 or Phase III. Requirements that come a little bit later,
15 but as you get into Phase II or Phase III development, you
16 both get increased risks because of increased exposure, but
17 decreased costs overall, because you may be screening 10
18 molecules in a few patients in Phase I for that one you're
19 going to advance, or 10 viruses in this case. The actual
20 screening costs decrease tenfold.

21 There is a tradition and a logic here, which is
22 that more testing later on can provide a higher increment to
23 level of safety. There is, as presaged by French's earlier
24 comments, plenty of precedent for taking one level of safety
25 concerns in early studies and advancing them as you move on,

1 one level of controls to address safety concerns early and
2 advancing as you move forward.

3 CHAIRMAN SALOMON: The answer that Dr. Siegel is
4 giving you is it is not unreasonable to have less of a
5 safety profile from the point of view of not knowing the
6 whole sequence as you go into a Phase I, but as you go to
7 the Phase II or later. One of the issues we ought to deal
8 with in a second is when we think we should have more
9 sequence, and I will get to that in the next point.

10 DR. SIEGEL: And since you recharacterized what I
11 said, I do want to make clear that I was not making a
12 statement specifically about whether it's reasonable not to
13 have that in Phase I. I was simply making the statement
14 that, in general, it has been seen to be reasonable to
15 increase certain types of controls and requirements through
16 development. I have no expertise on which to base whether
17 or not sequencing of herpesvirus should be done before Phase
18 I and prefer not to comment in that regard.

19 CHAIRMAN SALOMON: Okay.

20 DR. MULLIGAN: All that being said, who in the FDA
21 did suggest that in Phase II there should be complete
22 sequencing? I would turn then the question in the opposite
23 direction. Why is there a reason at any point then to know
24 the sequence? I know I have my opinion, but I'm curious, if
25 it's necessary at some point, why does it become necessary

1 at any point?

2 DR. NOGUCHI: We are making practical decisions
3 and I think part of the answer is what French said, in an
4 ideal world, all sequence before you do an experiment, but
5 that is not technically feasible in the case of herpes. I
6 think that point is quite cogent that even within a passage,
7 you get multiple rearrangements and we have not even yet
8 gotten to the question of what do we do with sequence that
9 we do have in hand.

10 Part of this is a balance between what we can, as
11 a group, actually do in a timely enough manner to make
12 product production move forward, as well as the
13 consideration, well, if you took the information being
14 needed absolutely, then herpes and some of the poxviruses
15 wouldn't move until the technology to sequence those
16 accurately come into being. We are going through a series
17 of both risk-benefit evaluations, as well as practicality,
18 trying to move things forward in as safe a manner as
19 possible.

20 We are transferring some of this discussion to the
21 public domain, so that you can actually understand why we
22 are on some occasions being somewhat arbitrary. Everyone
23 has picked up, in a way, you're sort of reversing your
24 stance on the small versus the large, but the fact of the
25 matter is the large, you cannot get as much accurate

1 information at this moment in time, and it may take awhile
2 to get that.

3 The corresponding question is, as a society, which
4 is what this is going toward, should we then prohibit
5 anything moving forward that is larger than 40 KB until we
6 have the methodology to get the basic information, such as
7 sequencing? That does not address it all, but I think that
8 is our basis of thinking.

9 CHAIRMAN SALOMON: I think that is well-said,
10 Phil.

11 DR. SAUSVILLE: I just wanted to pick up and
12 potentially ask Dr. Breakefield to amplify on this line of,
13 shall we say herpes biology, because to follow on what Phil
14 was alluding to, one basis for what was called reverse logic
15 is exactly that, the sequence that you get with respect to
16 herpes, with respect to present technology, would not
17 ultimately be as meaningful as the sequence with the
18 smaller.

19 That might actually be a reason, since I
20 personally don't want to see herpes use prohibited, I think
21 we just need to make this balance, again basing it on the
22 biology of the system.

23 DR. WHITLEY: I think what Dr. Breakefield said
24 before is obvious, and that is serial passage of these
25 viruses leads to genetic change. We're going to have to be

1 very careful about that, and certainly the critical trials
2 that we do, that has been built in as one of the standards.
3 The one point I want to make is that I don't think sequence
4 data in and of itself should be taken in the absence of
5 relevant biologic models, and we're going to talk about that
6 this afternoon.

7 It is one thing to talk about sequence, but do not
8 isolate that discussion from the relevant biology of this
9 virus. We know how it behaves in certain systems and
10 hopefully we can spend some time with those issues later
11 today.

12 DR. BREAKFIELD: Really, in my heart of hearts, I
13 think we should sequence everything that goes even into
14 Phase I before it goes into patients. I think the
15 government should provide us the means to do that. This is
16 an important thing to do, and it is just the logistics of it
17 right now that if you have people kind of developing these
18 vectors in academic institutions, they don't really have the
19 resources to carry that out.

20 I find the situation of arguing for something just
21 based on the practicality of it--that I think is actually a
22 good idea, and I feel uncomfortable about it, and I
23 certainly would abstain from this vote just because of that,
24 but I think it is a very hard call.

25 CHAIRMAN SALOMON: I think that is really well-

1 put, and that, I think, is the whole reason we're here this
2 morning, and this has got to be one of the major questions I
3 think we will deal with in this morning's session. What are
4 we really telling the FDA? I think we're really going to
5 have to face this as a hard thing. At one point, we accept
6 the overall concept that we do not want to stop the
7 development of new programs and new technologies.

8 At the same time, we have a responsibility to the
9 public to do it safely. It is okay if the message to the
10 FDA is that you feel as experts that it should be sequenced,
11 and that then the recommendation to the NIH and the FDA
12 would be to provide alternative ways to do it. I think we
13 have to be ready to make that sort of recommendation.

14 MS. MEYERS: A few years ago, in the early
15 development of gene therapy, we quickly saw that it was
16 going too far into the commercial sector. By that, I mean
17 the science was so basic at that point, it was much too
18 early to go into to the commercial sector, but it was going
19 there anyway, a lot of it because of the cost, and then the
20 government set up some vector manufacturing facilities for
21 academic scientists. I think there are three of them. Do
22 they still exist? Okay. Which was not enough, but it was
23 something, at least.

24 The government really should do something to set
25 up a facility that could do this type of sequencing and

1 cater to the academic scientist, because, if not, then the
2 field will continuously be in the commercial sector before
3 it is ready.

4 CHAIRMAN SALOMON: I would just point out for the
5 record that the national gene vector laboratories, which do
6 produce vectors for clinical trials and is, of course,
7 supported by the NIH, does not do sequencing of vectors.
8 That is all done by the sponsors or the investigators.

9 DR. MULLIGAN: I think one piece of all this we're
10 missing is expertise from the real high-tech sequencing
11 people. I think it would have been helpful to have some of
12 those people, because I think the cost of sending it to a
13 contract laboratory is very different than sending it to the
14 Whitehead Institute or someone who really knows how to do
15 large-scale sequencing. I think this is high-tech gene
16 therapy.

17 We ought to use the highest tech that we have in
18 the country and I guarantee you we will find people who will
19 be able to do this, and I think we would be shocked by the
20 cost. That is, there are companies, there is a whole range
21 of people who really look at sequencing in a very different
22 fashion than any of us, and I don't think they would look at
23 a herpes sequencing project as anything more than trivial.

24 MS. MEYERS: I think that the public is generally
25 very uncomfortable with the idea of poxvirus as things being

1 played with in laboratories. If you do not assure the
2 public that it is being done in the safest manner possible,
3 then you're making a big mistake, because one accident will
4 be just a dramatic effect on this whole field. We have
5 already seen, for example, what one bad example has done to
6 this field.

7 I cannot vote for that the way that is written,
8 because I feel strongly that the first person who takes the
9 first dose should be assured that it is as safe as possible.

10 CHAIRMAN SALOMON: We get back again to the idea
11 is the sense of the committee at this point that there
12 should be full sequencing of any vector and that this
13 arbitrator division by vector size is just arbitrary?

14 DR. SAUSVILLE: I remain troubled by the issue
15 that, with current technology, and maybe again there is
16 better people to do this, the meaning of the sequence that
17 is going to be generated for the larger viruses, because
18 they have to be taken apart in order to get the sequence.
19 There is going to be sort of an uncertainty, sort of, factor
20 always operating, and that is in contrast to the smaller
21 pieces.

22 Again, I just ask the question, and again
23 following on Dr. Anderson's concern about incremental gain
24 for required activities, by just saying we require a certain
25 level of sequence or a sequence above a certain size, are we

1 ignoring the practicalities of what that information means
2 at this point?

3 CHAIRMAN SALOMON: I think, I mean, that is a
4 question open to discussion, but the point is, I think what
5 Abbey is trying to make us cognizant of is what we're saying
6 to the public then is we're going to go forward with a Phase
7 I clinical trial with a vector that has been around for
8 years, been passed through several different laboratories
9 and has never been fully sequenced. I'm not going there.

10 DR. MILLER: Can you just update me on where we
11 are with these viruses and the INDs that are already filed?
12 Are they ongoing? How much of the water is over the dam
13 already? If we have clinical experience already in the INDs
14 that have been filed and have been started, on the
15 herpesvirus and the poxvirus, it's not like the first
16 patient getting the poxvirus is going to be prevented from
17 getting it. The first patient, I assume, has probably
18 already gotten it, but I may be wrong.

19 DR. WILSON: That was in the graph that was
20 presented this morning, but may have gone too quickly.
21 Herpesvirus, we have two clinical trials ongoing, and
22 poxvirus, we have about 12, although there are, I don't know
23 exactly how many, but poxviruses are also being used in
24 Office of Vaccine as vaccines, as well. This number only
25 reflects those that are being used for the purpose of gene

1 transfer type of clinical protocol.

2 DR. MILLER: Not being a gene therapist, there is
3 more heterogeneity in the smaller, in your potential for the
4 smaller, less than 40 KB, than--is that not true?

5 DR. WILSON: No, I didn't think that it is
6 necessarily true.

7 DR. ANDERSON: Just out of curiosity, what is the
8 status, what are the requirements in the DNA vaccine field?
9 I mean, there are lots and lots of trials with vaccines,
10 with pox and the rest of it. If those are not being
11 sequenced, then Abbey, what we're talking about is there are
12 already 10,000 patients who have gotten it, and to say, "Oh,
13 we have got to sequence it before our first patient."

14 DR. WILSON: I don't know exactly what our Office
15 of Vaccine sequencing policy is, but I can be certain that
16 they aren't requesting full sequence analysis of a poxvirus
17 being used for a vaccine.

18 CHAIRMAN SALOMON: I am a little bit stuck here,
19 because I want to try and give the FDA the answers to the
20 questions. That is about the only thing I'm supposed to get
21 done. At this point, I'm a little stuck because I'm not
22 sure what I should push as the next question. If we're kind
23 of agreeing--Carolyn, if you want to comment, I mean, at
24 this point, I'm getting the sense from the committee, and
25 don't worry, I don't think we're calling this to a vote,

1 right, we agreed on that. This is just discussion, so
2 everyone should relax. We're not going to try and come to a
3 vote on it, but what I'm hearing from the group is no one
4 really feels comfortable in this idea of a principle applied
5 to smaller vectors versus larger vectors, simply on the
6 size.

7 Yet, Dr. Sausville several times made the point of
8 sequence is sequence, what is the significance of sequence,
9 and I think that's a fair point that I can't respond, but I
10 think Dr. Mulligan's response to you might be, well, yes, I
11 don't know what every sequence means, but at least it's a
12 damn good starting point for genetic manipulations of
13 materials intended to going into human patients. I mean, if
14 there's a big open reading frame for a transcribed protein,
15 then I think we ought to know about it.

16 Carolyn, do you have a comment that you want to--

17 DR. GORDON: I just wanted to comment that I
18 sense, as a quasi-outsider here, that people are looking for
19 information that will mollify them and make them feel more
20 comfortable with using these vectors, and for psychological
21 reasons, perhaps as much as scientific, they have latched
22 upon sequencing as the type of information that they want.
23 I am not all certain that sequence information is, perforce,
24 enlightening, and I just wanted to say that I'm not so sure
25 that it always is enlightening.

1 CHAIRMAN SALOMON: I think Dr. Whitley has made
2 the point to us, and I totally agree with that, that no one
3 is trying to say that sequencing is the only demonstration
4 of safety or responsibility before going on. He is saying
5 you have to do the animal models and we all agree with that.
6 What we are dealing with, though, is sequence information, a
7 piece of information that should be required, and that is
8 the key.

9 DR. CHAMPLIN: You know, the issue that Dr.
10 Anderson had raised is what is the relative balance in the
11 small vectors that was a small cost for potentially a large
12 benefit. Here, it is a big cost for potentially a small
13 benefit, in terms of really understanding what is going on.
14 I'm not sure, I think it may be more of an impediment to
15 progress than a facilitator of progress, to make a rigorous
16 sequencing requirement for the larger vectors when it is
17 both impractical and hard to interpret.

18 CHAIRMAN SALOMON: Well, I guess that is what is
19 sticking me right now. I mean, what is it you guys are
20 saying? I have not got it straight. Is it a large cost for
21 a small benefit or is knowing sequence important?

22 DR. CHAMBERLAIN: Well, I agree with the previous
23 speaker, that I personally would not be in favor of
24 requiring sequencing determination of an entire large
25 vector, because I think the information you gain is not

1 worth it. In the case of the smaller vectors--well, let me
2 back up a minute. I think what we really need to get it, as
3 Richard said earlier, is that what you're producing is what
4 you think it is, and the amount of sequencing that you need
5 to do to confirm that with the small vector, it doesn't take
6 that much more to complete the vector and that can be done
7 rather inexpensively.

8 I don't think that argument really holds up for
9 vectors that are approaching 200 KB, even though our large
10 genome sequencing centers are routinely sequencing tens of
11 hundreds of, you know, bacs (ph.) a day. There are
12 difficulties in giving them new clones. They generally
13 agree to take on larger-scale sequencing projects only as a
14 subcontract, where you pay them, or if they have a
15 collaborative relationship where they are going to get co-
16 authorships out of that.

17 I don't think they are going to be that receptive
18 to suddenly having these large vectors dumped on them,
19 without them getting anything in return for it, and the
20 important issue, I think, is to make sure that when you have
21 constructed a new herpes vector or whatever, that it is
22 essentially what you think it is, and I believe that can be
23 done by sequencing the relevant regions you have modified
24 and then following that up with extensive restriction
25 mapping.

1 Until there's some mechanism to make it affordable
2 to do this large-scale sequencing, either through
3 improvements in technology or a huge infusion of new funds
4 from NIH, that we should stop short of making that a
5 requirement.

6 MS. MEYERS: It seems to me that this whole
7 discussion, the determination of whether it should or should
8 not be sequenced, is based on finance, and that doesn't make
9 any sense. It's just a matter of how much is it going to
10 cost, and I don't know whether I would be willing to risk
11 one of my kids going through a Phase I trial in gene therapy
12 when the investigator has to admit that he does not really
13 know whether the construct of the thing is what he thinks it
14 is, and it should not be a financial cutoff. It should be a
15 scientific cutoff.

16 DR. SAUSVILLE: I guess I would respectfully
17 disagree with the contention that it's strictly financial,
18 because if it were strictly financial, I would be in your
19 camp. I remained troubled with the biology--not troubled--I
20 am struck by the fact that the biology of these viruses is
21 intrinsically different than the smaller-sized ones, and
22 this gets back to the question of the meaning of the
23 sequence, because if in order to determine the sequence
24 you're breaking apart and there's no certainty that when you
25 put it all back together again, that that is what is going

1 to go into your theoretical patient, what is the meaning of
2 it?

3 I think that is where somebody remarked earlier
4 that the behavior of the construct in a relevant biological
5 model and an additional biology needs to be factored into
6 the decision.

7 CHAIRMAN SALOMON: Just in trying to get just a
8 little bit of agreement on this, would you agree that even
9 though you should not--perhaps it is not reasonable to
10 require sequencing of everybody's vector before the clinical
11 trial.

12 I know that there's disagreement on that, but is
13 it reasonable that the parent vector has been completely
14 sequenced? I think what I was trying to get at was the
15 parent vectors, in many of these instances, have not been
16 sequenced anytime in the recent past, which means then that
17 you really are not knowing what you're putting in.

18 DR. SAUSVILLE: But this gets to what I had raised
19 a number of minutes ago, that is there consensus among
20 workers in the field as to what those parent vectors are? I
21 guess we have heard that for herpes, there are two that
22 people use, but for Epstein Barr virus, there are four, and
23 so I think--

24 CHAIRMAN SALOMON: None of them have been
25 sequenced. That's the problem I'm having. If you would

1 tell me that they were sequenced, and then it was 1.8 years
2 since they were sequenced and it passed through three
3 different labs, I could deal with that. You're saying, if
4 they're never sequenced, then I really have no idea.

5 DR. SAUSVILLE: That is a relevant question for
6 our FDA colleagues. I mean, the FDA has a historical, long-
7 standing interest in defining standards for biological
8 reagents. Are we talking about really then, as an outcome
9 of this discussion, asking the FDA to call for, perhaps
10 themselves to establish, these standard strains and then
11 they would be sequenced and that's what everybody would
12 build their biology on?

13 DR. BREAKFIELD: I think that sounds like a great
14 idea. I actually think, practically speaking, if you said
15 there are two strains and we want you to get it like from
16 the ATCC and put your thing in this, we would all do it.
17 That would make life very much easier and it would be a very
18 practical solution to it.

19 DR. NOGUCHI: What I would like to do, Dan, is to
20 try to wrap up this question, and I hope we can get a little
21 discussion on question five. Again, we're running into
22 practicalities. FDA is committed to a very large program
23 for standardization of adenoviral vectors. That is not
24 being done at all simply by FDA. We are in the middle of
25 creating an MOU. We're talking about at least four

1 reference laboratories who will be doing the
2 characterization, which now will include sequencing.

3 The production of the vector itself may come in
4 through competitive bidding. We're talking about a multi-
5 component, both academic, private industry and federal
6 government working collaboratively to do this. We cannot
7 put this on any one entity, none of this, because there
8 simply isn't enough wherewithal at any one entity to
9 complete all the tests, all the production, all the quality
10 control that needs to be done.

11 I think if the outcome is a recommendation that
12 consideration be made for really addressing the issue of
13 these larger vectors, we're comfortable with that, but we
14 are really not comfortable with being given mandates for
15 which we really have no current funding or would ever
16 appreciate getting that. We're committed to many things,
17 but we also need a whole lot of help in that.

18 DR. GORDON: I just want to say quickly how very
19 good it sounds to try to introduce the principle of
20 sequencing the parent vectors. It has been asserted here
21 that these vectors are biologically distinct from the
22 smaller vectors and therefore it may be more relevant to
23 sequence them that way. That may not always be true for the
24 smaller vectors.

25 There may be smaller vectors that have different

1 biology in the future where parent vector sequencing makes
2 more sense or whatever. I think it is sort of a way of
3 jumping that bridge between the below-40 and above-40 KB,
4 and it is a way of introducing some practicability into all
5 of it. I really like that notion.

6 CHAIRMAN SALOMON: Phil, when we discussed this
7 yesterday, Steve and Carolyn and I looked at each other and
8 said there is no way we are going to get this done before
9 noon, and that doesn't surprise me, but yet I think these
10 are really important questions, and that's why I haven't
11 jumped ahead out of this discussion, because I think this is
12 a really important question.

13 What I would like to do is take a chairman's
14 prerogative and add another 15 minutes now and about a half-
15 hour after lunch to try and get at some of this, because I
16 think we should go on. We can try going on to question five
17 right now, but I think question three has got some important
18 issues there.

19 One of the issues, just before we leave this one,
20 what is still bothering me a little bit now is one of the
21 issues was if we accept the fact that you do not sequence
22 the 40 KB, greater than 40 KB vector, and I'm not even going
23 to try and do a consensus this time, because I don't think
24 there is a consensus around the table here on this, but what
25 is begging an issue then is do you ever sequence it? If you

1 do not sequence it before the Phase I, does the committee
2 have a comment or discussion on do you sequence it before
3 Phase II? Do you sequence it before Phase III? Do you
4 sequence it before licensing?

5 DR. BREAKEFIELD: I guess I feel that most of the
6 innovative work is kind of done in Phase I, and if it looks
7 promising, hopefully some company or something will move
8 forward with it, and then there would be the funds to
9 sequence it. I think it is more that first step of trying
10 to get into a Phase I that I would certainly like to
11 encourage the academic investigators to be able to do.

12 I would also like to restate that they should be
13 sequenced even at that level, but right now it is
14 impractical. I agree with Richard that you can sequence big
15 pieces, but these have a lot of repeat elements and you
16 would have to have somebody committed to doing it.

17 CHAIRMAN SALOMON: But you like the idea that you
18 would have to sequence before going to Phase II.

19 DR. BREAKEFIELD: I--

20 CHAIRMAN SALOMON: I mean, I'm not trying to put
21 words in your mouth.

22 DR. BREAKEFIELD: I--that's what I would--

23 CHAIRMAN SALOMON: Okay.

24 DR. SAUSVILLE: I would certainly take the
25 position that before Phase III, which is looking at

1 marketing that's going to go on for some large number of
2 years, you would want sequence even if there are ambiguities
3 in meaning, because what you're basically defined are
4 patterns of behavior that you're going to expect this
5 construct to exhibit over perhaps decades of use.

6 The issue of whether to require it before Phase
7 II, I think that's going to depend on the nature of the
8 disease, nature of the indication, the level of--whether a
9 Phase II would be possible if we did require it. I guess
10 what I'm saying is I would still be ambiguous or desire the
11 sequence, but live without it going into Phase II, but would
12 really want it before Phase III.

13 DR. MULLIGAN: I don't see the consistency of that
14 point. I think you're trying to raise the question that I
15 have been troubled with, that it either is or isn't
16 important to have the sequence, and what is it other than
17 the cash, essentially? We should address it, either it is
18 or it isn't, and if it is at Phase III, I don't understand
19 your reasoning why it is all of a sudden at that point.

20 I think, as Xandra was saying, it is the cash,
21 that maybe you've got someone to sequence it, but I think
22 that's maybe now the reasonable question on the table, is is
23 it ever important to have that sequenced and maybe divorce
24 it from the cash issue?

25 CHAIRMAN SALOMON: I think one of the things that

1 we can maybe just not come to consensus on is I think what
2 Phil is trying to say is if we get too specific here, we're
3 going to also reduce the flexibility the FDA has. Someone
4 will come back and say, well, your advisory committee said
5 you had to sequence it, which might be a dangerous position
6 to take.

7 Again, I'm making this all public. I may be a
8 little concerned, as chair, to go there, that we'd come out
9 with an official statement here. I think there is enough
10 disagreement and lack of consensus here that I don't think
11 we can give the FDA that sort of recommendation, but I think
12 I've been very straight in the record that I see--I'm a
13 little confused.

14 The only thing is this whole thing recently, with
15 the gene therapy scandal or whatever, if that happened, if
16 somebody died in one of these trials and, you know, the
17 Washington Post and New York Times published that we had no
18 idea what the sequence was of the vector that went in, I
19 just don't know how that would play the next time Jay and
20 Phil confront Dr. Frist, but I think that's their problem
21 right now--thank God--if the sequence was fully known and
22 something about it had not been noticed, especially if the
23 vector was 200 KB.

24 DR. MILLER: I think that's the best point, I
25 mean, if you believe doing the sequencing would prevent the

1 deaths or severe untoward outcomes in these studies, such
2 that you would not want to expose patients, then yes, you
3 should sequence. If we're saying that we don't think, with
4 these large viruses, that there's going to be enough benefit
5 gained to potentially make both Phase I and Phase II trials
6 at least able to be done in a reasonably or safe and sort of
7 broad-reaching--not broad--innovative patterns, I mean,
8 Phase I and Phase II are still looking for evidence, you
9 know, safety plus some preliminary evidence of efficacy
10 before you take it to a large Phase III trial.

11 They're more likely to be investigator-sponsored
12 or small-group-sponsored, as compared to a Phase III trial,
13 where you really need a big support. So, I mean, I'm saying
14 that if you feel that it is truly that sequencing is going
15 to save it, then I think we would all say vote, but I don't
16 think the feeling of the people--that that is going to be
17 the major vector on whether this is a safe or not safe thing
18 to do.

19 DR. SAUSVILLE: I would agree, and that is the
20 basis for the potential perceived lack of consistency that
21 you pointed out, in that when you get to the level of Phase
22 III and what is entailed by Phase III, by definition
23 implying marketing, then at that point I think it is
24 reasonable to demand the sequence, but to not stymie
25 investigation and to allow this broad reach is where I, at

1 least, was trying to make the balance.

2 DR. MULLIGAN: I think there's just a lot of
3 opinions upon the usefulness of sequencing information. I
4 think, if you talk to the genome people, there's one point
5 of view. There are certainly people like John that do not
6 think the same way. But I think this is exactly the issue,
7 what is the value of having sequence information? And I
8 think there are just two camps. There are some people, and
9 I am in that camp, that thinking that having that
10 information is better than not having it, and I think
11 there's a good chance that it can be helpful in the future.

12 Other people will say, though, it is just too
13 complicated. What if you miss the thing? But you'll never
14 catch the thing if you do not have the sequence. I'm going
15 to stop talking, but I think the reason I'm so really
16 positive about pushing this is that I think this does have a
17 very important implication for the evolution of gene therapy
18 in the future.

19 I think the whole discipline ought to think about
20 having some perceived rigor that it may not have had. I
21 think that although you could even say this is a symbolic
22 type of thing, it is just having information. I think Abbey
23 appreciates that, that having the field say, look, we may
24 not be able to understand information, which is always said
25 about the genome project, but we have the information.

1 I think your point is very well-taken. If there's
2 a death due to a herpesvirus vector and no one can figure
3 out what happened, and, in fact, people said this never
4 occurred before in the preps that we had before, you might
5 be concerned that there was some variant herpes strain.
6 What would you do? I would almost guarantee you that
7 someone would ask the people to sequence that herpesvirus
8 vector.

9 DR. TORBETT: I have somewhat of a philosophical
10 question. It would be really useful to have this
11 information, but if there is no way to access that data and
12 compare it to your own parental strain, then the data
13 remains meaningless. There has to be a way of taking that
14 information, comparing it to what you have, and know that
15 your particular strain is within a certain realm or not.

16 I think that is something that has conflicted me
17 during this whole discussion, not so much with the smaller
18 viruses, but with the larger viruses, and perhaps the
19 committee would like to comment on that point.

20 DR. MULLIGAN: I think that we've been drifting
21 from a compromise. You know, the first thing was sequence
22 your herpes vector. The second thing was that is too tough,
23 let's use something that has been sequenced. I think you're
24 getting to the point of maybe that, in practical terms, does
25 not make much sense, you know, once you're slightly off the

1 mark, you're completely off the mark, and I think that is a
2 good point.

3 DR. TORBETT: I am in favor of sequencing, but
4 what are you going to do with the information once you have
5 it, and I think that's what I think some of the committee,
6 at least I feel, is somewhat conflicted about, what can you
7 do with that information if there is no way of figuring out
8 what it means or even having access to other pieces of
9 information other investigators have?

10 I know it's not the FDA's point to comment on
11 these, because these are proprietary pieces of information,
12 but that somewhat conflicts me here.

13 CHAIRMAN SALOMON: I think that one thing that you
14 could say is if you find sequence information that is, let's
15 say, base pair changes that are conservative or not, change
16 an amino acid here or there, at least you can now begin to
17 see whether or not, for example, there is immune response in
18 your animal models reflecting these changes. You could look
19 to see whether or not you might be--I think more critical is
20 whether there are whole sequences that have been adopted.

21 This viruses, as you well know, have survived in
22 evolution by grabbing host cell genes and incorporating them
23 and then using them functionally. The idea there could be a
24 chemokine that was in there or a fake Class I molecule, all
25 of which are examples in CMV and EB virus and HHVA, and I

1 think those are the things that Dr. Mulligan and I are
2 concerned about.

3 I have to say I continue to be more on the bullish
4 side of sequencing, but--

5 DR. SIEGEL: As a nonexpert, let me ask, though,
6 particularly about that last risk. Those larger changes,
7 such as insertion of a major open reading frame that might
8 be a Class I or a chemokine, to what extent would
9 restriction mapping or other techniques less fine than
10 sequencing likely detect those or exclude them?

11 CHAIRMAN SALOMON: That is question three. I
12 thought we would get at that at lunch--after lunch--not at
13 lunch. Excuse me.

14 DR. SAUSVILLE: Right. It bears, though, on how
15 compelling it is to do sequencing.

16 CHAIRMAN SALOMON: I agree, Jay. That is really a
17 good point. I'd like to kind of wrap up this morning with
18 the idea that we will spend a half-hour after lunch trying
19 to get at question five and then three. Is that okay,
20 Carolyn, as an order? We sort of did four, but the question
21 here would be if you are in the camp that was advising the
22 FDA not to do the sequence, and there is considerable
23 sympathy for that as a recommendation, are we then
24 suggesting there is no sequence, or are we going to go back
25 to that we should do 40 KB, just not--

1 DR. SAUSVILLE: No, I would say--I mean, the
2 question is written here, you know, the parts that are
3 relevant, I would categorically agree we should have that
4 sequencing.

5 CHAIRMAN SALOMON: I just wanted to get this part
6 on the record.

7 DR. SAUSVILLE: We're talking about what is not in
8 those parts.

9 CHAIRMAN SALOMON: Obviously, I'm just trying to
10 end here by making sure that we do not miss getting on the
11 record a critical point here, and that is does everyone
12 agree, regardless of whether you want to sequence the whole
13 vector or not, does everyone agree those areas of the vector
14 that have been specifically modified by the investigator,
15 particularly the insert and/or the promoter regions and the
16 linker sequences, they should be--are we at least agreed on
17 that?

18 Do we agree that it should be 40 KB, that you take
19 the middle and go 20 KB in either direction? That was my
20 suggestion, just that I didn't like the idea that someone
21 working on adenovirus got punished by having to do 40 KB
22 sequencing, but someone working on herpes did not. I am
23 being partially facetious, but at some point here you should
24 decide how much flanking region you're going to include in
25 this, and I suppose we ought to leave it at that.

1 Well, again, I think we've pretty much summarized
2 this morning's discussion. Do you want any more summary,
3 Steve, Carolyn? Are you okay with that, and are we okay
4 with the idea of a half-hour after lunch to deal with
5 question five and three? I have been told no more, though.
6 Please. Okay.

7 It's 12:30. I would like to start again at 1:15.
8 Thank you all very much.

9 [Whereupon, the committee recessed for lunch at
10 12:32 p.m., to reconvene at 1:15 p.m.]

[1:29 p.m.]

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

AFTERNOON SESSION

CHAIRMAN SALOMON: I would like to begin now with the afternoon session. However, as I said before the break, I am going to put in 30 minutes of discussion on topic one now, before, and then everything will just be pushed back a little bit, and I apologize to the speakers and to the audience if they had timed their afternoon and I'm messing it up. I think that I feel comfortable, after having a chance to just think about what we did this morning, that no more needs to be said about this morning's discussion.

I, in discussing it with each of the FDA people-- people got the sense that they heard what we were saying and I think we've done a responsible job representing the diversity in the community and there are no complete answers, and I think I am content with that. So, let's go on and deal with question five, and I am going to try and, so it is 1:30 right now, just see how we go on five, and if we're not getting there, I'd like to sort of skip on just so we can briefly discussion question three, so we will see how we do here.

So, question five is--I have to go back and forth, because I have my notes on one sheet, but I have Jay's version on another. Hold on. Okay. Should unexpected sequence and/or open reading frames be identified during

1 analysis of the vector sequence? What additional steps
2 should be recommended?

3 This is now picking up, I think, where we were at,
4 at the end of the morning session, specifically what do you
5 do with sequence? I think this was asked by several
6 members, Dr. Sausville, Dr. Torbett, so what do you do with
7 the sequence if you have it?

8 If unexpected sequence is identified during
9 analysis of a vector sequence at any point now. Let's not
10 get hung up whether it's before Phase I, Phase II or Phase
11 III. What should you do? For example, A, expression
12 analysis of the reading frame for RNA and protein; B, if
13 sequences identify open reading frames, analysis should
14 include retrospective studies of the subjects, for example,
15 an antibody response or, I would imagine, a T-cell helper
16 response or a cytotoxic T-cell response to the potential
17 protein.

18 If sequences identified include transcriptional
19 control elements, should we look at expression pattern of
20 the vector sequences? Is there any discussion of that?
21 What should we do if unexpected vector sequences are found?
22 Again, a resounding silence here. That is fine, then we go
23 on to the afternoon session. They'll be happy with me.

24 The point here is that I'm not going to let you
25 out of it this easy, though. We all agree that there are

1 going to be sequence differences, because even if there's
2 nothing else going on, most of these vectors have
3 spontaneous mutations. There are errors in the sequencing
4 procedure. There are homologous and non-homologous
5 recombination. So, I think we have to face the facts that
6 whenever the sequencing is done prior to licensure, there is
7 going to be sequence differences, deletions or insertions,
8 and we have to say something about this. We can't just be
9 silent.

10 DR. SAUSVILLE: I think the answer to the question
11 is it is a resounding "it depends" really. I mean, you
12 know, clearly, it also depends when in the sequence it is
13 detected and also the nature of the event, because we all
14 would agree that if it were in a production lot for
15 something that was going to go into clinical trial and the
16 change affected the actual gene of interest, that clearly
17 would be a basis for rejecting the lot or trying to fix it
18 or something along those lines.

19 If, on the other hand, it is in a portion,
20 particularly for those under-40 KB entities that we likely
21 will have lots of information, if it is in a somewhat less
22 well-defined place where it is not obvious that it is going
23 to affect anything, I don't see anything needs to be done
24 about it. I think the point about open reading frames is
25 germane, and that even if it is a vector-related open

1 reading frame, one could imagine some type of immune
2 response, et cetera. I would not necessarily even say that
3 that should be changed.

4 I guess the more detailed answer is that then the
5 sponsor should seriously consider whether the change
6 detected would impact the nature of the clinical question
7 being asked or considered.

8 CHAIRMAN SALOMON: Okay. That is one way.
9 French?

10 DR. ANDERSON: First off, the reason I came in
11 late, as you know, is the big issue that we were discussing
12 earlier was sequencing, and the point was made, Rich made,
13 that there is all kinds of expertise in the genomics
14 program, and 200 KB, come on. That is sort of an
15 afternoon's work, but it is a big deal for us. So, Phil
16 Noguchi and I, sitting together at lunch, and Phil and I, as
17 we often do, say, well, how can we get around this problem,
18 and one issue would be perhaps if the Human Genome Project
19 could pick up these big viruses, both in terms of gene
20 therapy, as well as vaccine development and so on.

21 So, I called Francis Collins, and that is who I
22 was on the phone to when you got started. Thank you for
23 delaying, by the way. I saw you talking up here, but it
24 took a little while to get through. There is, of course, a
25 procedure to go through, so we will get together and go

1 through the procedure. There is a reasonable possibility
2 that this would go onto their program, and therefore there
3 would be a sequencing of the significant vaccine gene
4 therapy vectors, viruses.

5 Okay. Now, in terms of answering this issue, we
6 are all going to agree on this if it is important, you have
7 got to do something. If it is not important, you don't do
8 anything. I will now argue against what I initially said.
9 When I first was talking, it was as a gadfly, to try to open
10 up thinking. I will now respond in this context to what
11 rather horrified you in saying, well, salmon sperm DNA is
12 curing cystic fibrosis.

13 The way that could take place, in fact, is that
14 that piece of salmon sperm DNA happens to be homologous to
15 an enhancer region or locus control region or MAR, and SANR,
16 an insulator or something else that then allowed the cystic
17 fibrosis gene to be able to function better in that
18 particular context. If you do not have the sequence, you
19 will never know that, and then when somebody else makes
20 exactly the same vector, they think, it will not work.

21 Having the sequence really is vital, but then the
22 issue comes down to when do you do it? Your starting
23 material very well might be exactly what you think, but it
24 changes as you go through all the production and all the
25 rest of it. The fact is, one needs to have as much sequence

1 information as possible, and then what you do with it is
2 just what Dr. Gordon said. You have got to figure out what
3 you're going to do with it, but if you don't have it, you
4 can't do anything.

5 It is a balance, but the general principle, the
6 FDA has to be left flexible to be able to use its own
7 decision on a case-by-case basis of what policies they are
8 going to set forth in terms of what is going to be required
9 and not required, but balancing between cost and benefit as
10 much sequence information as possible, as late in the
11 production process as possible, is a good thing.

12 DR. GORDON: I think sequence discrepancies fall
13 into two categories, and the resolution at which you find
14 these discrepancies would be irrelevant if they were
15 categorized in this way. One is in which you expect them to
16 have some functional significance, and the other is when
17 they don't. When they don't, I think it would be a mistake
18 to advise that anything be done because I think we could end
19 up chasing our tails with errors and other minor anomalies.

20 When things are functional or potentially
21 functional, I think the appropriate action to take is to
22 determine if they are, in fact, functional, and use the
23 appropriate test mechanism, depending on the situation, to
24 determine if they are actually functional. Whether or not
25 you should insist that they then be removed if their

1 function is apparently extraneous to the purpose of the
2 vector, is a far more complicated issue.

3 I would say that dividing these sequences up into
4 ones that examine--when examined, determined to be
5 potentially functional and non-functional, are in two
6 categories, and I think we can deal with the potentially
7 non-functional ones by saying we are going to log the
8 information for the moment, but not take action.

9 CHAIRMAN SALOMON: I think that could be a
10 beginning of trying to come up with some kind of consensus.
11 You have to the sequence at some point, remember we are not
12 getting into exactly when this is, and if you've got that
13 sequence now, you analyze it, and you analyze it for
14 mutations that have no clear biological or other functional
15 significance. Those are catalogued. Then there could be
16 two other kinds.

17 There could be insertions that create new open
18 reading frames or there could be new sequences that might be
19 promoter-enhanced or other transcriptional elements. Is
20 that fair?

21 The question I have for the experts is how good is
22 genetic analysis of sequence for the identification of those
23 kinds of differences? I mean, how good are we at
24 guaranteeing that we catch all the open reading frames, and
25 particularly I am interested in how good are we at

1 identifying transcriptional enhancer and other promoter
2 elements from sequence.

3 DR. BREAKFIELD: I would say that it seems like
4 when people do sequence analysis for transcriptional control
5 elements, they always find them. I think it's hard to know
6 when they're active or not, and that's a more difficult
7 issue. On the other hand, if you come across an open
8 reading frame of any substantial size, I think even the
9 people who find them, they're going to see if they make RNA
10 just like--since we can't refer back, I mean, I think they
11 would naturally want to make--whether it was expressing a
12 protein and whether the protein was antigenic. It is hard
13 to believe somebody would not want to do that.

14 CHAIRMAN SALOMON: I think if you find an open
15 reading frame and it that is clearly identified, I don't
16 think we need know to waste any time discussing what to do
17 there. I don't think there's anyone around this table who
18 can't figure that out. The FDA can, unless you guys
19 disagree.

20 I'm more concerned with, number one, how good are
21 we at identifying these sequences that would be for promoter
22 enhancer and other transcriptional elements, and if so, then
23 what do we do, because your point is very well-taken, you
24 know, there are all these different short sequences that
25 could be potential interaction sites. What do you do? Do

1 you have to make reporter gene constructs of each one of
2 these now and look to see whether or not they're active in
3 transduced cells or not?

4 DR. TORBETT: Given that, as Dr. Breakefield
5 noted, promoters and enhancers seem to be everywhere and
6 most of them are context-dependent. That means they are
7 defined as what cell they're in and if they function, and I
8 think that kind of opens up a large Pandora's box, but I
9 think noting that they are present and have a potential
10 function is worthwhile.

11 The next point of getting at the function could
12 very well be somewhat problematic, I think. Again, not all
13 promoters, not all enhancers, function equally well, and
14 again it is many times cell-type specific. I would like to
15 have the comments from the rest of the committee on that.

16 DR. BREAKFIELD: I would say that, just like
17 Richard Whitley talked about with the herpesvirus, there are
18 certain things you expect of certain viral vectors in terms
19 of what cells they are going to, in fact, replicate in, and
20 presumably those types of biologic assays would be done and
21 might be looked at a little more carefully if there were
22 some potential sequences in there.

23 You might say, well, gee, does it really show the
24 same spectrum of infectivity that we saw before and I don't
25 think that's that complicated to do and, again, I think

1 should be probably done.

2 CHAIRMAN SALOMON: One of the ways we could say is
3 that if you find sequences that may be representing these
4 sort of control elements, that some work be done in the
5 model systems for each of the vectors appropriate to each of
6 the studies to look at genomic expression, right, transcript
7 numbers, et cetera, to see whether or not they are
8 significantly different than what might have been predicted
9 from native or wild-type species.

10 DR. BREAKFIELD: I think, since we are really
11 thinking about issues of toxicity, that could be done, too,
12 but I think you need to see that the infectivity spectrum of
13 this virus, the types of cells that it infects and if it is
14 replication-competent, what it replicates in, is like what
15 all your toxicity studies before showed you for that virus
16 completely--have the same ballpark.

17 DR. O'FALLON: From the simplistic standpoint of a
18 statistician, if we were talking about Phase III studies
19 where we have randomized these subjects into different
20 therapeutic arms, what we are assuming we have done is give
21 the same therapy to everybody that is in the same arm.
22 You're describing a situation where apparently we will
23 discover that that is not the case later on, and you are
24 saying, well, it doesn't make any difference when this is
25 discovered. Of course it makes a difference when this is

1 discovered.

2 I would assume if it was discovered before
3 treatment was administered, it would not be administered,
4 that something was not what we thought it was. We're faced
5 with some extraordinarily complicated analysis problems, I
6 would gather, after the fact. If we discover in a
7 randomized clinical trial, that what we thought we had
8 administered is not what we administered, how on Earth are
9 we going to make sense out of that?

10 We're certainly going to have to study as much of
11 it as we possibly can in order to make sense out of it. So,
12 to not follow up on every lead that we discover, I would
13 think, would make us in an intolerable situation, from the
14 analytic standpoint. We would not see them being able to
15 bring to us anything that they could explain if they did not
16 try to follow up on that.

17 CHAIRMAN SALOMON: What we're going to going into
18 in the afternoon session, of course, is preclinical models,
19 which is very important to keep that in context, so if there
20 were some tumor-inducing promoter region that got stuck into
21 this, one would hope we might find it, but that is a
22 discussion for later.

23 DR. MILLER: The more basic question from the
24 regulatory standpoint is not so much what are we going to do
25 with the information, but have you already or is there

1 already in place the responsibilities of how you report this
2 and when you report it, because I think that people who are
3 looking at this scientifically, when they find something, an
4 abnormality, what I'm sort of wanting to know as a non-gene
5 therapy person, is at what point are they going to report it
6 and how much--how soon into the studies, as soon as they
7 find it out, do they report it first and then do all the
8 analysis, something like an adverse drug reaction, something
9 you have 24 hours to report if it's life-threatening,
10 something not like that, but is that the idea from this or
11 is this something that you're going to say to the sponsor of
12 the IND that they figure it all out and then they include it
13 in their annual report, or is this something you feel should
14 be put in more--earlier on--because I think that helps us
15 shape how much you require, because if after they show that
16 there is a difference and if there is an abnormality and
17 then it is reported and then an analysis plan is then
18 proactively worked on, that gives more comfort than if you
19 say, okay, you can, you know, to the sponsors, figure it out
20 and then we will hear about it down the road. So, I wanted
21 to get that clarified, how that works.

22 DR. NOGUCHI: In fact, the reason we're bringing
23 these discussions out here is we're literately learning all
24 about these things in almost real-time here and bringing
25 them out for discussion. Our basic concern is exactly what

1 Dr. O'Fallon referred to, is if there something there you
2 didn't know, then that is not the product that you thought
3 it was, and that should be reported as soon as possible.

4 Typically, what we do is when we get the
5 information, we work directly with the sponsor to create a
6 plan to either study it further or to change the protocol,
7 any of a number of things. What we're looking for here is
8 to try to get some more generalizable principles so that we
9 can, in fact, just as has been stated, if it's not an open
10 reading frame, our concern and our need to have a plan in
11 place might be less or it might not, depending on how the
12 discussion goes, but if it's an open reading frame, I think
13 what we're hearing is that there is an expectation that you
14 have the open reading frame, what protein is it, and do some
15 more analyses.

16 That should be done, I would think, as soon as
17 possible. It's not something that you leave for an annual
18 report. Gene therapy is a very embryonic field, despite
19 having been around for over 10 years. Every new piece of
20 information literately can help shape how future studies are
21 done or even how the current study is being done. All the
22 questions you're asking are kind of we are trying to help
23 frame our own staff for how we should proceed and we're
24 asking advice on that.

25 DR. MILLER: I think that is more specific to each

1 different vector in product, just like as we saw this
2 morning, where we saw that road map of how--one of the
3 presentations this morning, they actually did this and they
4 showed what they found as they went through their analysis,
5 which seemed like a very reasonable approach to the
6 detection.

7 I assume that was done based on some discussion
8 with the agency back and forth. I think it's more important
9 to sort of set a threshold for when you report and how
10 quickly, so it is very clearly stated.

11 DR. SIEGEL: We do have a regulation, it's at
12 312.32 in the Code of Federal Regulations, that does require
13 15-day reporting of information which extends beyond adverse
14 events if, in the judgment of the sponsor, it can be animal
15 data and it can be product data if, in the judgment of the
16 sponsor, it has significant potential implications for
17 safety in humans.

18 Beyond that, there's much less specificity because
19 the type of information is so much broader. We're much more
20 specific in our regulations about reporting adverse events
21 than reporting each new piece of information that develops
22 regarding a product as it develops. However, we work
23 closely with sponsors, and as Phil indicated, there is a
24 fairly high level of expectation that important information
25 is reported, reported promptly.

1 That doesn't mean, though, that if it is not, it
2 is a violation. I do know that we know that we hear
3 everything that happens when it happens.

4 CHAIRMAN SALOMON: I think that my comment is on
5 regulatory sequences that might be identified. Today, I
6 think we have some really very good technology in DNA arrays
7 to look at transcriptional regulation of genes, and I think
8 that is one of the things that would be relatively
9 straightforward, I think, for a company or even for an
10 individual investigator to do, to do some simple DNA arrays
11 right now, most of which are commercial.

12 Yes, they can get expensive. No one needs to tell
13 me that, but the technology is there and I don't want to get
14 hung up on the expense issue, but I think that if
15 transcriptional elements were found that were not expected
16 in the original sequence, I think it would be a minimal
17 thing to ask for some sort of experimental data looking at
18 transcript regulation in those transduced cells or tissues.

19 DR. CHAMBERLAIN: I think it is still a real tough
20 issue because it depends on what you mean by a
21 transcriptional element. If you're characterizing a vector
22 and you find that the CMV enhancer has somehow integrated
23 into it, then that is going to be pretty obvious, but as
24 Xandra was saying earlier, I think with current DNA sequence
25 technology, for most insertions, you're really not going to

1 know whether you have a regulatory sequence or not and it
2 really gets to be a very tricky issue.

3 The other problem is again, as we heard, the
4 context. If you're designing a vector for muscle gene
5 therapy, let's say, you're never really going to know if
6 some of that vector leaks into the spleen, that you may have
7 an enhancer that only works in spleen and not in muscle and
8 things like that. I don't know what the test system would
9 be and how you would go about doing that.

10 What I might suggest is that a lot of this depends
11 on when you find these sequence alterations. Obviously, if
12 it is early on, before you have started your clinical trials
13 and the simple matter is simply to go back and remake the
14 vector and make sure it's correct, if for some reason you
15 find an alteration and it's very difficult to remake the
16 vector, then I would think one would be expected to go
17 through the typical pharm-tox preclinical studies that are
18 required of any vector where you have made a corrective
19 manipulation and proved that this alteration is not causing
20 problems.

21 Maybe where the critical issue comes in is if
22 you've already been doing a lot of clinical trials and
23 something arises in your stocks that wasn't there
24 originally, what do you do then? I think at that point then
25 the responsible thing to do would be again make this

1 decision, can you go back and clean up your stocks and go
2 back to using the correct thing or, if you feel you do not
3 want to do that, then you should be expected to go back to
4 the animal studies and repeat your pharm tox studies the
5 same way that any vector would be expected to go through the
6 approval process and show that this new change is not having
7 adverse consequences.

8 CHAIRMAN SALOMON: We essentially agree. I was
9 dealing with when you find a transcriptional element that
10 you recognize, then you should be forced to show some
11 genetic transcriptional regulatory data. I realize that it
12 can be complicated because it could be cell-type specific in
13 a tissue and then do you go in with a laser dissection
14 microscope and all that or did it leak to the spleen. It
15 can't be perfect, but at least what you're saying I agree
16 with, that you should go back to whatever you established as
17 the models that were approved on the entry direction for the
18 initiation of your trial and go back and show that what you
19 expect is what you expect and not that there is now suddenly
20 a tenfold difference in expression of a gene of interest.

21 DR. NOGUCHI: Dan, I think we're getting the
22 feedback that we need on that and while I would personally
23 like to continue, we could probably spend a day here, but we
24 do have another whole session. If we could move to three,
25 we would appreciate it.

1 CHAIRMAN SALOMON: Let's spend the last 10 minutes
2 here and go to question three. This is an issue of changes
3 in vectors during the manufacture process leading up to the
4 trial. You have sort of gotten in through your original
5 trial. You've done your proof of concept. You've
6 demonstrated a potential clinical utility. You're gearing
7 up to do your Phase I or Phase II. Again, we promised not
8 to get hung up on that, and as you roll into it, you now
9 make a master production cell bank, and the questions in
10 three now are meant to briefly suggest what should be done
11 at that level.

12 And this now gets to the issue that this gentleman
13 raised in the morning, of, well, wait a minute now, it is
14 one thing for me to sequence my plasmids, but for an AAV
15 vector, for example, if I now have to do a whole production
16 run and sequence it, that is a serious issue. We don't have
17 a whole lot of time to discuss this, but is there like any
18 key points that the group wants to make on this issue in a
19 few minutes?

20 DR. NOGUCHI: Dan, just a clarification, I think
21 we have to some extent beaten the sequence and--

22 CHAIRMAN SALOMON: I'm not talking about sequence
23 now.

24 DR. NOGUCHI: Okay. I mean, here we're talking
25 about as you're producing lot after lot, some of the lots

1 may not be exactly the same. What are the most sensitive
2 ways that we can detect that?

3 CHAIRMAN SALOMON: Phil, given that our time is
4 short, what would be the one question you want us to comment
5 on then in this time, you or Jay?

6 DR. SIEGEL: Just to frame the broader question,
7 then I'll let the others frame it more specifically, but the
8 issue we're talking about here, I believe, is not so much a
9 change in the masters cell bank, which hopefully you
10 establish later on, but from production run to production
11 run, each time you expand a virus many logs to produce a lot
12 of clinical material, you run a risk that, at some early
13 stage in that production run, there could be a mutation, and
14 so that lot of clinical materials in substantial portion are
15 different from other lots, and then the gist of these
16 questions is about how best to assess that sort of genetic
17 stability. Is that not right? How can we focus best in a
18 five-or-10-minute discussion in terms of what we could use?

19 DR. BAUER: I think the best focus would be if we
20 could have a discussion of what kind of techniques could be
21 applied. I think that Jeff Chamberlain talked about some of
22 those issues earlier in his talk, but to expand on that
23 would probably be the best.

24 CHAIRMAN SALOMON: Let's pick that up. If you
25 have to do an analysis of a vector lot during production,

1 can we do restriction mapping and, if so, how should that be
2 done? Is there what we're talking about? I don't want to
3 use the "S" word, the sequencing work.

4 DR. SIEGEL: You've sequenced and done whatever
5 else you want to on your starting cells. Now you've
6 produced something to give to humans. What do you need to
7 do in process and at the end?

8 CHAIRMAN SALOMON: Southern blotting, PCR,
9 quantitative.

10 DR. BAUER: The bottom line is with the techniques
11 that are available, such as restriction mapping, Southern
12 blotting, PCR-sequencing one lot, whatever it is, are we
13 confident that those catch the events we want to see and how
14 concerned are we with that, and what would be the
15 techniques, first of all, to apply to that?

16 CHAIRMAN SALOMON: We have a lot of virus at ten-
17 to-the-ninth viral particles, but what do you guys want to
18 know about that?

19 DR. ANDERSON: I think what is clear is we don't
20 know any more than you know and that is what you wanted to
21 find out. That is the reason for having an advisory
22 committee. If the advisory committee can't advise you, then
23 you know as much as there is to know. Certainly as much
24 information as you can get--and you already know if you do a
25 restriction map you will find big things, and as you be more

1 refined, you will find smaller things.

2 I think what I am comfortable with is that the FDA
3 recognizes that there is a balance here, that you can't just
4 say you have got to tell us everything, and I am comfortable
5 that you are as aware of the issues as we are.

6 CHAIRMAN SALOMON: French, I think the issue that
7 they want us to address is also is there a limit--I mean, we
8 have to recognize the fact that any of these viral products
9 used in a clinical trial, in vivo or ex vivo, is going to
10 have a percentage of recombinant or deletional mutations or
11 whatever in the mix in these ten-to-the-ninth particles. Is
12 there some sort of a limit to that that we want to advise
13 them for allowing--we're talking now production runs?

14 DR. ANDERSON: I am very aware of what the issue
15 is. There is no question that that happens. Those of us
16 who have been, in part, responsible from looking at data
17 from production runs are very aware of it, and my feeling is
18 the FDA knows as much as we know. In fact, you know more
19 than we know, when you get right down to it.

20 If we had lots of time, we could talk about it all
21 day, but I don't think we have anything useful to tell you
22 that you don't already know.

23 CHAIRMAN SALOMON: That's going to make for a
24 short day.

25 DR. SAUSVILLE: I would just want to know if one

1 is comparing, for example, a master cell bank with what is
2 produced ultimately to be used in a clinical trial, why
3 would we want to have any different criteria than what went
4 into setting up the master cell bank? If sequencing is as
5 easy as it is thought to be in certain size ranges, why not
6 sequence the product lot, as well, at some frequency?

7 DR. NOGUCHI: Not to prolong that, but I think
8 that was one of the things that Dr. Bauer was bringing up.
9 If we really wanted to detect a low level of genetic
10 variation, sequencing 100 clones would probably not even
11 begin to be adequate, and so from a practical point of view,
12 even if you could sequence 100 clones, could you do it in a
13 several-week period and then analyze it throughout all the
14 areas, do the back-crossing? While it is an ideal
15 situation, what we're really asking here is other than
16 sequencing, what are the genetic tests that can give us an
17 indication of how good that lot is or how reproducible that
18 a lot is?

19 DR. GORDON: I think certainly sequencing 100
20 clones would not be very helpful. That would only give you
21 a one-percent resolution. I think the test applied would
22 have to be appropriate to the situation. If you are looking
23 for infectious particles, for example, that can be an
24 extremely sensitive test. Where that is not relevant, there
25 are PCR, quantitative PCR methodologies--there a lot of

1 methodologies that would be more than sequencing.

2 I think one of the other issues, though, is what
3 level of detection would you find to be unacceptable? If
4 you found some mutants, at what level does that mean you
5 have to do something or not? Every lot is going to have
6 something in it, so I think the more relevant question is
7 how to intervene in the context of getting that information
8 or if to intervene.

9 DR. BREAKFIELD: Well, I was just trying to look
10 through this list and see what I thought would be
11 reasonable. I think certainly a restriction digest with a
12 lot of enzymes, you want to make sure there's no big change,
13 like French said. With these vectors, you can't really PCR
14 across all of them, but PCR is very sensitive and it could
15 pick up if there were some changes, some more subtle
16 rearrangements, maybe one in 1,000, like a restriction
17 digest might be one-in-100, if you were really lucky--this
18 might get you down to another level.

19 It wouldn't be comprehensive, but it would just
20 give you some idea if there had been some major
21 rearrangements. You would have to do like PCR sets across
22 the region, and also I was struck by what Jeff said, that in
23 some of these like gutless antibodies, you might get
24 rearrangements and if you actually kind of did quantitative
25 PCR and compared the relative ratios across the genome, you

1 might get an idea whether there had been a loss of something
2 in a major fraction, you know, and could be fairly
3 sensitive, and then some kind of relatively straightforward
4 gene expression profile, like you were talking about, seems
5 like something that could be done fairly straightforward,
6 and at least we'd be covering a little bit of the gross
7 changes, you know, some minor changes and then some gene
8 expression changes which we certainly want to know about.

9 You have to make them doable, you know, and
10 sequencing isn't going to help you in this case.

11 DR. CHAMBERLAIN: Yes, I agree with those points.
12 I would just follow up a little bit to keep in mind that
13 when we're talking about production lots, you're going to be
14 somewhat limited with the material that you can deal with,
15 and so there are so many assays that you can do, and the
16 restriction mapping, southern analysis, PCR methods, are
17 ways, are probably the most sensitive methods that we have
18 that will go in and pick up major abnormalities.

19 I don't think any of these methods are going to
20 pick up more than about a one-percent variation in your
21 sample. I don't really see any way around that. The
22 ultrasensitive assays that we have referred to a little bit,
23 for example, with adenovirus, there are replication-
24 competent adenovirus assays that will pick up one-in-ten-to-
25 the-ninth and all that, but those are extremely unusual

1 assays that require a specific and selectable biological
2 event, and there's not going to be any assay comparable to
3 that for random genetic variation, and I think we're just
4 going to have to live with the idea of testing your vector
5 as extensively as you can before you get into the clinic,
6 and then just live with the limitations of the assays, that
7 as long as it is 99 percent the way it is supposed to be,
8 that you will have to live with that.

9 CHAIRMAN SALOMON: I think, for interest of the
10 time, that is as well-put as I can do it. I think we have
11 to realize the fact that these are biologics and I think
12 that is the spirit of the committee, if no one disagrees,
13 that if you look at an erythropoietin production run or
14 recombinant human growth hormone or any of these production
15 runs, you know, then you would say, well, yeah, we need then
16 sequencing of ten-to-the-ninth molecules of EPO before we
17 will accept it, and that is kind of what we're doing here.

18 I think we have to accept the fact as physicians
19 and the public needs to realize that these are biologics and
20 there's going to be variations there and it is going to have
21 to be part of the consent process, I would suggest.

22 DR. MULLIGAN: I think, though, even out of one
23 percent, there are certain contexts where one percent would
24 not be detectible, say placqued assays or PCR, subtle
25 differences, point mutations that make mutant proteins. I

1 think those are contexts where you would want to perhaps, in
2 preclinical work, at least, say what are the chances that,
3 at about one percent, I will get a mutant hemophilia
4 sequence, so I think these are all context-dependent, but
5 those sort of issues are very key.

6 Also, I think that for the question of how
7 heterogeneous is your virus prep, those things, I think,
8 should be under the preclinical stage. So, if you want to
9 look at adenovirus vectors, say gutless vectors, I do not
10 think it is unreasonable to sequence 100 or 200, do some
11 sort of find restriction or restriction mapping with four
12 cutters or something just so you can present, you know, we
13 don't think this is a likely thing that is going to happen
14 because we looked at 100 of these, so we know it's not going
15 to happen with frequency.

16 I don't think you would actually do that from lot-
17 to-lot, you wouldn't test it that way, but I think we
18 should, as Xandra said, make sure that the test we do,
19 however gross the tests are, would pick up real
20 rearrangements. I know in the Wilson trial, there are gross
21 rearrangements that were trackable back to an earlier point,
22 in some of the virus seeds, and those things we have easily
23 the methods to make sure that does not happen, and so those
24 tests should definitely be on the final product that goes
25 into the patients.

1 The other thing I would mention is like with
2 retrovirus vectors, is that some of these vector systems
3 have a literal inherent variability, and that will never
4 change. If you look at your retroviral particles, you will,
5 if you sequence enough things, see that there are
6 differences. I think the FDA and everyone has to be
7 comfortable when you're using these complicated things,
8 there are just biological processes that are not perfect and
9 we may see more and more, with other vector systems, that
10 the same thing does happen.

11 It is possible we may have to cope with accepting
12 a certain level of natural biological difference that we
13 have.

14 CHAIRMAN SALOMON: Obviously, I agree with that.
15 I think we have come to some consensus, in that for each of
16 the vector systems that you approve for clinical trials,
17 we're going to have to look initially at--in the preclinical
18 phase, to get some sort of indication from the investigator
19 or sponsor whether or not, if you have 16 passages of a
20 producer cell line, that suddenly 20 percent of the viral
21 particles are recombined and mutated, and if there is that
22 much drift, then it is probably a very reasonable question
23 for the FDA to either say go back to the drawing board and
24 come back with a more stable system, or impose a more strict
25 post-manufacture monitoring, as opposed to let's say a

1 system that came along around the same time that had a much
2 higher degree of fidelity over the long-term, but in the
3 end, it is a biological product.

4 Okay. Now we get to go on to what we're supposed
5 to be doing this afternoon, and I again am grateful for the
6 patience of the speakers who are to start the open public
7 hearing. Without any ado, I believe I'm okay in introducing
8 Dr. Janet Rose-Christianson, of Targeted Genetics, who has
9 been invited to speak. Please.

10 DR. ROSE-CHRISTIANSON: I believe all of you
11 should have a copy of what I'm going to be reading, but I
12 would like to just go over some comments. Targeted Genetics
13 Corporation is a gene transfer product manufacturer, as well
14 as a clinical trial sponsor. Our products encompass both
15 synthetic and viral vectors, delivering a variety of genes
16 for therapeutic and prophylactic use.

17 Therefore, we have a very keen interest in the
18 topics being discussed at this advisory committee, product
19 characterization, preclinical animal models and long-term
20 patient follow-up. I would like to address these topics
21 individually.

22 Regarding product characterization, from that
23 perspective, we believe that the currently available CBER
24 documents provide sufficient guidance at this point and in
25 this area. We believe that gene transfer products can be

1 handled in a manner analogous to the approach previously
2 used for other formerly-novel biologics, such as recombinant
3 DNA and monoclonal antibody products.

4 We also believe that a gene-transfer product has
5 the potential for meeting the definitions of a well-
6 specified or characterized biologic. Regarding preclinical
7 animal models, we do support the judicious, but not
8 gratuitous, use of animals. Toward that end, we support the
9 use of appropriate animal models, using rodents whenever
10 possible.

11 The need to use non-human primates should be
12 carefully evaluated before any study is initiated. Each
13 animal must be necessary to achieve the study objectives.
14 As more information continues to be garnered pertaining to
15 specific vectors, we would propose that a consideration be
16 given towards platform preclinical studies. For example,
17 adeno-associated virus, or AAV, is the vector that we're
18 using to deliver genes targeted to treat several different
19 diseases, such as cystic fibrosis and hemophilia.

20 Clearly, preclinical studies must mimic the
21 anticipated route of delivery in the clinical trials.
22 However, we believe that there should be some consideration
23 given to the biologic behavior of the vector class itself.
24 This would reduce the need for costly and redundant studies,
25 yet provide sufficient information to initiate clinical

1 trials.

2 We would ask the committee to consider the use of
3 smaller confirmatory studies to evaluate biodistribtion, for
4 example, of the same class of vectors produced by similar
5 methods and delivered by the same administration route,
6 despite a different transgene.

7 Long-term patient follow-up--we have amassed
8 significant experience with long-term patient follow-up and
9 really thank you for the opportunity to share these
10 experiences. This includes the lifetime follow-up for
11 retrovirus vector trials performed in the early 1990s, as
12 well as adeno-associated virus vector and non-viral trials,
13 which is required by the RAC.

14 To summarize, long-term patient follow-up is
15 challenging at best and impossible at worst. Although our
16 experiences have been shared with the FDA, I would like to
17 tell you about some of the problems we have incurred in
18 performing lifetime follow-up. Number one, once a patient
19 has completed the study, there is often little motivation
20 for the investigator to keep track of each subject. The
21 value of performing this follow-up is difficult to
22 ascertain, because to the best of our knowledge there has
23 yet to be a report issued from the data collected by the FDA
24 to date.

25 Number two, autopsy specimens, in general, have

1 not been available because the request for an autopsy was
2 denied or the investigator was not promptly advised of the
3 death, therefore the patients had been interned, cremated,
4 et cetera.

5 Number three, we have encountered several barriers
6 to patient follow-up, the most problematic include, A, a
7 lack of investigator motivation to perform follow-up once
8 the site is closed to active follow-up; B, from a scientific
9 perspective, many of the gene transfer systems do not result
10 in the long-term persistence of the transgene and transduced
11 cells. It is, therefore, difficult to justify performing
12 expensive and complex testing on autopsy samples in
13 situations where there is little, if any, likelihood of
14 finding vector sequences. Further, the false positive rate
15 of these type of PCR assays at high sensitivity levels makes
16 it probable that positive signals will be seen at some
17 frequency, further confounding the issue of true long-term
18 persistence.

19 C, patients are often lost to follow-up; D, the
20 costs associated with long-term follow-up, especially when
21 it is a lifetime requirement, these costs are attributable
22 to sponsor and investigator time, the need for continuing
23 regulatory filings and FDA interactions, and long-term
24 database management; E, to date, we're not aware that there
25 is a compelling scientific data indicating that lifetime

1 follow-up is really useful.

2 Although the data are presumably submitted by
3 other sponsors and investigators, there is yet to be any
4 data or analysis released to those supplying that data.
5 Going forward, we offer the following suggestions for
6 consideration in addressing long-term patient follow-up.
7 Number one, define patient follow-up requirements
8 considering the following: the vector class, the level of
9 the effective dose; the disease being treated; the maximum
10 number of patients needed to be follow-up, not just
11 everyone; route of administration and the treatment being
12 investigated.

13 Additionally, we would like to suggest that we
14 need to ensure there is some sort of sunset and/or review
15 provision to reassess the need to continue long-term patient
16 follow-up. Would a maximum of five years be appropriate?
17 For example, we performed trials involving the delivery of
18 the aerosolized AAV vector for treating cystic fibrosis.

19 As our patients have mild-to-moderate disease, it
20 is likely that many will survive for 20 more years. How
21 long should we follow these patients, especially in Phase I
22 studies where a no-effect dose was administered? Is this
23 really cost effective?

24 Number two, delineate the relationship of long-
25 term patient follow-up and product registration. Will trial

1 completion and closure be a requirement before a product can
2 be registered? If so, this could be a very huge issue.
3 Three, issue an epidemiological report of the long-term
4 patient follow-up data each year. To date, we're not aware
5 of this information being made available. It would truly be
6 useful to all those involved in this research to have access
7 to this data.

8 Four, assess the cost-effectiveness of this
9 reporting with respect to patient safety. What has been
10 learned so far?

11 Five, specifically define the expectation of
12 sponsors pertaining to long-term follow-up and what is
13 considered acceptable.

14 Six, harmonize any long-term follow-up with the
15 RAC. Otherwise, there will be additional costs associated
16 with providing different reports with differing monitoring
17 strategies to two HHS agencies.

18 Seven, use vector system and route of
19 administrations as two major criteria for follow-up. How
20 many patients must we treat before we can stop performing
21 long-term follow-up? Can given classes of vectors be
22 excluded from long-term follow-up at some point? It would
23 be also useful for the committee to provide the rationale
24 for long-term patient follow-up.

25 As you may know, long-term follow-up is not

1 required for patients who participate in clinical trials of
2 other non-gene-transfer drugs that are known to modify DNA
3 or vaccines that are remarkably similar to current gene
4 transfer vectors, yet have escaped similar regulatory
5 scrutiny. Is there a scientific basis for this type of
6 follow-up being performed exclusively on gene transfer
7 patients?

8 To conclude, we believe the length of time for
9 following a patient who has received gene transfer agents in
10 a clinical trial should vary and be based on defined
11 parameters. We believe that these parameters should take
12 into account the phase of the clinical trial and the disease
13 being treated. As an increasing number patients are not
14 imminently facing death due to their disease are enrolled in
15 these trials, the length of follow-up should be modified or
16 appropriate to the disease.

17 I would like to thank you for your time and your
18 attention.

19 CHAIRMAN SALOMON: Thank you. I should point out
20 for, unless just so there's no confusion, the first part of
21 your comments will be this afternoon. The long-term follow-
22 up issues will be dealt with tomorrow morning.

23 I would like to invite the second speaker now, Dr.
24 Russ Lyons from Genetic Therapies, Inc., Novartis.

25 DR. LYONS: I want to thank the advisory committee

1 for giving me a few minutes to talk about some of our
2 preclinical data. My comments will be limited to comparison
3 work that we have done in mice and monkeys with adenoviral
4 vectors, specifically an AB3 vector that is deleted in E1,
5 E2A and E3. We do have plans to extend these studies to
6 gutless vectors based on the findings that we have achieved
7 with an AB3 vector.

8 To give you background on what the study designs
9 look like, the route of administration is IV. The doses used
10 in both species was three-times-ten-to-the-twelfth particles
11 per kilo, and we included four monkeys in the study and 30
12 mice. The time line is shown here. The important time
13 points that I want to point out are the one-week time point
14 where we took a liver biopsy on all four monkeys in
15 necropsied 10 mice.

16 We did the same type of analysis at one month,
17 again liver biopsies on all monkeys, necropsy on 10 mice,
18 and then all animals were terminated at the two-month time
19 point. The dots along the time line indicate other
20 observations that are made. We do every-other-day
21 observations during the first week, including hematology and
22 serum chemistry, and then weekly observations throughout the
23 study.

24 To show you what the clinical pathology looks like
25 in both species, one representative enzyme, AST, both

1 species peak at about two days after administration and
2 resolve within one or two weeks and continue to be normal
3 throughout the study. The platelet drop that has been seen
4 by other investigators, we see as well, with nadir at four
5 days after administration and resolution by one-to-two
6 weeks, and they remain normal throughout the study.

7 What I do want to focus on are the microscopic
8 changes that were observed in the liver, and I have
9 summarized these here at each of the biopsy or necropsy time
10 points. At the one-week time point, the most prominent
11 feature is extramedullary hematopoiesis in both mice and
12 monkeys, although we do see a few changes with increased
13 mitotic figures and increased actin immunoreactivity in
14 inappropriate cells, and the mouse and the monkey look very
15 similar in terms of the number of animals presenting these
16 findings.

17 By the one-month biopsy and necropsy, the more
18 prominent features are prominent sinusoidal lining cells,
19 which we believe, based on immunostaining to be stellate
20 cells or EPO cells. We also see now apoptosis in about half
21 of the animals, a cellular infiltrate, more animals
22 presenting with increased mitotic figures, and now many of
23 the animals with actin immunoreactivity and the beginning of
24 a laminin immunoreactivity. We also begin to see the onset
25 of hepatic fibrosis.

1 By two months, the majority of animals show
2 hepatocellular apoptosis, as well as these indications of an
3 ongoing process of hepatic fibrosis. What I would like to
4 summarize in terms of our comparison of mouse and monkeys is
5 that we do see very significant species similarities, both
6 in the acute effects, the time and durations of either an
7 elevation of liver enzymes or the transient thrombocytopenia
8 mimic each other quite well in both species.

9 The sub-chronic liver changes that were seen in
10 both species are extramedullary hematopoiesis, activation of
11 the stellate cells, and this is the inappropriate smooth
12 muscle actin staining, activation of the stellate cells
13 progresses to a morphological transformation to
14 myofibroblasts, and we believe this is the mechanism that is
15 initiated, leading to hepatic fibrosis.

16 What I didn't mention were nuclear inclusion
17 bodies. By the two-month time point, we see nuclear
18 inclusion bodies that are fibrillar in nature, that have not
19 been described in monkeys or mice by anyone else with any
20 other compounds. So, these are still under investigation.
21 The differences that we see in mice all pertain to the sub-
22 chronic liver changes. The hepatocellular damage appears to
23 be more severe in mice than in monkeys.

24 There is a more prominent cellular infiltrate.
25 The distribution of the findings and effects in liver seem

1 to be more patchy. We have also detected nuclear inclusions
2 in non-parenchymal cells. The nuclear inclusions that I
3 referred to up here all occur in hepatocytes and in mice we
4 also detect nuclear inclusions in stellate cells.

5 Another finding in mice that we have not at this
6 point tried to duplicate in monkeys is the activation of
7 oval cells. Oval cells are liver stem cells that are
8 activated in response to severe hepatocellular injury in
9 instances where the hepatocytes can no longer or no longer
10 have a regenerative capacity.

11 I would like to conclude with this summary of
12 findings. We believe the acute and sub-chronic changes are
13 similar in mouse and monkey. The acute changes in clinical
14 pathology are dose-dependent in both species. The sub-
15 chronic changes are dose and time-dependent. The effects
16 progress over time and the microscopic changes cannot be
17 identified with standard H&E.

18 Many of the changes we found required
19 immunostaining and analysis at the ultrastructural level
20 with TEM. The liver fibrosis does not correlate with any
21 clinical pathology parameter. All the clinical pathology
22 parameters are normal by the one-and two-month time points,
23 yet there is a chronic process of active hepatic fibrosis.

24 So, our conclusions with regard to AB3 vectors for
25 systemic use are that mouse is an appropriate species for

1 assessment of adenoviral vectors. We did not detect
2 anything in the monkey that was not present in the mouse.
3 The long-term microscopic evaluations are needed, regardless
4 of vector persistence. In the monkey, we have not been able
5 to detect vector in the one-month and two-month biopsy, yet
6 the process of hepatic fibrosis is continuing.

7 The surrogate markers of liver fibrosis will be
8 needed to monitor patients treated with adenoviral vectors,
9 since we do not have any clinical pathology correlate at
10 this point.

11 Thank you.

12 CHAIRMAN SALOMON: Thank you, Dr. Lyons. That was
13 extremely on point to this afternoon's discussions about
14 models. I think these two speakers have made us a nice
15 transition into the afternoon session, which is entitled
16 Preclinical Safety and Efficacy Testing of Gene Transfer
17 Vectors. I think that really the big challenge here is to--
18 I think of us accept, particularly as we have evolved the
19 discussion in the morning, that safety issues are critical
20 for allowing regulatory decisions on gene therapy trials.

21 We also were reminded that these kind of
22 preclinical models are critical measures of safety. In
23 other words, just doing sequence analysis, which I think was
24 clear to all of us, is not going to guarantee safety. It
25 doesn't mean it's not important, but is not going to

1 guarantee safety, and so these have become very important.
2 At the same time, insisting that every gene therapy trial
3 have a primate model study is something this committee would
4 have to consider very carefully, as the effect on trying to
5 develop trials in academia would be devastating, if that was
6 required.

7 I think it is really important now to consider
8 these sort of issues, is when is the mouse model equivalent
9 or even better than a monkey model? When is a monkey model
10 required, and if a monkey model is equivalent, what kind of
11 additional information we can get from it? With that, I
12 would like to introduce Dr. Anne Pilaro, who is going to do
13 the FDA introduction on preclinical models.

14 Dr. Pilaro?

15 DR. PILARO: Thank you, Dr. Salomon. I'm going to
16 speak you today about some of the uses of animal models in
17 development of drugs or biologics or gene transfer vectors
18 and focusing today on vectors for gene therapy. The initial
19 steps in the development of a new gene transfer vector can
20 really be broken down into three areas, and that is the
21 characterization of the product, looking at the
22 manufacturing and the quality control issues, some of which
23 we touched on this morning, the demonstration of biologic
24 activity is proof of concept that the vector is doing what
25 you think it does, and safety, and that includes toxicology

1 testing in animals, as well as biodistribution.

2 The purpose of doing the bioactivity studies in
3 preclinical models, especially models of the disease, are
4 really to establish the rationale for conducting the
5 clinical trial in humans. What we really hope to get out of
6 these models is an understanding of the feasibility of the
7 gene transfer, the duration and levels of gene expression
8 and the degree of functional correction that is needed to
9 give an important clinical benefit to the patients.

10 We also hope from the animal studies to be able to
11 optimize the dosing and the regiment for the clinic. What
12 is the optimal schedule for administration of these vectors?
13 What is the optimal route of administration?

14 We also, from doing these preclinical activity
15 studies, frequently get additional information about what
16 species is more appropriate for conducting further testing,
17 including toxicity and biodistribution studies. The goals
18 of the preclinical toxicology testing are really no
19 different from the goals of testing for other biological
20 therapies or drugs.

21 What we want to be able to get out of these
22 studies is to be able to recommend the initial safe starting
23 dose for the clinical trial and a safe dose escalation
24 scheme for humans. We want to be able to identify potential
25 target organs for toxicity, which leads us to be able to

1 identify appropriate parameters to monitor for the clinical
2 trial. For example, if you see a liver toxicity in the
3 animals, you would want to be monitoring patients for serum
4 levels of transaminases. You also want to be able to
5 identify any patient populations that may be at risk from
6 the intervention.

7 Using the hepatic example again, you would want to
8 exclude patients with any previous evidence of hepatic
9 damage. The biodistribution studies, we will hear a little
10 bit more about tomorrow morning, but they're really designed
11 to address two issues, and the first is the dissemination of
12 the vector to the germ line and the potential for any
13 transfer to future progeny.

14 To date, total gonadal tissue has been identified
15 and assayed. It is not been germ cells themselves. The
16 other purpose of doing biodistribution studies is to look at
17 non-target tissues. Does the vector stay where you put it
18 or does it go to a non-target organ and what are the
19 consequences if the gene actually gets there? This gives
20 you information that lets you plan a better toxicology or
21 preclinical safety trial.

22 Both of these issues may be addressed in the same
23 preclinical study. What you want to get when all your
24 preclinical work is done is an idea of what is the
25 relationship of the dose of the vector to the biological

1 activity and what is the relationship of the does to the
2 toxicity and how far apart are those two, what do the curves
3 look like, do they overlap or is there a good separation
4 that gives you a good margin of safety?

5 You want to be able to understand whether the dose
6 or the route of administration or the schedule of
7 administration can affect the toxicity or the bioactivity,
8 and you want to be able to identify the risks for the
9 clinical trial. The bottom line is that animal data are
10 important because prior to availability of human data, the
11 toxicology and the bioactivity or pharmacology data provide
12 the sole source of data on which safety assessments can be
13 made.

14 I want to touch for a minute on some of the models
15 of animal diseases that model human disease that have been
16 very useful and been evaluated in some of the gene transfer
17 studies. These include the Wobbler mice for ALS or Lou
18 Gehrig's disease, the W/WV mice in Fanconi's anemia, the
19 sparse-fur mouse model for the ornithine transcarbamalase
20 (ph.) deficiency, the MDX mice in muscular dystrophy, the
21 cystic fibrosis knockout mouse for CF and several non-gene-
22 modified or non-knockout models that are actually
23 spontaneously occurring, including the Watanabe rabbit for
24 hypercholesterolemia and hemophiliac dogs and various
25 mucopolysaccharide defects in dogs, cats and rats.

1 These models have all been utilized for
2 preclinical efficacy studies and, in some cases, safety
3 studies and have really proved very useful in gene transfer
4 research and forwarding the field. I want to present a
5 couple of case studies now on how preclinical data have
6 allowed clinical trials to go forward and what the different
7 areas have been.

8 The first case study will focus on using an animal
9 efficacy model to support the study of a gene therapy for
10 cancer. The disease is metastatic breast cancer. The gene
11 defect is not really known. It may include several. It may
12 include alterations in BRCA-1 or in c-fos expression or P53
13 down-modulation or others. The clinical outcome is usually
14 very aggressive disease. It is spread through the
15 lymphatics. It mets to the lung and to the chest wall,
16 peritoneal cavity.

17 There is poor response to chemotherapeutics and
18 the median survival is less than 40 percent over a five-year
19 period. The gene therapy approach taken by this particular
20 group is they used a retroviral vector, expressing the
21 multi-drug-resistance-1 or MDR-1 gene. They took CD-34 stem
22 cells, transduced them ex vivo with the retroviral vector,
23 and planned to reinfuse them into patients after serial
24 high-dose chemotherapy.

25 The hypothesis behind this approach is that MDR-1

1 expression by the stem cells will confer resistance to taxol
2 and other marrow-toxic agents, allowing the doctors to give
3 higher doses of these agents and potentially increasing the
4 anti-tumor affect. The preclinical studies in support of
5 this came both from in vitro and in vivo data.

6 The in vitro data were done as proof of concept
7 studies showing that they could get effective gene transfer
8 and resistance to taxol in both murine and human stem cells.

9 What they found in these studies is that there was
10 a higher level of gene transfer observed in the murine than
11 the human stem cells by about 30-to-50-percent higher. They
12 did see no inhibition of cell proliferation or altered cell
13 phenotypes after flow cytometric analysis. They also saw
14 that the gene was functionally active. They used rotamine-
15 123 eflux and flowcytometry as a measure of MDR-1 function
16 and they actually saw that following transduction, they had
17 an increase. Although it is somewhat modest in the human
18 cells, it did support their hypothesis.

19 In vivo studies were then planned, serial bone
20 marrow transplants in lethally irradiated mice with MDR-1
21 gene transduced stem cells and escalating taxol doses were
22 done to select for the MDR-1-positive cells. The safety
23 issue behind this trial was would transfer of the gene or
24 expression of the MDR-1 gene actually affect engraftment or
25 reconstitution or the function of these marrow cells.

1 For this particular study, there was no formal
2 toxicity testing conducted. However, what this group did
3 was they built the toxicology endpoints into the efficacy
4 study and followed it out over the duration of their study.
5 What they saw was there was no adverse effect of the gene
6 transfer on engraftment or on reconstitution of marrow
7 populations.

8 They had a beneficial effect on survival in these
9 mice. They had resistance to escalating doses of taxol.
10 They were actually able to treat these animals at LD-100
11 values for taxol and all the animals survived. There was
12 also support from the literature that this group used that
13 showed that transgenic MDR-1 mice had no adverse effect on
14 engraftment.

15 In this first case study, what we have seen is an
16 animal model of efficacy was also used at the same time to
17 gather safety information, and this gave us a better
18 understanding of what was actually occurring in something
19 close to the clinical setting.

20 In the second case study, I'm going to compare
21 toxicity of vector in monkeys and mice, such as we just
22 heard from Dr. Lyons. The disease is hemophilia-A. The
23 gene defect is a mutation in the Factor VIII gene. This
24 results in either defective or absent Factor VIII
25 production.

1 Clinical outcome, this is an X-linked disease that
2 affects males only. There about 15,000-to-20,000 males in
3 the United States affected. The severe form of the
4 deficiency results in factor levels of less than one percent
5 of normal, and this is associated with increased morbidity
6 and mortality in these patients.

7 Uncontrolled bleeding events can require exogenous
8 Factor VIII to stop them. Patients can be treated
9 prophylactically with IV Factor VIII, but it is very
10 expensive and very difficult to do. They have to be given
11 frequent injections. The repeated bleeds into joints can
12 lead to significant joint disease? Bleeds into the--
13 intracranial bleeding, rather, is fatal, and so there was a
14 need to develop a therapy to treat these patients.

15 The gene therapy approach was to take a mini-
16 adenovirus or gutless adenovirus that we heard from Dr.
17 Chamberlain this morning carrying the Factor VIII CDNA. It
18 is given by IV infusion to target the liver. Preclinical
19 studies showed that about 90 percent of the vector uptake
20 was in the liver after IV dosing. The vector itself
21 contains a liver-specific promoter region, so it is only
22 expressed in hepatocyte tissue.

23 Patients can be monitored for gene presence and
24 for expression by measuring the levels of Factor VIII in the
25 plasma, and this is done by an ELISA assay. The goal of

1 this study was really to see if correction of Factor VIII
2 levels could be achieved in a range that might be
3 therapeutically beneficial, and the hypothesis is that
4 correction to as low as one-to-five-percent of normal could
5 actually lead to an improvement in phenotype.

6 The efficacy data were obtained in hemophiliac
7 mice, the Factor VIII knockout mouse models on a black six
8 background. Doses from 2.4-times-ten-to-the-eleventh to
9 eight-times-ten-to-the-twelfth vector particles per kilo
10 were given IV. Detectable Factor VIII plasma levels were
11 present in the plasma out over six months and the longest
12 mouse actually went out to 247 days. Peak Factor VIII
13 levels were actually present in levels that are therapeutic.
14 They were 164-to-892 nanograms per ml, while the normal
15 level in humans is approximately 200 nanograms per ml.

16 In the hemophilic mice, they had restoration of
17 clotting time to within normal limits. Similar results in
18 terms of the factor levels of production and the duration of
19 expression were also seen in normal C-57 mice or normal
20 bulb-C (ph.) mouse, so on a different background, you got
21 the same effect. The toxicity studies for this particular
22 product were conducted in normal mice. Animals were dosed
23 with four-by-ten-to-the-tenth to four-by-ten-to-the-twelfth
24 vector particles per mouse by IV injection.

25 This is a single injection on day one and they

1 were followed out in this study for 30 days. Toxicities
2 included a transient dose-related decrease in platelets at
3 day four and a dose-related increase in liver enzymes, ALT,
4 in particular, also on day four. This is again similar to
5 what you just saw from Dr. Lyons.

6 On histologic evaluation of the different
7 sacrifice time points, there was minimal microscopic
8 pathology in the liver. We were able to determine that the
9 no-effect level dose for toxicity in this group of mice was
10 four-times-ten-to-the-eleventh vector particles per mouse.
11 This group also did preclinical toxicology studies in
12 cynomolgous (ph.) monkeys using two monkeys per group,
13 three dose levels, separated by half-log. They also, I
14 should point out, used the dose of 4.3-times-ten-to-the-
15 eleventh, which is the maximal dose plan for their clinical
16 trial.

17 Again, they saw transient dose-related increase in
18 platelets and an increase in ALT on study day three.
19 Histology in these animals was not done until study
20 termination at day 30. At that point in time, there was no
21 distinctive toxicity observed in the liver. These livers
22 were no different from the control animals. The no-effect
23 level dose in this group of monkeys was 1.4-times-ten-to-
24 the-twelfth vector particles per kilo.

25 What we actually did was go back and compare the

1 data from the different studies in mouse and in monkey and
2 look across them to see what are the similarities or the
3 differences. This is looking at data from individual
4 animals in terms of monkeys and mean values for different
5 groups across a couple of different studies for the mice.
6 Factor VIII levels were pretty comparable in the mouse
7 studies between the balb-C(ph.), the C-57 and the Factor
8 VIII knockout mouse.

9 The range of Factor VIII levels seen in the GLP
10 tox study was 202-to-490 nanogram per ml. In the two
11 monkeys that actually were at the highest dose group, peak
12 Factor VIII levels were seen of 88 and 24 nanograms per ml,
13 so they did not quite reach the same levels as the mice did.
14 ALT was also elevated in both groups, but this is still
15 within normal limits.

16 Pointing out to the monkeys, the elevation was
17 barely one-and-one-half times over background. The
18 elevation of the mice was a little bit different. There was
19 one outlier that actually had to be discarded because the
20 sample was hemolyzed. The other two animals in that group
21 had elevations that were just outside normal limits or
22 within normal limits.

23 The platelet value is a mean value for three
24 animals in the group, for the mouse, and it was 44,000 at
25 day four and that was the nadir that was reached. At day

1 three in the monkey, the nadir that was reached was 15,000
2 or 34,000, so similar to what was seen in the mouse. When
3 we actually went back and recalculated the no-effect level
4 dose for the mouse, it was previously presented as vector
5 particles per animal. When it was gone back and calculated
6 on vector particles per kilo, it was determined that it was
7 virtually identical to that which was observed in the
8 monkey, 1.6 versus 1.4-times-ten-to-the-twelfth vector
9 particles per kilo.

10 I do want to point out that these data have been
11 publicly presented before at the RAC meeting and we did
12 request permission from Genstar Therapeutics, their
13 representative is in the audience today, and they were
14 gracious enough to allow us to present these data today.
15 The last case study that I want to present to you is how
16 safety data in animal models compare to actual safety data
17 in humans.

18 This is a somewhat old set of information here,
19 but it is still one of the best representative sets of
20 information we've seen with different species, compared to
21 the human. The disease is cystic fibrosis. The gene defect
22 is the mutation in the CFTR gene resulting in defective
23 chloride secretion and defective mucus and bacterial
24 clearance in the lung.

25 The clinical outcome is chronic respiratory

1 infections and digestive disorders, sterility in males and
2 death by the age of 35-to-40, although this is beginning to
3 creep up a little bit. The gene therapy approach for these
4 initial trials in CF was to use an adenoviral vector
5 carrying the CFTR CDNA. The plan was to instill this
6 through a bronchoscope into one lobe of the lung so that if
7 any toxicity was seen, other lobes would be spared.

8 You could also monitor for the presence of the
9 gene in airway epithelial cells by doing brush biopsies and
10 analyzing them by PCR and RT-PCR. In looking at the safety
11 profile for this, animal models of the disease were not
12 appropriate for obtaining information about the pharmacology
13 or the efficacy. The CFTR knockout mouse does not have the
14 same lung pathology as the human does.

15 It has a secondary backup chloride channel in the
16 lung that functions in place of the missing CFTR. As a
17 result, it has the digestive disease, but no lung pathology,
18 so it is not a really useful model to get activity
19 information. Basically, what was done here was in vitro
20 studies were used for efficacy. Safety studies were done in
21 different animal species.

22 The preclinical safety or toxicology studies
23 showed a dose-limiting inflammation in the lungs. This was
24 observed in all species tested, be they rodent or non-human
25 primate. It was dose-related and there was a very sharp

1 threshold. There was only a two-to-five-fold difference
2 between the no-effect level dose and the toxic dose. The
3 original clinical trial was conducted starting off at a dose
4 of two-times-ten-to-the-seventh platforming units, or PFU,
5 of the virus instilled into the lobe of the lung.

6 A dose-limiting inflammatory reaction similar to
7 what was seen in the animals was observed at the next-
8 highest dose level, which was two-times-ten-to-the-ninth
9 PFU. This trial was stopped because of the toxicity and re-
10 evaluation of the data was done at this point in time by
11 both us and by the groups involved.

12 What we basically did was went back and conducted
13 an analysis of all the different species that were used, and
14 what the no-effect level doses were. When we actually
15 looked across the species, the no-effect level dose was
16 between 1.7 and 2.4-times-ten-to-the-ninth platforming units
17 per meter squared. The doses here are normalized to total
18 body surface area, since lung surface area, which would be
19 what we would expect the toxicity to be based on, scales to
20 body surface area, and this is a more appropriate way of
21 comparing the data across the different species.

22 What is important to note is that at this point in
23 time when these data were obtained, the no-effect level
24 doses in the animals were approximately equivalent to the
25 toxic dose that was observed in the human. The toxic dose

1 in the human was 1.2-times-ten-to-the-ninth infectious
2 units, in this case, per meter squared, as compared to the
3 PFUs per meter squared up here.

4 We're right about in the same range and, as I
5 mentioned, there was a very sharp threshold here. What this
6 wound up doing was the clinical trial was reevaluated and
7 then redesigned. A smaller volume was used to treat
8 patients, since that was one of the contributing factors. A
9 second generation E1E3-deleted vector with some small
10 changes in the gene sequence to make it safer was also used.

11 A spray-gun approach, by which the director rather
12 than being instilled into the lung, was actually applied
13 locally in a tiny droplet formation. Single and repeat
14 administration dose cohorts were included in this trial
15 design. The clinical data from this trial showed that a
16 single administration was well-tolerated up to 3 million
17 PFU. It was a dose-related, positive gene transfer.

18 Actually, that should be three-times-ten-to-the-
19 eighth PFU. Repeat administrations were tolerated up to
20 two-times-ten-to-the-ninth PFU per dose. They actually did
21 more higher dose levels in this trial since they had shown
22 in the single-dose levels that they were safe.

23 Gene transfer was positive after the second
24 administration, but not after the third repeat dose in the
25 highest dose group, and this was due to development of

1 antibodies. These data have been published and have been
2 used by permission, as well.

3 In summary, what we can say from the studies is
4 that safety data can be obtained in a well-designed efficacy
5 model where you can answer two questions at once. Mouse
6 studies can provide similar information as studies conducted
7 in monkeys. We have seen that now in two separate
8 instances, and no one species may be predictive of
9 toxicities in humans. Not all toxicities may be seen in all
10 species and even humans may not be predictive of toxicity
11 seen in other humans.

12 Our next three speakers today are going to give us
13 a little more information about preclinical models for gene
14 transfer. Our first speaker, Dr. Estella Jones from the
15 Division of Veterinary Services at CBER, will give us an
16 education on the use of nonhuman primates in biomedical
17 research. Our next speaker will be Dr. Katherine High from
18 the Children's Hospital, Philadelphia, who will talk about
19 answering questions in an animal model of the disease that
20 could not be answered in a normal animal model. Our last
21 speaker will be Dr. Richard Whitley, from the University of
22 Alabama at Birmingham, who will speak to us about the use of
23 aotus monkeys to address the latency issues involved with
24 herpesvirus.

25 I would like to thank you and introduce Dr.

1 Estella Jones.

2 CHAIRMAN SALOMON: Dr. Pilaro, before you sit
3 down, just to make sure that, if we're going to use a
4 jargon, that we are all familiar with it. Can you just be a
5 little more specific as to this no-effect level dose? There
6 were lot of numbers thrown around and you were using it to
7 compare the different models, so please explain that a
8 little better.

9 DR. PILARO: In pharmacology and toxicology
10 jargon, there are actually two no-effect level doses. The
11 NOAEL is no observable effect level dose. This is the dose
12 at which you have no pharmacologic or biologic activity.
13 What I was discussing today, calling the NOAEL, is the
14 NOAEL, or no observable adverse effect level dose. This is
15 the highest dose a vector or drug or biologic, whatever it
16 is that you're studying, that can be given with no
17 discernible toxicity or no difference from control animals.

18 CHAIRMAN SALOMON: You compared them, then, in
19 trying to come up with a way of using this to objectively
20 compare the value of different animal models, you compared
21 the NOAEL--

22 DR. PILARO: We compared the NOAEL, the no
23 observable adverse effect level dose.

24 CHAIRMAN SALOMON: To another parameter that you
25 didn't show on the slides, which was the therapeutic dose.