

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

DRUG SAFETY AND RISK MANAGEMENT
ADVISORY COMMITTEE (DSaRM)
COMMITTEE MEETING

Wednesday, May 5, 2004

8:18 a.m.

CDER Advisory Committee Conference Room.
5630 Fishers Lane
Rockville, Maryland

P A R T I C I P A N T S

DSaRM Committee Members:

Peter A. Gross, M.D., Chair
Shalini Jain, PA-C, M.B.A., Executive Secretary

Michael R. Cohen, R.Ph., M.S., D.Sc.
Stephanie Y. Crawford, Ph.D., M.P.H.
Curt D. Furberg, M.D., Ph.D.
Jacqueline S. Gardner, Ph.D. M.P.H.
Arthur A. Levin, M.P.H.
Henri R. Manasse, Jr., Ph.D.
Robyn S. Shapiro, J.D.
Annette Stenhagen, Dr.PH
Brian L. Strom, M.D., M.P.H.

GI Advisory Committee Members:

Alexander H. Krist, M.D.
Maria H. Sjogren, M.D.

Consultant:

Leslie Hendeles, Pharm.D.

FDA Participants:

Carol Holquist, R.Ph.
Marci Lee, Pharm.D.
Paul Seligman, M.D., M.P.H. [a.m. and p.m.]
Vibhakar Shah, Ph.D.
Eugene Sullivan, M.D.

Mark Avigan, M.D., C.M.
Julie Beitz, M.D.
Robert Justice, M.D., M.S.
Ann Marie Trentacosti, M.D.

C O N T E N T S

AGENDA ITEM	PAGE
Call to Order and Opening Remarks, Introduction of Committee - Peter Gross, M.D., Chair, DSaRM	5
Conflict of Interest Statement - Shalini Jain, PA-C, M.B.A., Executive Secretary, DSaRM	8
Opening Remarks - Paul Seligman, M.D., M.P.H., Director, Office of Pharmacoepidemiology & Statistical Science (OPSS) and Acting Director, Office of Drug Safety (ODS)	11
FDA Presentations	
- Permeability of LDPE Vials: A Clinical Perspective - Eugene Sullivan, M.D., Deputy Director, Division of Pulmonary Drug Products.	13
- Medication Errors and Low-Density Polyethylene (LDPE) Plastic Vials - Marci Lee, Pharm.D., Safety Evaluator, Division of Medication Errors and Technical Support	23
- LDPE Vials for Inhalation Drug Products: A Chemistry, Manufacturing, and Controls (CMC) Perspective - Vibhakar Shah, Ph.D., Chemist, Division of New Drug Chemistry II	36
Questions from Committee	55
Industry Presentations	
- Container Labeling Options using rommelag Blow-Fill-Seal Technology - Mohammad Sadeghi, V.P., Research/Development, Holopack International Corp.	65
- Labeling of LDPE Containers: Options for Improving Identification for Prevention of Medication Errors - Rick Schindewolf, V.P. & GM, Biotechnology & Sterile Life Sciences, and Patrick Poisson, Director of Technical Services, Biotechnology & Sterile Life Sciences, Cardinal Health	90
- American Association of Respiratory Therapy Care - Karen Stewart, M.S., R.R.T.	93
Questions from Committee	94

C O N T E N T S (Continued)

Open Public Hearing	106
Introduction of LDPE Issues Paul Seligman, M.D., M.P.H.	118
Committee Discussion	118
Committee Discussion (Continued)	182
Opening Remarks and Reintroduction of Advisory Committee - Peter Gross, M.D., Chair	182
Conflict of Interest Statement - Shalini Jain, PA-C., M.B.A.	185
Introduction of Lotronex Issue - Paul Seligman, M.D., M.P.H.	187
Open Public Hearing	189
Sponsor Presentation	
Risk Management Program for Lotronex - Craig Metz, Ph.D., V.P., U.S. Regulatory Affairs, GlaxoSmithKline	206
FDA Presentation	
Lotronex Update - Robert Justice, M.D., M.S., Director, Division of Gastrointestinal and Coagulation Drug Products	241
Questions from Committee	248
Adjourn	298

1 P R O C E E D I N G S

2 DR. GROSS: Good morning. I'm Peter
3 Gross. I'm Chair of the Drug Safety and Risk
4 Management Committee, and starting with the person
5 at my left with that famous laugh, Brian Strom,
6 would you please introduce yourself?

7 DR. STROM: Thank you. I'm Brian Strom
8 from the University of Pennsylvania.

9 MS. JAIN: You know what? Before we go
10 on, Brian, Peter and the rest of the committee as
11 well as the division wanted to say a warm thank-you
12 for serving on our committee. You've been a great
13 asset for a year and a half, and we realize that
14 you're going to continue as consultant, and we just
15 wanted to say thanks.

16 DR. STROM: It's been a real pleasure, and
17 it was a hard decision to let the rotation happen.
18 I've enjoyed it, but given other commitments back
19 home--but it's been fun.

20 MS. JAIN: Thank you.

21 DR. GROSS: You've been great, Brian. We
22 will continue to take advantage of your skills.

1 DR. MANASSE: My name is Henri Manasse.
2 I'm chief executive officer and executive vice
3 president of the American Society of Health-System
4 Pharmacists, a membership organization that
5 represents about 32,000 pharmacists practicing in
6 hospitals and organized health systems.

7 MS. SHAPIRO: Robyn Shapiro. I'm a
8 professor and director of the Center for the Study
9 of Bioethics at the Medical College of Wisconsin.

10 DR. STEMHAGEN: I'm Annette Stenhagen.
11 I'm Vice President of Strategic Development at
12 Covance, a contract research organization, and I
13 serve as an industry representative to this
14 committee.

15 DR. GARDNER: Jacqueline Gardner,
16 University of Washington, Department of Pharmacy.

17 MR. LEVIN: Art Levin, Center for Medical
18 Consumers, and I serve as the consumer
19 representative.

20 DR. FURBERG: Curt Furberg, professor of
21 public health sciences at the Wake Forest
22 University.

1 DR. HENDELES: I'm Leslie Hendeles. I'm a
2 clinical pharmacist at the University of Florida,
3 and I've done research on the bronchospastic
4 effects of preservatives in nebulizer solutions.

5 DR. CRAWFORD: Good morning. Stephanie
6 Crawford, associate professor, College of Pharmacy,
7 University of Illinois at Chicago.

8 DR. COHEN: Mike Cohen, Institute for Safe
9 Medication Practices.

10 DR. SELIGMAN: Paul Seligman, Director,
11 Office of Pharmacoepidemiology and Statistical
12 Science, Center for Drug Evaluation and Research,
13 FDA.

14 DR. SULLVAN: My name is Gene Sullivan.
15 I'm the Deputy Director of the Division of
16 Pulmonary and Allergy Drug Products here at FDA.

17 MS. HOLQUIST: I'm Carol Holquist. I'm
18 the Director of the Division of Medication Errors
19 and Technical Support in the Office of Drug Safety,
20 Center for Drug Evaluation and Research.

21 DR. LEE: Marci Lee, a pharmacist and
22 safety evaluator in the Division of Medication

1 Errors and Technical Support.

2 MS. JAIN: Thank you, everyone. My name
3 is Shalini Jain. I'm the Executive Secretary for
4 the Drug Safety and Risk Management Advisory
5 Committee. I'll now read the conflict of interest
6 statement for the meeting today. The meeting issue
7 is low-density polyethylene vials.

8 The following announcement addresses the
9 issue of conflict of interest with respect to this
10 meeting and is made a part of the record to
11 preclude even the appearance of such at this
12 meeting.

13 Based on the agenda, it has been
14 determined that the topics of today's meeting are
15 issues of broad applicability, and there are no
16 products being approved at this meeting. Unlike
17 issues before a committee in which a particular
18 product is discussed, issues of broader
19 applicability involve many industrial sponsors and
20 academic institutions.

21 All special government employees have been
22 screened for their financial interests as they may

1 apply to the general topics at hand. To determine
2 if any conflict of interest existed, the agency has
3 reviewed the agenda and all relevant financial
4 interests reported by the meeting participants.
5 The Food and Drug Administration has granted
6 general matters waivers to the special government
7 employees participating in this meeting who require
8 a waiver under Title 18, United States Code,
9 Section 208.

10 A copy of the waiver statements may be
11 obtained by submitting a written request to the
12 agency's Freedom of Information Office, Room 12A-30
13 of the Parklawn Building.

14 Because general topics impact so many
15 entities, it is not prudent to recite all potential
16 conflicts of interest as they apply to each member,
17 consultants, and guest speaker.

18 FDA acknowledges that there may be
19 potential conflicts of interest, but because of the
20 general nature of the discussion before the
21 committee, these potential conflicts are mitigated.

22 With respect to FDA's invited industry

1 representative, we would like to disclose that Dr.
2 Annette Stenhagen is participating in this meeting
3 as an industry representative, acting on behalf of
4 regulated industry. Dr. Stenhagen is employed by
5 Covance Periapproval Services, Incorporated.

6 In addition, we would like to note that
7 Karen Stewart, FDA's invited guest speaker, is
8 participating as a representative of the
9 respiratory therapists in the United States through
10 the American Association for Respiratory Care. She
11 has no financial interest in or professional
12 relationship with any of the products or firms that
13 could be affected by the committee's discussions.

14 With respect to the three invited industry
15 guest speakers, we would like to disclose that
16 Mohammad Sadeghi is employed by Holopack
17 International, Richard Schindewolf is employed by
18 Cardinal Health and is vice president and general
19 manager of Biotechnology and Sterile Life Sciences.
20 Patrick Poisson is employed by Cardinal Health, and
21 he serves as Director of Technical Services at the
22 Biotechnology and Sterile Life Sciences division.

1 In the event that the discussions involve
2 any other products or firms not already on the
3 agenda for which FDA participants have a financial
4 interest, the participants' involvement and their
5 exclusion will be noted for the record.

6 With respect to all other participants, we
7 ask in the interest of fairness that they address
8 any current or previous financial involvement with
9 any firm whose product they may wish to comment
10 upon.

11 Thank you.

12 x DR. SELIGMAN: Good morning. On behalf of
13 the Center for Drug Evaluation and Research, it is
14 my pleasure to welcome members of the Drug Safety
15 and Risk Management Advisory Committee and members
16 of the public to today's meeting. As always, we
17 greatly appreciate the time and efforts devoted by
18 the committee members and all participants in
19 providing advice to the FDA on important public
20 health issues.

21 We have two topics on the agenda for
22 discussion today--the first related to the

1 prevention of medication errors and the second
2 providing an update on a risk management program
3 that was considered by this committee two years ago
4 and was implemented in 2002.

5 The first topic will focus primarily on
6 minimizing the incidence of medication errors with
7 drug products packages in low-density polyethylene,
8 or LDPE, containers. The package is intended to
9 preserve drug product purity and quality. However,
10 current techniques used to label the product create
11 problems related to legibility of the product name
12 and strength. Additionally, various products are
13 packaged in containers that look similar. We've
14 found that these difficult-to-read labels and
15 look-alike containers have contributed to
16 medication errors involving the administration of
17 wrong dosage strength or wrong drug product to the
18 patient.

19 Today, we would like to discuss what other
20 solutions or alternative packaging designs exist
21 that could improve the legibility of the label,
22 prevent ingress of chemical contaminants, and in

1 the process reduce or eliminate medication errors.
2 Then later this afternoon, we will receive an
3 update on the Lotronex risk management program.

4 With that brief introduction, I look
5 forward to our discussions today and, again, I also
6 want to personally thank Dr. Strom for his service
7 on this committee.

8 With that, I guess we may proceed with the
9 first speaker. Dr. Gross?

10 DR. GROSS: Dr. Sullivan will be the first
11 speaker on the Permeability of LDPE Vials: A
12 Clinical Perspective.

13 DR. SULLIVAN: Good morning. As I
14 mentioned, my name is Gene Sullivan. By training
15 I'm a pulmonologist, and I'm the Deputy Director of
16 the Division of Pulmonary and Allergy Drug Products
17 in the Center for Drug Evaluation and Research here
18 at FDA.

19 This morning, I'm going to spend about 15
20 minutes or so providing some background for the
21 discussions today. I'll be conveying some clinical
22 observations regarding issues raised by the use of

1 LDPE vials in the packaging of inhalation drug
2 products, particularly as it relates to the
3 permeability of the vials.

4 This slide provides an overview of my
5 presentation. I'll begin with some introductory
6 remarks which will put my presentation into the
7 context of today's discussions and will serve to
8 introduce the remainder of the talk. Next I will
9 discuss the inhalation drug products that are
10 involved, providing some examples and a brief
11 description of the nature of these drugs.
12 Following this, I will discuss the patient
13 populations for which these drugs are used,
14 emphasizing aspects of these populations that put
15 them at risk for adverse effects of chemical
16 contaminants. Then I will discuss the potential
17 sources of chemical contaminants, their potential
18 adverse effects, and the difficulties that exist in
19 terms of adequately monitoring for them. Finally,
20 I will summarize the issue and current state of
21 affairs in order to set the stage for the remainder
22 of today's discussion regarding minimizing the

1 potential for medication errors.

2 The topic for discussion for today's
3 Advisory Committee meeting is how best to minimize
4 the potential for medication errors associated with
5 LDPE containers, particularly given the clinical
6 concerns related to their permeability and the
7 resulting move away from the paper labels that have
8 previously been used to identify the products. My
9 presentation is intended to review the nature of
10 these clinical concerns in order to provide
11 background for the remainder of the discussions
12 today.

13 This slide summarizes the clinical
14 concerns that I mentioned. Many inhalation drug
15 products are packaged in LDPE containers. LDPE is
16 a material that is permeable to volatile chemicals,
17 and there are numerous volatile chemicals that
18 exist in the immediate packaging environment.
19 Volatile chemicals that find their way into
20 inhalation solutions may have a number of adverse
21 effects on the airways, and because these adverse
22 effects may be poorly tolerated by patients,

1 efforts should be made to minimize the potential
2 for contamination of inhalation drug products.
3 Such efforts have included minimizing the content
4 of volatile chemicals in the immediate packaging
5 environment.

6 For instance, the practice of using paper
7 labels, which are applied directly to the LDPE
8 containers and which contain numerous volatile
9 chemicals, is not recommended. However, as you
10 will see in subsequent presentations, the use of
11 alternative labeling approaches has raised the
12 issue of medication errors.

13 Now, I also want to point out that my
14 presentation is focused on the clinical concerns
15 related to chemical contamination of these
16 products. In the next presentation, Dr. Shah will
17 also talk about product quality concerns. For
18 instance, ingress of volatile chemicals might
19 adversely affect the stability of the active drug
20 substance in a particular drug product.

21 This slide provides some examples of
22 inhalation drug products that are packaged in LDPE

1 containers. They include bronchodilators, such as
2 Albuterol, Ipratropium, Metaproterenol, and
3 Levalbuterol; also a mast cell stabilizer, cromolyn
4 sodium; an inhaled steroid, Budesonide; and an
5 antibiotic, Tobramycin.

6 These products are inhalation solutions,
7 or sometimes suspensions, that are intended for
8 oral inhalation using a nebulizer. One thing to
9 keep in mind is that the manufacturing processes
10 and materials for inhalation products are very
11 carefully controlled in order to maintain a very
12 high standard of product purity. That is, a
13 significant amount of attention is paid to the
14 manufacturing processes and the materials used so
15 that the content of contaminants is minimized.
16 This would include contaminants that arise during
17 the manufacturing processes, so-called process of
18 synthetic impurities; contaminants that arise due
19 to degradation of components of the formulation; or
20 the subject of today's concern, contaminants that
21 enter the formulation from the packaging materials,
22 so-called leachables.

1 These drugs may be used in a regular
2 dosing schedule or may be used as an as-needed
3 basis, and the bronchodilator products in
4 particular are common used in the inpatient and
5 acute-care settings, including emergency
6 departments and intensive care units.

7 These inhalation products are used by
8 patients with a variety of pulmonary disorders,
9 most commonly patients with asthma, COPD--which is
10 chronic obstructive pulmonary disease, a category
11 of lung disease comprised of chronic bronchitis and
12 emphysema--and cystic fibrosis. Although these
13 diseases are distinct, in general they are
14 characterized by fixed or variable obstruction to
15 airflow and a variety of patterns of histologic
16 abnormalities, including various patterns of airway
17 inflammation. In addition, asthma in particular is
18 associated with an underlying propensity for
19 allergic responses. And most of the diseases are
20 associated with a sensitivity to nonspecific
21 irritants which result in acute bronchospasm, a
22 feature known as airway hyperresponsiveness.

1 To focus specifically on asthmatics for a
2 moment, asthmatics may react adversely to both
3 nonspecific chemical irritants and to allergens to
4 which they have developed specific immunity.
5 Irritant reactions are characterized by symptoms of
6 wheezing and shortness of breath. It is well known
7 that patients with severe asthma may react to very
8 low levels of exposure to irritants. Clinically,
9 this is often related to perfumes, cleaning agents,
10 or smoke in the environment. In fact, we commonly
11 make use of this feature of asthma to help
12 establish the diagnosis using methacholine
13 challenge testing. In the methacholine challenge
14 test, patients with suspect asthma are exposed to
15 successively higher concentrations of this irritant
16 in order to elicit bronchospasm.

17 In addition to the nonspecific irritant
18 reactions, asthmatics may also develop bronchospasm
19 from inhaled allergens. This allergic reaction is
20 associated with both an acute early-phase broncho-
21 constriction and a delayed late-phase response
22 characterized by airway inflammation and airflow

1 limitation.

2 So what are the potential sources of
3 contaminants in inhalation drug products packaged
4 in LDPE? In general, these are from volatile
5 chemicals found in the labels and secondary bulk
6 packaging. These chemicals may be found in the
7 various glues, inks, and lacquers that are used.
8 One thing to point out is that the specific
9 chemical nature of these inks, glues, et cetera,
10 may, in fact, change after approval due to changes
11 in the sources of these packaging materials.

12 The FDA conducted an analytical survey of
13 approved inhalation solutions marketed in LDPE
14 containers and found that 29 of the 37 samples
15 tested positive for various volatile chemicals that
16 were presumed to have originated in the packaging
17 materials. Dr. Shah will describe this analysis in
18 much more detail in his presentation later this
19 morning.

20 Chemical contaminants in inhalation drug
21 products may be associated with a variety of
22 adverse effects, including irritant and immunologic

1 effects, leading to acute bronchospasm and airway
2 inflammation and hyperresponsiveness, other toxicologic
3 injury, or even potentially carcinogenicity.

4 In terms of monitoring for adverse effects
5 that might be attributed to chemical contaminants
6 in these products, it is important to note that
7 appropriate attribution may be very difficult
8 because the expected adverse effects--bronchospasm
9 and airway hyperresponsiveness--mimic the symptoms
10 for which the drugs are being used. This is a very
11 difficult circumstance and makes it quite likely
12 that adverse effects would not be recognized and
13 reported. For instance, modest bronchospasm
14 related to chemical contaminants might lead to
15 reduced efficacy of the drug, but this would likely
16 not be identified. Even if the adverse effect were
17 more significant, the findings would likely be
18 attributed to refractory underlying disease.

19 So, to summarize, many inhalation drug
20 products are packaged in low-density polyethylene
21 containers. This material is permeable to volatile
22 chemicals. Numerous volatile chemicals exist in

1 the immediate packaging environment.

2 Various volatile chemicals have, in fact,
3 been identified in these products. These volatile
4 chemicals may have irritant as well as other
5 toxicologic effects. And because these effects may
6 be particularly poorly tolerated by patients,
7 efforts should be made to minimize the potential
8 for contamination of inhalation drug products.

9 It was this line of reasoning that in part
10 led to the development of the Draft Guidance
11 entitled "Inhalation Drug Products Packaged in
12 Semipermeable Container Closure Systems." Among
13 other things, the Draft Guidance recommends that
14 measures be taken to limit chemical contamination
15 of these products. One such measure would be the
16 use of alternative approaches to paper labels, such
17 as direct embossing or debossing of the containers.

18 However, as will be discussed in
19 subsequent presentations, the move away from paper
20 labels has introduced a new concern, that of
21 medication errors due to difficult-to-read and
22 look-alike packaging. The issue of how best to

1 minimize the potential for medication errors will
2 be the topic for today's discussion.

3 DR. GROSS: Thank you, Dr. Sullivan.

4 The next speaker will be Shah.

5 MS. JAIN: He is not here.

6 DR. GROSS: Okay. Later for Dr. Shah.

7 Dr. Marci Lee will now talk about
8 medication errors and low-density polyethylene
9 plastic vials.

10 DR. LEE: Good morning. My name is Marci
11 Lee. I am a pharmacist and safety evaluator in the
12 Division of Medication Errors and Technical Support
13 in the Office of Drug Safety.

14 The purpose of this presentation is to
15 describe medication error reports and feedback from
16 patients and practitioners involving products
17 packaged in LDPE containers. I will focus on some
18 factors we identified that may contribute to
19 confusion and errors with these products. Finally,
20 I will describe packaging and labeling approaches
21 for your consideration.

22 Our error analysis included in your

1 background package was from 87 relevant reports.
2 These came from patients, caregivers, and
3 practitioners, such as respiratory therapists and
4 pharmacists, who reported to the programs listed.
5 These reports were received between January 1993
6 and August 2002. Many reports involved difficulty
7 reading embossed product containers. Some reports
8 were actual errors where the wrong medication or
9 the wrong dosage strengths were dispensed.
10 Although some of these were detected before the
11 medication was administered to the patient, some
12 were not. The outcomes of these reports ranged
13 from no harm to difficulty breathing, which can be
14 life-threatening. The remainder of the reports
15 described the potential for confusion and errors
16 with these products. Subsequently, as of April
17 2004, 51 additional relevant medication error
18 reports were identified for a total of 138 reports.

19 In addition to our analysis, FDA received
20 correspondence from ISMP, USP, and Senator Harkin
21 regarding the safe use of products packaged in LDPE
22 containers.

1 Several themes emerged from the narratives
2 of the medication error reports as factors that can
3 contribute to errors. They include
4 difficult-to-read containers, look-alike packaging,
5 and routine handling of LDPE by patients and health
6 care practitioners.

7 Some of the slides for this portion of the
8 presentation will include direct quotes from the
9 error reporters. The first contributing factor to
10 consider is the difficult-to-read labeling.
11 Concern was expressed in a medication error report
12 because it is difficult to see the name of the drug
13 and its ingredients. Another person noted that if
14 the lot and expiration date are on opposite sides
15 of the same area of plastic, it is even more
16 difficult to read. In addition, practitioners
17 described how the vials needed to be angled in the
18 light to read them. For some, the text is
19 difficult or impossible to read.

20 In addition to difficult-to-read
21 containers, another concern from the medication
22 error perspective is the issue of look-alike

1 packaging. Often there is very little on the
2 container itself to help people distinguish these
3 products.

4 This photo accompanied one medication
5 error report. It highlights the potential for
6 confusion from look-alike vials from just a few of
7 the products available in these containers. Almost
8 all of these vials contain a different drug
9 product. The paper labels and the unique round
10 vial shape help to differentiate three of the vials
11 from the rest. However, these two can be difficult
12 to read.

13 In addition, this problem spans various
14 drug classes and routes of administration. This
15 complicates the picture for practitioners and
16 creates the opportunity for errors to occur among
17 inhalation, injection, ophthalmic, and oral
18 products.

19 In this case, heparin is an injectable
20 medication. This photo was included with the
21 report of potential for confusion between heparin
22 and Tobramycin due to look-alike containers.

1 Pharmacies may store a variety of these products,
2 and the potential for confusion will likely
3 increase as we see more products other than
4 inhalation solutions packaged in the LDPE
5 containers. This increases the likelihood for
6 administration of the wrong drug product by the
7 wrong route of administration.

8 Another example of an injectable drug
9 product with similar packaging is Naropin. These
10 ampules are specially design to fit both Luer lock
11 and Luer slip syringes. Although this feature may
12 minimize the likelihood for confusion with the
13 other LDPE containers, there is still potential for
14 confusion between the dosage strengths within the
15 Naropin product line. This vial includes black
16 type on a clear background. Again, for some this
17 may be difficult to read.

18 Timoptic OCUDOSE is an example of an
19 ophthalmic solution packaged in an LDPE container.
20 This image shows that the tip of the container has
21 been extended to allow for a label. However, there
22 may be potential for contamination despite the

1 placement of this label.

2 Gastrocom is an example of a product for
3 oral administration that is packaged in an LDPE
4 container. This image illustrates the instructions
5 for use.

6 In summary, there are least four different
7 routes of administration for products packaged in
8 LDPE containers. Again, this complicates the
9 picture for practitioners and creates the
10 opportunity for errors to occur among inhalation,
11 injection, ophthalmic, and oral drug products.

12 We have discussed several issues that
13 contribute to medication errors with LDPE
14 containers. We have seen examples of containers
15 that are difficult to read and difficult to
16 distinguish from one another. We have noted that
17 the look-alike contains look-alike containers are
18 not from a single drug product category or
19 associated with a single route of administration.
20 Now we will explore how routine handling of LDPE
21 containers by patients and practitioners can
22 contribute to errors.

1 The foil overwrap serves to protect the
2 containers from light and the environment. It is
3 recommended that the containers are stored in the
4 foil overwrap until time of use. However, the
5 reality is that the foil overwraps are commonly
6 discarded. Once discarded, the clearly labeled
7 portion of the packaging is often eliminated.

8 One reason noted in our analysis for the
9 overwrap to be removed is an effort to fit the
10 products into a medication cart. The foil overwrap
11 and carton for many inhalation solutions use color
12 to differentiate the dosage strength. Most foil
13 overwraps contain multiple unit dose LDPE vials.
14 For example, the foil overwrap for Xopenex contains
15 12 vials.

16 Carol, if you'll pass the sample?

17 This image includes the 12 vials which are
18 contents of a single foil pouch of Xopenex. All of
19 the vials in this image are the same dosage
20 strength. However, Xopenex is available in three
21 different dosage strengths. The vials for all
22 three strengths look alike when they are removed

1 from the foil. Although the foil helps to
2 differentiate them, it is possible that these vials
3 may not remain in the foil pouch until their time
4 of use. These individual LDPE containers can be
5 stored in a variety of places once removed from the
6 foil overwrap.

7 It is a common practice for LDPE
8 containers to be stored in the pockets or pouches
9 of the practitioners who administer these
10 medications. In summary, while it is possible for
11 various products to have clearly marked foil
12 overwraps, as long as the containers themselves are
13 poorly marked there is still potential for
14 confusion.

15 Once the container leaves the foil
16 overwraps, it no longer matters how well labeled
17 the foil pouch is. This is a concern, regardless
18 of the number of vials contained in the foil
19 overwrap. However, a single container in the foil
20 pouch may minimize the likelihood for the vial to
21 become separated from the overwrap.

22 At this point we would like to stimulate

1 ideas for discussion about how to address the
2 issues that have been raised so far. The remainder
3 of this presentation will include a series of
4 photos. These images will highlight various
5 packaging and labeling approaches to consider.
6 Remember to keep in mind who will be using the
7 products and how they will be used. Our goal is to
8 identify packaging that will resolve our concerns
9 but not introduce any new problems for those who
10 manufacture or use the products.

11 The paper label approach allows for use of
12 color to distinguish look-alike vials. For some,
13 these may difficult to read due to the small font
14 size of the text. The reports in our analysis
15 demonstrated that some people may identify these
16 medications by the color of their label alone.
17 Based on the earlier presentation, we learned of
18 the potential safety and product quality concerns
19 with this approach for inhalation solutions.

20 Although this packaging no longer appears
21 to be used for Timoptic, this image illustrates
22 another approach with paper labels. The paper

1 label is applied to the tip of the container. The
2 packaging allows for use of color to differentiate
3 the containers and dosage strengths. However, it
4 may not address the potential for ingress.

5 Again, consider the size of the label and
6 the potential font size issues which may make the
7 text difficult to read.

8 We have a sample of this also going
9 around.

10 Here is an approach that extends the tip
11 of the container to allow for the text to be
12 embossed in the flange instead of the body of the
13 vial. This approach allows for more space for
14 printed text; however, if both sides are embossed,
15 they tend to interfere with the readability of the
16 text.

17 In contrast, this approach includes an
18 embossed container without an extended flange. In
19 addition, the container is topped with the letter
20 V-shaped tip. In this case, V is for Ventolin.
21 This approach allows for use of the unique vial
22 shape and possibly texture to help differentiate

1 the product.

2 Another approach used to differentiate the
3 various products in LDPE vials is the use of the
4 embossed letters A, I, and R at the tip of the
5 container. In addition to a visual cue, the vial
6 makes use of texture to distinguish the products.
7 A is for Albuterol, I is for Ipratropium, and so on.
8 Again, for some this is difficult to read.

9 One approach that has contributed to
10 medication errors with acetylcysteine is the use of
11 a glass vial. The packaging has led to medication
12 errors where practitioners inject the product
13 instead of administering the drug via inhalation
14 because the vials look similar to those that
15 contain an injectable product. According to the
16 May 30, 2001, ISMP newsletter article, these error
17 occur despite warnings on the label that state "Not
18 for injection" or "For inhalation." In addition,
19 they have a target area on the rubber stopper
20 similar to the injectable products.

21 Another approach used to distinguish these
22 products includes the use of a uniquely shaped

1 container. Although these round vials distinguish
2 Pulmicort from other drug products, it is difficult
3 to differentiate between the two dosage strengths
4 of Pulmicort once they are removed from the foil.
5 The image on the right illustrates what the
6 containers look like once the foil overwrap is
7 removed.

8 Some products, such as sodium chloride
9 inhalation solution, utilize a tinted vial as a
10 means of differentiation. This approach allows for
11 the use of color to help differentiate the
12 containers from other products. However, this
13 particular packaging has not been evaluated by CDER
14 at FDA. These vials also include embossed text.

15 Another approach is the shrink wrap
16 approach which allows for the combination of
17 embossed information on the end of the vial and the
18 use of black print on a clear background. Again,
19 for some this may be difficult to read. The
20 printed portion of this label clings to the vials
21 without adhesives, eliminating one potential source
22 of packaging contamination. However, there are

1 still sources of volatile chemicals with the shrink
2 wrap approach.

3 There's also a sample of this going
4 around. The individual foil overwrap approach was
5 described in the Draft Guidance that Dr. Sullivan
6 referred to in his presentation. This method will
7 protect the drug product from contamination from
8 the environment and minimize the opportunity for
9 contamination from the packaging itself.

10 Each foil overwrap contains a single vial.
11 This is thought to increase the likelihood of the
12 pouch staying with the container and minimize the
13 risk for errors. The overwrap allows for the use
14 of color and other means of differentiation to help
15 distinguish these products.

16 At this time we are seeking other ideas
17 and approaches to consider. What other materials
18 could we use? What has been done for other
19 products? What will meet the needs of those using
20 the products in both the inpatient and outpatient
21 setting? How should FDA evaluate any proposed
22 changes?

1 Also ask yourself, Will it prevent
2 contamination from secondary packaging in the
3 environment? Will it be difficult to read? Will
4 it look like other containers? Will it create new
5 problems? Will it be difficult to use? And,
6 finally, should inhalation products be handled
7 separately from products with other routes of
8 administration? We look forward to hearing your
9 ideas and suggestions.

10 DR. GROSS: Okay. To round out the
11 presentations, Dr. Shah will talk about the
12 perspective for chemistry, manufacturing, and
13 controls.

14 DR. SHAH: Good morning. My name is
15 Vibhakar Shah, and I'm a chemist in the Office of
16 New Drug Chemistry for Pulmonary and Allergy Drug
17 Products. Before I start, I would like to
18 apologize for my delay. I was stuck in traffic for
19 almost one and a half hours. Let me tell you, it's
20 not a pleasant experience. But, in any case,
21 that's life. And I'm sure when we move to White
22 Oak it's going to get worse.

1 [Laughter.]

2 DR. SHAH: You were supposed to hear this
3 talk before Marci's talk, but, anyway, here it
4 goes.

5 You already heard from Dr. Sullivan the
6 clinical concerns arising due to the permeability
7 of LDPE vials, especially when used with paper
8 labels for inhalation drug products, and also you
9 heard some of the medication errors which are
10 caused because of legibility issues with the paper
11 labels. And I'm going to talk about in the next 20
12 minutes regarding the problems and issues with
13 product quality concerns arising due to the use of
14 LDPE containers, with or without paper labels and
15 with or without overwrap, for these drug products.

16 In the context of today's discussion, my
17 presentation will also focus on how best to
18 minimize the potential medication errors given the
19 quality concerns associated with these container
20 closures.

21 With that, this slide gives you the
22 outline of my talk. I'm going to start with a

1 brief introduction to the type of inhalation drug
2 products that are packaged in LDPE containers, and
3 after that I'll be overiewing the current
4 container-closure systems that are used. Following
5 that, I would like to discuss the results of an
6 analytical survey conducted by the agency for
7 several inhalation drug products under the Drug
8 Product Quality Surveillace Program. This survey
9 particularly identified the clinical concerns as
10 well as the quality concerns arising from the drug
11 product contamination by packaging components
12 because of the permeability of LDPE.

13 Following that, I would like to discuss
14 some of the quality concerns arising with the use
15 of LDPE vials, with or without paper label and foil
16 overwrap. I will discuss the agency's current
17 approaches to control and minimize the product
18 contamination from packaging components and discuss
19 current recommendations for packaging of inhalation
20 drug products as provided in the Draft Guidance.
21 And I will end my presentation with summarizing the
22 quality concerns, what I have discussed so far.

1 This slide lists the inhalation dosage
2 forms administered by oral inhalation, and these
3 drug products include inhalation solutions,
4 suspensions, spray, inhalation aerosol, and
5 inhalation powder. However, for today's
6 discussion, the remainder of the talk will focus on
7 inhalation solutions and suspensions as they are
8 the only two dosage forms that are packaged in LDPE
9 containers.

10 This slide you have already seen in Dr.
11 Sullivan's presentation. It just shows the type of
12 drug products which are packaged into LDPE
13 containers.

14 Currently, inhalation solutions and
15 suspensions are packaged in LDPE vials, and there
16 are three components, basically: LDPE vials, vial
17 labels, and foil overwrap pouch. Not all the
18 inhalation solutions and suspensions may have foil
19 overwrap pouch or adhesive paper label. But in any
20 case, the unit-dose vial--that is, the LDPE
21 vial--is made up of low-density polyethylene by
22 blow-fill-seal or form-fill-seal process. The

1 labeling information on a vial is conveyed either
2 by a self-adhesive printed paper label or by
3 embossing or debossing the labeling information on
4 the LDPE vial itself during the fabrication of the
5 vial.

6 Foil overwrap acts as a protective
7 secondary package and may contain anywhere from one
8 to 12 vials per pouch. The labeling information
9 may be conveyed by a self-adhesive paper label on
10 the foil overwrap, or the foil overwrap may be
11 printed. Furthermore, different colors for foil
12 pouches may be used to differentiate the multiple
13 strengths of the drug product.

14 Now, let me go over the container-closure
15 components of the LDPE vial, paper label, and
16 foil-laminate. I'll start the LDPE vial.

17 The unit-dose vial, which is made up of
18 low-density polyethylene, is chemically a
19 polyethylene homo-polymer resin. The polyethylene
20 resin is made by polymerization process and may
21 contain several chemical additives in addition to
22 the reactant polymer. They include chain transfer

1 agent, chain initiator, antioxidant, so on and so
2 forth.

3 Furthermore, it is available in different
4 grades for different applications. That indicates
5 that the composition of the LDPE may change
6 depending upon how it is being used. There are
7 many manufacturers and suppliers of this LDPE.

8 T1B This slide lists some of the 8
9 characteristics and properties offered by LDPE or
10 LDPE vials which probably makes it a material of
11 choice for packaging of inhalation solution and
12 suspensions from a manufacturer's point of view.
13 These include: they are flexible and malleable;
14 stress crack, impact, and tear resistant; they are
15 considered chemically inert at room temperature; or
16 it may be used at elevated temperature for extended
17 periods of time; or it can be sterilized. They are
18 used on high-speed production lines and,
19 aesthetically, they can be clear to translucent in
20 appearance.

21 However, it is permeable to volatile
22 chemicals and gases, and because of this

1 permeability, there are several quality concerns
2 which I'll be discussing later in my talk.

3 The next I would like to talk about is the
4 paper label, the components of a self-adhesive
5 paper label and how it may contribute to the
6 quality concerns of inhalation solutions and
7 suspensions.

8 Typically, a paper label consists of a
9 base paper, adhesive, inks, pigments and dyes,
10 varnishes, over-lacquer, et cetera, and depending
11 upon the application, the base paper may contain or
12 may be treated with all or many of the chemicals
13 that I have listed here.

14 Adhesive is the layer which comes in
15 immediate contact with the LDPE vial when it is use
16 with self-adhesive paper labels. This slide lists
17 typical chemical composition of an adhesive. This
18 is not an all-inclusive list. There are many more
19 proprietary chemicals used in the formulation of
20 these adhesives. Depending upon the physical
21 chemical properties of these chemicals, that is to
22 say, volatility, they may permeate through the LDPE

1 vial into the drug product.

2 I have listed here some of the
3 over-lacquer components. Over-lacquer is an
4 evaporative(?) coating which is typically comprised
5 of chemicals such as plasticizers, resins, (?)
6 solvents, diluents, surfactants, and many more.
7 Some of these chemicals are proprietary in nature.
8 Over-lacquer, or varnish, may be used for a
9 transparent glassy appearance of the label, also a
10 stabilizer for the print work and art work, or it
11 can be used as a protective barrier to the moisture
12 and overall to extend the longevity of the label.
13 Again, in this case also, depending upon the
14 physical chemical properties of some of these
15 chemicals and their constituents, also the
16 concentration and storage conditions, these
17 chemicals may have a potential to permeate through
18 the LDPE vials into the drug product.

19 These are typical ink components. One may
20 think that ink might be just a single-component
21 formulation. However, if you look at it, there is
22 more than one chemical included into the ink

1 formulation. And, again, these are also propriety
2 formulations.

3 These ink formulations may be (?) -based
4 or organic solvent-based, and depending upon the
5 brand of solvents which are used in the
6 formulation, they may have a potential to permeate
7 through the LDPE vials into the drug product.

8 The last I would like to talk about is the
9 foil-laminate. Primarily, foil-laminate is used as
10 a protective secondary packaging for the drug
11 formulations that may be sensitive to light and
12 react to gases such as oxygen.

13 Typically, foil-laminate is a flexible
14 packaging composed of multiple layers of various
15 types of plastic films which are fused together
16 either by heat or pressure-sensitive adhesives
17 applied to one or both sides of an aluminum foil.
18 In this cartoon, aluminum foil is represented by
19 layer D, and as you can see, the whole foil
20 overwrap surrounds the drug product vial on an
21 automated packaging line.

22 The thickness of aluminum foil, which is

1 D, and the number of pinholes per unit area are
2 crucial for ensuring the consistent barrier to
3 permeability. Furthermore, each of the composite
4 layers may contain volatility chemicals, organic
5 solvents, as they are used in adhesives, which may
6 permeate through a LDPE vial into the drug product,
7 especially the adhesive layer that is closer to the
8 drug product. In this case, that is shown by G.
9 So the composition of these are very critical. One
10 has to really have a knowledge of its composition
11 before they can be selected for the foil overwrap.
12 Alternate approaches to adhesive can be considered,
13 such as fusion of the multiple layers of
14 foil-laminate by heat-set process.

15 In addition to the clinical concerns
16 discussed by Dr. Sullivan, the permeability of LDPE
17 raises several quality concerns, and these are
18 listed on this slide, mainly the drug product
19 contamination through ingress of volatile chemicals
20 which may be originating from the environment that
21 may be irritant or toxic to the respiratory tract
22 and may sensitize individuals; drug product

1 degradation because of the reactive gases and light
2 that permeate through the LDPE vial and cause
3 degradation of the drug product; and change in
4 product concentration because of the water
5 evaporation through the LDPE vials. This in turn
6 can accelerate the drug product degradation because
7 of the concentration of the drug product.

8 Now, let me share with you the results of
9 an analytical survey of approved NDA and ANDA
10 inhalation solutions marketed in LDPE vials without
11 protective overwrap. The basis for this survey was
12 a large-scale voluntary recall of inhalation
13 solution by a firm due to contamination of the drug
14 product with 1-phenoxypropanol. This is a known
15 component present in the packaging components.
16 This recall was conducted with FDA's knowledge and
17 followed by a health hazard evaluation. It was
18 later found out that the source of this chemical
19 was the varnish or over-lacquer that was used for a
20 shelf carton.

21 Alarmed by this incident, the agency was
22 concerned that there may be other inhalation drug

1 products with such contamination from packaging
2 components. As a result, it was decided to conduct
3 a product quality survey of some of the marketed
4 inhalation solutions.

5 This was initiated by the Office of
6 Generic Drugs in consultation with the Division of
7 Pulmonary and Allergy Drug Products and in
8 coordination with the Office of Compliance, Office
9 of Regulatory Affairs, field offices, and Pacific
10 Regional Laboratory. Seven ANDAs and one NDA for
11 inhalation solutions covering five different drug
12 substances were selected.

13 There were 38 samples representing 37 lots
14 of various drug products in LDPE vials without a
15 protective overwrap foil pouch. The samples were
16 screened for potential volatile chemicals which are
17 known to be present in the packaging components,
18 such as vanillin, 2-phenoxyethanol, and
19 1-phenoxy-2-propanol by sensitive analytical
20 techniques such as GCMS and HPLC methods. Let me
21 share the results of this survey.

22 Twenty-nine out of 38 samples tested

1 positive for chemical contamination originating
2 from packaging components. Five known chemical
3 contaminants, as listed below, were detected
4 originating from packaging, such as benzophenone,
5 polyethylene glycol, 2-(2-butoxyethoxy)ethanol,
6 2-(2-ethoxyethoxy) ethanol acetate, and
7 2-hydroxy-2-methylpropiophenone.

8 A health hazard evaluation was conducted
9 at the levels these components were detected in
10 these drug products. However, it was indicated
11 that the levels of these components did not raise
12 sufficient safety concern in the intended
13 population to warrant a recall of these drug
14 products. Nonetheless, the following issues were
15 of concern:

16 It was indicated that potential for these
17 chemicals to cause bronchospasm at levels detected
18 is unknown, especially in patients with respiratory
19 diseases.

20 It was also indicated that concentration
21 of these chemicals might be grater at the end of
22 expiry than what was detected at the time they were

1 tested.

2 It also showed that permeation through
3 LDPE vial is a real phenomenon.

4 It was also concluded that additional
5 chemicals may be present, but may not get detected
6 because the analytical techniques which were used
7 may not be suitable, not knowing what components
8 might be present into those solutions.

9 And, also, future changes in the materials
10 used in labeling and packaging may result in
11 contamination with different chemicals.

12 So, in a nutshell, product contamination
13 can occur because of the formulation component
14 degradation or by leaching of chemical constituents
15 from packaging components, such as resin components
16 I have listed, paper label components, foil
17 overwrap components, cartons, and environment.

18 These are the typical extractable or
19 leachable components which have been found in the
20 drug product from packaging components. Some of
21 them are irganox 129, 2, 2, 6-trimethyloctane,
22 which is coming from resin components. Some of the

1 paper label components that we have seen is benzoic
2 acid, ethyl phthalate, benzophenone, danocur 1173,
3 cyclic phthalates. From the foil overwrap, we have
4 seen methacrylic acid, 2-phenoxyethanol, and some
5 of the organic solvents such as acetone,
6 2-butanone, ethylacetate, propylacetate, heptane,
7 and toluene. And from cartons, methacrylic acid
8 and 1-phenoxy-2-propanol.

9 So this raises a significant quality
10 concern, and there are several other factors.
11 These are the factors. Because of the proprietary
12 nature of components and composition of this
13 packaging material, we may not know what is present
14 in the solution. The composition of these
15 components which are present in the packaging may
16 change without the knowledge of applicant and the
17 agency. And you cannot detect if you don't know
18 what you are looking for. As a result, there is no
19 one analytical procedure to detect unknown chemical
20 contaminants. And there is incomplete
21 toxicological data or information available for
22 many of these identified chemical contaminants.

1 And as the environmental conditions change, that
2 may introduce new contaminants.

3 So what are the potential approaches the
4 agency has taken to minimize and control the
5 contamination from packaging components to the
6 extent possible? Our approach has been and we have
7 recommended that characterize or identify all
8 possible extractables and establish a profile for
9 each packaging component, for resin, vial, paper
10 label, foil-laminate overwrap.

11 What I mean by extractable is extractable
12 is a chemical compound, which can be volatile or
13 non-volatile, that gets extracted from a packaging
14 component in a suitable solvent by utilizing
15 optimum extraction conditions, such as time and
16 temperature.

17 Extractable profile for a given packaging
18 component typically can be a chromatogram
19 representing all possible extractables.

20 After that, establish a correlation
21 between extractable and its leachable potential,
22 and what I mean by leachable is leachable is any

1 chemical compound that leaches into the drug
2 product formulation either from a packaging
3 component or a local environment on storage through
4 expiry of the drug product. An extractable can be
5 a leachable.

6 And to ensure batch-to-batch consistency
7 of the drug product, appropriate specification for
8 a leachable is established based on its
9 qualification and observed levels in the drug
10 product on storage.

11 As a result, the next approach is we asked
12 them to set meaningful acceptance criteria for a
13 given extractable in corresponding incoming
14 packaging components based on its qualification
15 level and actual observed data. Once that is
16 accomplished, meaningful acceptance criteria for a
17 given leachable based on actual observed data in
18 the drug product also be established.

19 These are the recommendations we have
20 provided in the Draft Guidance. We have
21 recommended that adequate knowledge of composition
22 and physico-chemical properties of packaging

1 components is essential for appropriate selection
2 of these components. We discourage paper label
3 directly on the LDPE vial and encourage alternative
4 approaches, including embossing or debossing, in
5 lieu of the paper label on the LDPE vial because of
6 the reasons I discussed, because of the product
7 contamination. This can be accomplished by
8 extended bottom flanges to unit-dose vial that can
9 carry essential vial labeling information and can
10 retain the product identity.

11 We have also recommended use of protective
12 overwrap foil pouch for the LDPE unit-dose vial.
13 This in turn can minimize the ingress and leaching
14 of chemical contaminants from the local environment
15 provided that the components that have been
16 selected for the fabrication of the overwrap foil
17 pouch are appropriately selected.

18 The self-adhesive paper label on a foil
19 pouch or pre-printed foil pouch is also
20 recommended, and different color schemes to
21 differentiate multiple strengths of the drug
22 product is also recommended. This in turn can

1 prevent ingress or leaching of chemical
2 contaminants from paper labels and may improve the
3 legibility issues.

4 The last recommendation we have in our
5 Draft Guidance is to limit the number of unit-dose
6 vials per pouch, ideally to one LDPE vial per foil
7 pouch. This can minimize the risk of medication
8 error by patients and health care professionals,
9 and it can prevent unnecessary exposure to local
10 environment when compared to packaging of
11 multi-unit-dose vials in a foil pouch.

12 So, in summary, so far I have presented to
13 you that volatile chemicals present in the
14 packaging components and local environment have a
15 great potential to permeate through LDPE vials into
16 drug product formulation on storage. The agency's
17 analytical survey and other supportive data have
18 confirmed ingress and leaching of such volatile
19 chemicals into the drug product formulations.

20 Ingress or leaching of such chemicals into
21 drug product formulation poses a safety concern for
22 patients with respiratory illnesses, such as asthma

1 and COPD. Embossing or debossing of LDPE vial in
2 lieu of paper label is recognized to have
3 legibility issue. However, paper labels, although
4 perceived to address legibility issue, overall may
5 not be the optimum solution because of the safety
6 concerns associated with potential leaching and
7 ingress of paper label components in the drug
8 product through LDPE vial.

9 The agency's current recommendations as
10 stated in the Draft Guidance may serve as a first
11 step in the right direction to address the issues
12 that are being discussed today. And the agency is
13 seeking other viable approaches to address these
14 issues to promote safe product use without
15 compromising the integrity of the drug product.

16 With that, I will conclude my talk, and
17 thank you for your attention.

18 DR. GROSS: Thank you very much, Dr. Shah,
19 and I want to thank the first three speakers who
20 presented a very clear review of the problem.

21 We are now open for discussion. Perhaps
22 I'll start off with a couple questions.

1 We talk about low-density polyethylene.
2 Does high-density polyethylene reduce transmission,
3 number one? Number two, would increasing the
4 thickness of the container reduce transmission?
5 And, number three, have other plastics been
6 considered? I'm not a chemist so I don't know, but
7 polypropylene, polystyrene? And are any of those
8 possibilities?

9 DR. SHAH: So far, traditionally, LDPE is
10 the choice of material by the manufacturer because
11 of some of the properties it can offer. And I
12 guess one can increase the thickness of the LDPE
13 vial or may use a different polymer. However, one
14 has to keep in mind that by nature, when you do the
15 fabrication of the vials, it may have some kind of
16 a permeability. But that depends on the degree of
17 permeability. LDPE offers one side of the
18 spectrum, or other polymers may offer a different
19 type of permeability. But one has to conduct some
20 of the studies to show that it does not permeate.

21 DR. GROSS: Michael?

22 DR. COHEN: Dr. Lee mentioned shrink wrap

1 at one point, and then added that there might still
2 be some concern about, you know, the volatility, I
3 guess, of the inks in the shrink wrap itself. It
4 does not come in contact with the actual LDPE
5 plastic, though, so I'm trying to figure out why
6 that would be a concern. Do you think it's still
7 possible for that to leach in?

8 DR. SHAH: Yes, let me answer that.
9 Shrink wrap, again, it's a plastic and it suffers
10 through the same thing. It comes in direct contact
11 with the LDPE vial. So depending upon the chemical
12 components of the ink and how it is being used, in
13 a shelf carton or anything, it still will have the
14 same unit problems that I discussed.

15 DR. COHEN: Can I ask a follow-up?

16 DR. GROSS: Yes, go ahead, Michael.

17 DR. COHEN: Have you done testing--

18 DR. SHAH: No, we--I mean, we have not
19 even received--or we have not approved a drug
20 product with the shrink wrap. There is no example
21 of that, at least to CDER. Maybe in other
22 divisions, another agency, but we haven't received

1 any.

2 DR. GROSS: Jackie, next question?

3 DR. GARDNER: I understand the problem of
4 potentially masking the effect of contamination by
5 the condition, but I was surprised to see only 87
6 reports of medication errors that you're working
7 from. And given the excellent presentation and the
8 potential for confusion, I'm surprised that there
9 were so few because it looks like it would happen a
10 lot. I wondered if we could have some perspective
11 on why there would be so few, and maybe Mike can
12 help with that.

13 And then the second thing is I wondered
14 whether any of the potential suggested
15 recommendations or the different packaging types
16 have been tested in any way that we could
17 reasonably expect that they might reduce the
18 potential for error if they were implemented,
19 whether the foil wrap or any of these things have
20 been tested among the people who would be using
21 them.

22 DR. GROSS: Next question, Leslie?

1 Does anybody have an answer? Marci?

2 DR. LEE: Thank you. As to the number of
3 reports being few, since the review was done, there
4 have been additional reports submitted to the
5 agency for a total, I think I said, of 138 reports,
6 which may still sound like a small number, but
7 considering the problem is probably very underreported. We
8 also had some reports that were
9 describing errors that had to do with restocking.
10 For example, a transport team's pouch was supposed
11 to contain three Albuterol and three Ipatropium
12 vials, and at this one given time it contained one
13 vial of one drug and five of the other. So, you
14 know, in the report the narrative says, "We suspect
15 that at least one patient has been affected by this
16 problem."

17 The same thing can happen in an inpatient
18 setting where the drugs are getting intermixed in a
19 bin. So it's really an unknown, the actual impact
20 of the problem.

21 DR. GROSS: Leslie?

22 DR. HENDELES: I'd like to just respond to

1 Jackie's comment. Mixing these medicines up is
2 very unlikely to be associated with a visible toxic
3 reaction, so that might be--if anything, the
4 adverse consequences is a lack of therapeutic
5 effect when you're treating a disease that's
6 involving acute bronchospasm. So the clinician
7 can't distinguish between lack of drug effect from
8 worsening of the disease.

9 But the question I had was: Is there any
10 evidence that these contaminants in any way
11 interact with the active drugs to either decrease
12 their stability or to in some way inactivate them?

13 DR. SHAH: They may not inactivate, but
14 they will increase the degradation of the products.
15 They may react with the active, and then you will
16 form an adduct. But you are not going to, you
17 know, inactivate the drug product.

18 DR. SULLIVAN: The other thing to keep in
19 mind is that the list of potential contaminants is
20 innumerable. So what may be true of one chemical
21 may not be true of the others.

22 DR. GROSS: Curt Furberg?

1 DR. FURBERG: I'd like to expand on that
2 question. What are the health effects of these
3 contaminants? Are they all toxants? And if we
4 don't know that these contaminants have adverse
5 health effects, is this a big issue?

6 DR. SULLIVAN: Well, I think the unknown
7 is part of the problem, and being a clinician at
8 the agency, we've been tasked with addressing the
9 specific risk of specific chemicals that have been
10 found in assays done, particularly--it was
11 discussed in the analytical survey and so forth.
12 So we get asked this question: What's the
13 toxicologic potential of this chemical? And we
14 don't know most of the time. There haven't been
15 toxicologic studies done. We don't know the
16 carcinogenic potential. We don't know the extent
17 to which it acts as an irritant or has other toxic
18 effects. And then we have to judge, okay, what's
19 the risk out there, and it's very difficult.

20 DR. FURBERG: Yes, but shouldn't you add
21 that to your recommendation that we find out?

22 DR. SULLIVAN: Well, I think that's part

1 of why we're saying it's best to just try to limit
2 potential exposure, because you can't list all of
3 these chemicals. For instance, the one that was
4 mentioned was found in a drug product, and it was
5 traced back to the fact that the actual carton that
6 these vials were contained in, the manufacturer of
7 that carton, who isn't the drug manufacturer,
8 changed the glue or lacquer in that carton. And so
9 a chemical that we wouldn't have previously been
10 aware of made its way into the drug.

11 DR. SHAH: Again, the agency does not
12 control the cartons. We will control to a point
13 and look into the things. The carton is something
14 very--and as a result, I think our approach has
15 been--or we recommend the use of overwrap pouch.
16 That can also limit to a certain extent. I mean,
17 there is no 100-percent guarantee that it may not
18 permeate or the glues which are used in the
19 foil-laminate itself may get into the drug product.

20 But one needs to study these things
21 before, you know, providing to the agency.

22 DR. GROSS: Okay. Henri, and then we'll

1 hold questions after that until later.

2 DR. MANASSE: I have a couple of
3 questions. One is: Do we see the impact of the
4 degradation on all of the active ingredients, that
5 is, for the Albuterol and the Tobramycin and the
6 cromolyn? Is that pretty much standard across all
7 of the ingredients that these volatile substances
8 do have a degrading impact?

9 The other question I had is: What
10 experiences can we gain from either the food and/or
11 the cosmetic industry? Are there experiences there
12 since so much of this packaging is also with
13 low-density polyethylene containers?

14 And my last question relates to the
15 potential application of the bar code to packages
16 vis-a-vis the incoming rule. To what extent will
17 symbology printing either exacerbate or lessen this
18 particular issue?

19 DR. SHAH: I kind of lost you. What was
20 the first question?

21 DR. MANASSE: The first question, Is the
22 infusion, leaching of the contaminants equally

1 impactful on all the active ingredients in these
2 products?

3 DR. SHAH: I think some of these will stay
4 as a degradation product. They may not impact the
5 active ingredient, but it will be just a product
6 contamination.

7 Now, itself, how it will affect the
8 particular patient population, that is--as Dr.
9 Sullivan said, we don't know the potential of that.
10 So it may not probably reduce the concentration of
11 the active into the drug product. However, that
12 uncertainty regarding the safety is a concern.

13 The second question was?

14 DR. MANASSE: The second question relating
15 to experiences in the food and cosmetic industry
16 and what may be learned there.

17 DR. SHAH: Okay. I think by far the
18 most--these packaging components are used also in
19 tablets and other solid oral dosage forms. There
20 the risk is less because you are taking it orally.
21 Here the problem is because of the patient
22 population, we are more concerned. And I don't

1 know what else can be learned from food and other
2 industries because there is--I don't know that much
3 scrutiny is there. The only thing that is there is
4 whether they are adequate in terms of oral dosage
5 use. That's it.

6 Does that answer--

7 DR. MANASSE: And my last question related
8 to the upcoming application of the bar coding rule
9 and the imprinting of symbologies to implement that
10 particular rule.

11 DR. LEE: Actually, LDPE vials was one of
12 the products that was exempt from that rule. It
13 won't be required down to the vial, but any outer
14 packaging it will be on.

15 DR. GROSS: Okay. We'll take a break now
16 and reconvene at 9:45.

17 [Recess.]

18 T2A DR. GROSS: The first speaker will be
19 Mohammad Sadeghi, who will talk about container
20 labeling options using rommelag blow-fill-seal
21 technology.

22 DR. SADEGHI: Good morning. I'm Mohammad

1 Sadeghi with Holopack International. I'm here to
2 talk about container labeling options using
3 blow-fill-seal technology, and most of all these
4 products you've been hearing today about and
5 packaging and LDPE, low-density polyethylene,
6 they're all manufactured using blow-fill-seal
7 technology.

8 So what I'm going to do is go over what
9 the blow-fill-seal process is, what container
10 labeling options you have, what are the pros and
11 cons on each, and some examples.

12 Blow-fill-seal technology is an integrated
13 aseptic technology for manufacturing aseptic
14 products. That's an example of a machine. The way
15 it works is you feed in raw pellet resins from one
16 end and the (?) solution from another, and the
17 machine will actually melt the pellet, created the
18 container, fill it aseptically, and seal it.

19 The process consists of four major steps.
20 As you see, the plastic is molten first and
21 extruded in a cylindrical shape, and the molds are
22 formed into the container, the needle comes in, and

1 there is the Class 100 in this (?) area, fills
2 the container, and it withdraws, and then the
3 container is sealed and ejected from the machine.

4 Now, labeling options that you can have
5 with this technology consist of embossing, paper
6 label on tab if you do not want to put it directly
7 on the container, or printing on the tabs.

8 Embossing consists of a mirror--engraving
9 mold with a mirror image of the information. You
10 have small vacuum ports on the mold surface that
11 actually will do this, such into the softened
12 plastic into the engraving embossing, hence
13 embossing the container.

14 This is an example of what a mold cavity
15 looks like, and you see the surface inside the main
16 cavity where the engraving takes place.

17 This is a close-up of what it's like to
18 have as the imprint. What you see in the bottom
19 would be replaceable magazines that you can change
20 for lot number and expiration date.

21 Another option of embossing is hot stamp,
22 which in this case instead of molding it during the

1 production, as the container is ejected from the
2 BFS machine, it's actually put into a machine where
3 it actually is a hot stamp that would actually
4 emboss the container, again, and this is done on
5 the tabs and not directly on the body of the
6 container.

7 Paper labels on tabs, one of the reasons
8 this container was developed was to avoid direct
9 contact labels with--paper labels with the actual
10 container body, and the secondary was the
11 small-volume containers that required information
12 and there was not enough surface area to put the
13 engraving on the container. They developed a tab.
14 Either it can be on the cap or as a tail, have the
15 embossed information.

16 You can use the same tab, actually,
17 instead of--it's a solid surface, so you can use it
18 either to print or add paper to the label.

19 The pros and cons of each labeling option:
20 Embossing has been discussed here. The pros are
21 there is no maintenance of label inventories;
22 ensure 100-percent labeling of containers; labels

1 cannot be removed; and ensure each unit is
2 traceable and no leachables. The cons are, which
3 has been discussed also, it is difficult to read on
4 clear containers.

5 Paper label on tabs is--paper label
6 obviously makes it clearer to read, and you can use
7 colors. It greatly reduces potential leaching into
8 the solution because it's not directly applied to
9 the container body. However, there is still
10 potential leaching of adhesive.

11 Direct printing on the tab, it's clearer
12 than embossing on the tab to be read; it eliminates
13 potential leaching from paper, adhesive, varnish
14 and stuff that goes with the paper label; and it
15 greatly reduces potential leaching into the
16 solution, again, because it's on the tab, on a
17 separate space on the container, not directly on
18 the container body; and, lastly, allows for bar
19 code printing on line as well. However, you still
20 have the ink, which potentially can leach into the
21 solution.

22 Now, examples of these various things,

1 there's a container with embossed labeling. The
2 containers can be also embossed and color-coded
3 because the same container can be used for
4 different concentrations of products, or you can
5 have color-coded and embossed to represent the same
6 product in different concentrations or doses.

7 You can apply the paper on the tab, both
8 removing the paper from direct exposure to the
9 solution, but also it is readable. Or having
10 direct printing on the tab for bar code
11 information.

12 Also, you have traditional paper on the
13 container, which is...

14 Now, the other thing is the issue of--one
15 of the things that comes to mind is the size of the
16 containers, is eliminating paper containers--paper
17 labels from all outside containers or is it
18 dependent--it is a size-dependent solution.
19 Obviously, if you have a liter container such as
20 viewed here and you have a paper label, is that
21 also going to be--it's something that has to be
22 removed, and considered this is--it should be in

1 relation to the size of the container. If it is a
2 three- (?) container, you have the same treatment
3 as one-liter container.

4 Another example of various container
5 sizes.

6 Thank you.

7 DR. GROSS: Okay. Now we'll hear from the
8 Cardinal Health team, Rick Schindewolf and Patrick
9 Poisson.

10 x MR. POISSON: Good morning. My name is

11 Patrick Poisson. I'm the Director of Technical
12 Services at Cardinal Health Woodstock. With me
13 today is Mr. Rick Schindewolf, who's the general
14 manager of the Woodstock, Illinois, facility.

15 Just a little bit about our role in the
16 industry. Cardinal is a diversified health care
17 company with operations in distribution, manufacturing,
18 research, and management solutions. The
19 Cardinal Health Woodstock facility is a
20 blow-fill-seal facility that produces approximately
21 1 billion units annually. Our product portfolio
22 involves NDA, ANDA, 510(k), and USP Monograph

1 products.

2 Some of the advantages of why people
3 select low-density polyethylene in blow-fill-seal
4 is blow-fill-seal is recognized as an advanced
5 aseptic process. There's also an immense
6 flexibility in container design that allows various
7 applications of the container and its use. It's
8 also a very cost-effective approach to producing
9 pharmaceutical products.

10 Now, some of the limitations: As
11 previously mentioned, LDPE is a semipermeable
12 material. The technology also uses heat to form
13 the container, and there may be issues with
14 heat-sensitive products. And based on the focus of
15 this meeting today, there are obviously some
16 labeling issues as well.

17 Now, the general industry approach has
18 been to emboss and deboss the containers to display
19 the necessary information, which includes product
20 name, concentration, manufacturer, lot number, et
21 cetera. Typically, respiratory products are
22 packaged in a secondary overwrap in multiple units

1 or single units, and that provides the additional
2 protection necessary to prevent chemical
3 contamination.

4 This has already been touched upon, but
5 these are the main highlights of the Draft
6 Guidance, and I won't spend any time on this since
7 this has been discussed already.

8 Now, what are some of the advantages to
9 the embossing/debossing approach? It provides an
10 immediate tamper-evident identification of the
11 product. It eliminates the potential for
12 contamination from labels. And it provides ease of
13 label copy control.

14 Some of the limitations associated with
15 that: It can be difficult to read on clear
16 containers. It does not provide a very readily bar
17 code-readable print. And the vial size affects
18 legibility of the print that's embossed and
19 debossed. We cannot emboss or deboss down to a
20 very small font size that's readable that could
21 compete with a paper label.

22 Now, we believe there are some

1 possibilities for enhancing product identification
2 in the low-density polyethylene container, and
3 these are listed here: reduce the content
4 requirement to allow an increased text size;
5 addition of physical/tactile identifiers for
6 generic product groups; alternative label
7 approaches such as a sleeve label; color coding
8 unit-dose vials for generic product groups; and
9 individual secondary overwrap.

10 Increased text size. There's a limited
11 surface area on the container that is available for
12 embossing/debossing. Due to the technology, we
13 cannot emboss or deboss on the sides of the vial.
14 We can only emboss and deboss on the front. The
15 text size can be significantly increased; however,
16 we would have to remove some of the information
17 that's normally provided. This approach would not
18 change any of the materials involved in the
19 process, so there would be no impact on the current
20 product chemistry. This could also be implemented
21 fairly quickly, eight to ten weeks. And there
22 would be a one-time cost for the manufacturer to

1 buy the appropriate equipment.

2 This is a drawing of what that concept
3 would look like.

4 In addition to that, physical/tactile
5 identifiers could be added to the container. This
6 would provide an easily recognizable/legible symbol
7 on the container that would represent a product
8 type, for instance, A for Albuterol sulfate, I for
9 Ipratropium bromide, et cetera. This is already
10 currently being implemented on products
11 manufactured at Cardinal Health. This also does
12 not change any of the container materials or
13 process, so, again, no impact on the current
14 product chemistry. This also could be implemented
15 in eight to ten weeks, depending on the regulatory
16 approval of this label change, possibly as a CBE
17 30. Again, there would be a one-time minimal cost
18 to buy the necessary equipment to do such a change.

19 This is a drawing of what that concept
20 could look like. And we have some samples which
21 we'll pass around for the committee to see. And
22 those can also be provided in clear plastic. And

1 here are some photos of the same vials.

2 This is a picture contrasted with one of
3 the current formats that is out on the market, so
4 you can see that there's a definite increase in the
5 identification of the products resulting from this
6 type of change.

7 The sleeve label concept would involve a
8 redesign of the extended tab to make that area
9 amenable for application of a non-paper label.
10 Cardinal has designed such a vial that has a patent
11 pending that would be capable of receiving a shrink
12 wrap sleeve.

13 This label provides a contrasted
14 background for enhanced legibility and also provide
15 a bar code-readable print. This would involve no
16 changes to the product contacting surfaces of the
17 container. The shrink of pressure sensitive label
18 would be applied to an appendage of the container,
19 not in direct contact with the product.

20 This would also involve an increased
21 manufacturing cost for equipment, labor, and
22 materials, and we believe it could be implemented

1 in 12 to 14 months following regulatory approval
2 with associated stability testing data.

3 This is a picture of what that concept
4 looks like, and we have some samples that we'll
5 pass around. This particular product was mentioned
6 in an earlier presentation as the catheter flush
7 saline and heparin.

8 Color coding. Products could be
9 color-coded to aid in identification. That would
10 be a similar approach to the AAO recommendations
11 for cap color for ophthalmic products. It provides
12 a contrasting background to aid the legibility. A
13 colored vial is easier to read. However, it could
14 impact the product chemistry with leachables and
15 extractables. There would be a slight increase in
16 manufacturing costs for raw materials. Again,
17 implementation time would be based on stability
18 data and regulatory approval of such a change.

19 This is a picture of what that concept
20 would look like.

21 Individual secondary overwrap, that has
22 been touched upon. It provides enhanced labeling

1 opportunities, bar code-readable print for a
2 single-dose vial. However, the overwrap can and
3 will be separated from that unit at some point in
4 time during its use, and we don't control that, so
5 we cannot predict when that will happen. So there
6 could be legibility/identification issues still at
7 the time of use. There's a significant
8 manufacturing cost increase with the raw materials,
9 equipment necessary, and labor. If that was done
10 with the current process, that change, the
11 implementation time would be 12 to 14 months
12 following regulatory approval of the packaging
13 change with associated stability data.

14 In summary, we believe there are
15 opportunities for improvement of the labeling of
16 low-density polyethylene containers. Each
17 alternative is a viable alternative, we believe,
18 and it should be assessed based on impact to the
19 product, speed of implementation, ease of
20 regulatory approval, and cost to the patient.

21 Thank you--oh, sorry. Our recommendations
22 are to increase label information font size on

1 individual vials. Add a tactile symbol for generic
2 identification based on the following advantages:
3 quick approach, no impact on product chemistry or
4 stability, and no impact on patient cost. For
5 hospital-dispensed unit-dose vials, add a sleeve
6 label to accommodate bar coding.

7 Thank you.

8 DR. GROSS: Thank you very much.

9 Our next speaker is Karen Stewart of the
10 American Association of Respiratory Care.

11 x MS. STEWART: Good morning. Thank you for

12 giving me the opportunity to present today. I
13 think in your packets you have my written
14 statement, and I have a couple of slides here that
15 I want to share with you.

16 I've been a registered respiratory
17 therapist since 1971, and I am here as the
18 spokesperson for the American Association for
19 Respiratory Care representing respiratory
20 therapists both nationwide and internationally.

21 Respiratory therapists, like all other
22 health care professionals, are very concerned about

1 medication errors. In recent years, since the
2 elimination of most paper labels on unit-dose vials
3 of medication, it has become increasingly difficult
4 to determine the content of the unit-dose vial.
5 I'm going to share with you some pictures of what
6 the therapist typically has on their person as
7 they're making rounds.

8 Not only is the print on the vial
9 difficult to read, the size and the shape of the
10 vial contributes to this difficulty.

11 In 2001, the American Association for
12 Respiratory Care completed a human resource survey,
13 and at that time the average age of a respiratory
14 therapist was 44. This is another contributing
15 factor to the difficulty of reading the content of
16 the medication vial. While I may have just
17 emphasized that the current relative age of the
18 respiratory therapist and the difficulty the older
19 therapist experiences in reading the labels, I want
20 to clarify to you that deciphering respiratory care
21 medication labels is a problem that cuts across all
22 age groups of respiratory therapists. The problem

1 is how the medication is labeled or not labeled
2 appropriately.

3 The work flow of the respiratory therapist
4 I think is probably most important for you to
5 understand. The therapist typically includes
6 delivering medications and treatments to a number
7 of patients for a local geographic region in a
8 hospital. The patients that are assigned have a
9 very wide variety of medications that are being
10 delivered to them. Once the medication is checked
11 by the pharmacist for drug interactions, the
12 therapist typically carries medication with them as
13 they begin rounds. It would not be unusual for a
14 therapist to carry between 14 and 15 different
15 vials of medication. The medications must be under
16 control so that therapists either carry the
17 medication in a fanny pack or they carry the
18 medication in a locked draw on a cart they carry
19 with them.

20 In some institutions, medications are in a
21 Pyxis system. In this situation, the medication
22 can either be placed in a single patient medication

1 labeled drawer or they come from stock supply. So,
2 again, multiple vials in a stock drawer.

3 I just wanted to give you a view of what's
4 in somebody's pocket typically.

5 Another concern that faces the respiratory
6 therapist is the lack of bar coding on the vial.
7 Many hospitals are moving toward the scanning of
8 medication bar codes. The driving force for this
9 use of technology is to identify the correct
10 patient, identify the correct medication, confirm
11 the correct dose of medication, confirm the correct
12 route of medication, and record the time of the
13 medication delivery.

14 I want to share with you a few comments
15 that I picked up from some respiratory therapists
16 in just the most recent weeks.

17 Staff have complained about the inability
18 to see clearly the medication information. For
19 this reason, we switched to a different product
20 that is individually wrapped in clearly labeled,
21 color-coded foil packaging. The current situation
22 with the raised-letter labeling is an accident

1 waiting to happen. I know you talked earlier about
2 underreporting. It's because we've given the dose
3 and never know we gave the wrong one in some cases.

4 This is a second therapist: I complained
5 bitterly when the look-alike vials came out. We
6 did not leave them for any nurses to confuse. We
7 do not know of any medication errors back of the
8 look-alikes. Doesn't mean it didn't happen. We
9 just don't know.

10 So, again, a little bit more emphasis on
11 the fact that we are seeing probably underreporting.

12 This is a third one: We have had problems
13 with the unit-doze Xopenex and Atrovent looking
14 alike and labeled in the same clear package. We
15 use Pyxis and it's still a problem.

16 So even moving the medication into a more
17 controlled environment continues to be a problem
18 for the therapist who's on the floor.

19 This is a fourth therapist: One
20 encouraging thing that I have seen is differing
21 shapes and sizes on a very few of the medications.

1 Since the death of the multi-dose vial of
2 Albuterol, we have a supplier who sends us
3 unit-dose vials of Albuterol that have a very
4 distinctive teardrop shape and a much smaller size
5 for medication. I give that a Bravo. A similar
6 thing has happened with the octagonal unit-dose
7 vials of Pulmicort.

8 And I think if you look at the very end of
9 this, that small round is the Pulmicort. But this
10 is what's in the pocket of the therapist, and all
11 they have to read on most of those are just that
12 clear lettering.

13 I was at a program, I did a program in
14 Cincinnati last week, and I mentioned this in a
15 patient safety presentation that I did to
16 therapists. About 600 were there, and what was
17 interesting about it is several of them came up to
18 me afterward and said, Can you imagine what the
19 night shift therapist goes through trying to read
20 these?

21 Now, low light--it's bad enough, you know,
22 with the age, but the low light.

1 There's a couple more comments from
2 therapists in there. I think that you've probably
3 got those. You get the gist of what we're trying
4 to say. So on behalf of the American Association
5 of Respiratory Care, I really appreciate the
6 opportunity to share the association comments.

7 I have one more slide that I want to share
8 with you, and it's this one. What you're seeing
9 here are just the different medications. One of
10 those happens to be Tobramycin. One of them--two
11 of them are bronchodilators. Two of them are
12 exactly the same medication in different doses.
13 Just to really emphasize what the packaging is
14 doing to the therapist at the bedside.

15 Thank you.

16 x DR. GROSS: Okay. Thank you very much.

17 We will not have the committee ask some questions
18 of the speakers, and you can ask questions of any
19 of the speakers that have presented this morning.

20 Leslie?

21 DR. HENDELES: I have two questions for
22 Karen. First, is there any Joint Commission

1 requirements in terms of how respiratory therapists
2 are supposed to handle medication?

3 MS. STEWART: There's been--

4 DR. HENDELES: And I have a second
5 question, which is: Would respiratory therapists
6 mind carrying these single-unit dose vials wrapped
7 in foil in their pockets?

8 MS. STEWART: There are recommendations
9 around the delivery of medications from JCAHO, and
10 most of that is surrounding the control. It is
11 first the pharmacist's review of that medication to
12 see if there are any other interactions, and the
13 second being that that medication is always under
14 control. And you'll see as you go across the
15 country a number of different ways that hospitals
16 are handling the medication control issue. Some of
17 them--the folks that I talked to last week, some of
18 them have a cart where they carry all their
19 plastics and other things that they need with a
20 locked drawer, and their medications are in that
21 drawer. Other ones are using Pyxis, and some are
22 still carrying it physically on their person in a

1 side pocket or a fanny pack.

2 Your second question is, if they were
3 individually wrapped, I think that therapists would
4 use those either in any of those devices under
5 control. The problem is that they open, for
6 example, a packet of Xopenex with 12 vials in it.
7 That's just too much for them to carry when they've
8 got so many different types to carry.

9 DR. HENDELES: If it's just one, they
10 would be able to?

11 MS. STEWART: I think they would be able
12 to carry it, yes.

13 DR. GROSS: Yes, Stephanie?

14 DR. CRAWFORD: Thank you. This question
15 is for Patrick Poisson, but, Ms. Stewart, don't go
16 too far just in case you want to add to it. I
17 thank each of the speakers for their presentations.

18 Mr. Poisson, with respect to your
19 presentation, the sixth slide was talking about the
20 advantages and disadvantages--I'm sorry, the
21 advantages and limitations. Each of the advantages
22 from my interpretation were in the manufacturing

1 process. As you presented, each of the limitations
2 was from the clinical use. So my question is:
3 From the recommendation--potential alternatives
4 that you suggested, have you conducted, your
5 company, or performed any studies using clinical
6 groups such as the respiratory therapists to see
7 acceptability of each of these options?

8 MR. POISSON: One thing I probably failed
9 to mention is that Cardinal Health is a contract
10 manufacturer, and the products that we manufacture
11 are distributed by our customers. And it's
12 difficult for us to step in front of them and ask
13 for this type of work to be done.

14 Now, we have done some work with the
15 shrink wrap sleeve label, and the feedback from
16 that was very positive. However, that was a very
17 unique opportunity for us to get involved with
18 that.

19 In regards to the recommendations, yes,
20 some of them are manufacturing--are good for the
21 manufacturing process. However, one that maybe
22 wasn't explained as well is the sterility of the

1 product. Using a blow-fill-seal technology to
2 manufacture products is recognized as providing a
3 better microbiological quality of product out to
4 the market versus a conventional process.

5 DR. GROSS: Michael? I'm sorry.

6 Stephanie, another question?

7 DR. CRAWFORD: Thank you. Just one quick
8 follow-up. One of your recommendations was
9 increase text size. You mentioned that, of course,
10 something would have to come off if that were
11 happening--would come off if--

12 MR. POISSON: I think we'd have to
13 undertake those discussions with the agency as to
14 what could come off.

15 DR. GROSS: Michael?

16 DR. COHEN: I've been looking at these
17 LDPE plastics for several years, actually, and
18 trying to come up with solutions. And actually the
19 best thing I've ever seen is that shrink wrap, that
20 overwrap, or sleeve, or whatever you want to call
21 it. Is that a proprietary system, or is that
22 available to any manufacturer? And can you foresee

1 the actual use across the entire spectrum of LDPE
2 containers, even the parenterals?

3 MR. POISSON: Well, we're very pleased
4 with the progress we've made on the sleeve label.
5 It did involve some development that we regard as
6 intellectual property. So regarding availability
7 to the whole industry, I really can't speak on
8 that.

9 There will be potentially some leachable
10 extractables even from that system. There is ink
11 on that label. So that has to be evaluated for
12 each product that it's used for. It still may not
13 work for every product.

14 MR. SCHINDEWOLF: If I could just make a
15 comment on the proprietary nature, what's
16 proprietary about that vial is the rounded end. A
17 lot of the vials that you'll see and I think some
18 that were presented earlier can be on a flat end as
19 well. And we found that the rounded end helped the
20 legibility. As the sleeve shrinks, there tends to
21 be some--what's the word I'm looking for? The
22 print can be--

1 MR. POISSON: It can be distorted.

2 MR. SCHINDEWOLF: Yes, "distortion,"
3 that's the word. So this was to help the
4 readability of the bar code label itself, so that's
5 what's proprietary in that particular design.

6 DR. GROSS: Yes, Henri?

7 DR. MANASSE: In terms of patient safety,
8 one of the biggest issues that I think most
9 practitioners confront is the kind of work-arounds
10 that people utilize to make things convenient for
11 them, and this notion of carrying drugs around in
12 your pocket is a very good example. But it seems
13 that the sleeve is a pretty critical issue with
14 respect to the capacity of adding more information
15 coupled with bar codes, symbologies, et cetera.

16 Have you all thought about how you can
17 eliminate the dissociation of the sleeve from the
18 package itself? Because the work-around, people
19 are tearing off the sleeves and then carrying the
20 package by themselves. And is there a way that you
21 can avoid that other than at the direct point of
22 care?

1 MR. POISSON: I'll try and address that.
2 One of the ways that these are used is that the cap
3 is actually twisted off of the vial. And one of
4 the problems I see with individually foil
5 overwrapping is the removal of that foil could
6 potentially damage the vial in that process. So
7 it's a difficult thing to overcome. We could
8 tighten the foil potentially around the vial, but
9 it just opens it up for damage in the transfer
10 process from the location within the hospital to
11 its use point.

12 You know, there are a lot of advancements
13 going on in packaging. Certainly five years ago I
14 don't think we would have all the options that we
15 have now. Maybe at some point in time we can get
16 to a better alternative with the foil.

17 DR. GROSS: Robyn?

18 MS. SHAPIRO: I have two questions. One
19 is actually Henri's. And this is to the agency.
20 What factors, if any, are considered currently in
21 the approval process with respect to these
22 problems?

1 And the second question is: It seems to
2 me that this morning we have much more information
3 about the potential error, problem, than the
4 leachability and contamination problem, and much
5 more potential risk. Has there been--maybe this is
6 for Karen. Has there been litigation over this?
7 And, if so, what has happened?

8 MS. STEWART: I can't speak to any
9 litigation, and I think one of the concerns that we
10 have as therapists is that this probably goes underreported.
11 The therapist delivers that care
12 and leaves the bedside to treat the next patient.
13 So they may not see an adverse effect or, as stated
14 earlier by Dr. Sullivan, I believe, the patient
15 does not get the potential relief of the
16 medication.

17 In other words, if you have Tobramycin and
18 a bronchodilator in your pocket, they both look
19 alike, you give the Tobramycin to the patient who
20 needs the bronchodilator, you may not see the
21 effect. So it becomes underreported.

22 MS. SHAPIRO: And the patient may not

1 either. I mean, they may not realize--the patient
2 or the family or whomever, the error may not be
3 disclosed to anyone.

4 MS. STEWART: Except the patient's
5 therapeutic treatment regime is going to be longer
6 with a longer length of stay because they didn't
7 get the proper--

8 MS. SHAPIRO: Sure, but they may not know
9 why.

10 MS. STEWART: Right.

11 DR. GROSS: Are there any other questions?

12 MS. SHAPIRO: Can I have the first
13 question answered by Paul or somebody about what
14 currently is considered?

15 DR. SHAH: You are talking about in terms
16 of the quality controls?

17 MS. SHAPIRO: In the approval process for
18 any new drugs, what, if any, is considered with
19 respect to safety relating to this possibility for
20 error?

21 DR. SHAH: Let me just try to briefly
22 summarize.

1 When we get an application and we have
2 these kind of packaging components, then usually
3 the applicant may provide this information for all
4 the components of each and every packaging
5 component into the NDA, or they may choose to
6 provide that information, if it proprietary,
7 through a Drug Master File. Then we review the
8 chemical composition of each and every packaging
9 component in a Drug Master File, but we cannot
10 relay that information to the applicant.

11 Once we know from the composition that
12 there is a potential for volatile chemicals to be
13 present in the component and they may permeate
14 through the LDPE vials, then we ask the applicant
15 indirectly, without revealing the other
16 information, Have you studied any leachability or
17 extractable--have you found any extractable, what
18 kind of solvent conditions you have used to extract
19 this leachable? And we encourage them to contact
20 the DMF supplier, work with them, and develop some
21 procedures to find out what can be present and
22 establish a profile. Once you establish a profile,

1 then you may identify, okay, these are the typical
2 components present into a component, packaging
3 component, and we are going to use that as a basis
4 for screening the incoming packaging material. And
5 then you may have some kind of acceptance criteria.
6 That may be a GC profile. Or if you have
7 identified a particular component by its chemical
8 structure, then you may say, okay, it is extracted
9 at, say, one milligram per ml or something like
10 that, okay? So then you will conduct some kind of
11 a study for the shelf life, over the shelf life,
12 whether that particular extractable gets into the
13 drug product or not. If it does not, then at least
14 you have established that if I control the amount
15 of incoming acceptance criteria, I have established
16 incoming packaging material, then I do not see the
17 leachable into the drug product. So then you don't
18 have to have a test for leachable into the drug
19 product, but you have to establish that
20 relationship.

21 So we go through a series of steps to
22 establish that, and once we are satisfied, then we

1 may decide, okay, you are going to control or
2 minimize this particular component at acceptance
3 level in incoming packaging material. Or you will
4 have to carry out the leachable testing.

5 MS. SHAPIRO: What about the analysis with
6 respect to the possible safety problems on account
7 of the error issues?

8 DR. SHAH: Okay. Once we get that, we see
9 that, okay, it is present into the drug product at
10 a certain level. And if we know the identity of
11 that chemical, then we ask our pharmacology and
12 toxicology person to review that data and decide
13 whether that will have any safety issue. And if
14 they decide that it may have a safety issue, then
15 they may ask the applicant to qualify that
16 particular material or chemical at that level.

17 MS. SHAPIRO: Okay. And all that has to
18 do with the leachability question. But what about
19 the question having to do with the confusion
20 problems on account of the labeling and its impact
21 on safety?

22 DR. SELIGMAN: For all drug products that

1 are approved by the agency, we look at the accuracy
2 of the label, whether it's misleading or not,
3 whether it's nonpromotional in nature. We look at
4 the name for potential confusion. We look at the
5 packaging regarding dose and frequency. And if at
6 the time we find, either at the time of approval or
7 even subsequent to approval, that there is such a
8 potential for either name confusion, for misleading
9 dose, or any kind of misleading information that
10 might lead to medication error, we make a
11 recommendation to the manufacturers to try to--to
12 alter that.

13 I think the reason we're bringing this
14 particular issue to this committee is that this is
15 a particularly vexing issue. But for the vast
16 majority of products that we review, when we find
17 such potential for confusion or potential error, we
18 recommend to the manufacturer that that be
19 addressed prior to approval of the product.

20 MS. SHAPIRO: Have you ever, with
21 containers like this, sent it back and said, no,
22 this doesn't--this won't do given these sorts of

1 problems?

2 T2B DR. SELIGMAN: I'm not aware of any. Some

3 of them go through generics.

4 Carol, did you want to respond to that?

5 MS. HOLQUIST: Yes. Actually, our office
6 in Office of Drug Safety, we only get whatever--we
7 only see the packaging material that comes in with
8 new products. A lot of these products have been on
9 the market for years and years. So if indeed one
10 of these products came in today with this packaging
11 labeling, yes, of course, that would be one of our
12 recommendations in our review that, based on
13 post-marketing reports and evidence, we wouldn't
14 recommend this. But then the agency's hands are
15 kind of tied because of the ingress issue. So
16 until we find an alternative packaging, it's a
17 conundrum we're in.

18 DR. GROSS: Gene, did you want to comment?

19 DR. SULLIVAN: Yes, I just wanted to
20 follow up on a couple things that have been said so
21 far: one, to just make sure the categories of harm
22 to patients are in the right column. There's the

1 harm that the legibility issue brings in, so the
2 harm that a patient suffers if he or she doesn't
3 receive Tobramycin but instead receives Albuterol.
4 And then what I was trying to touch on and the
5 thing that's hard to get your hands around is the
6 harm from the actual presence of these chemicals,
7 and that it's well known that a patient may come to
8 the emergency department and receive a few
9 treatments of Albuterol and recover and be
10 discharged. Another patient may come in and not
11 seem to respond and end up mechanically ventilated.
12 And to what extent that could be related to
13 contaminants in the drug product would be anyone's
14 guess and impossible to day. So I just wanted to
15 make sure we consider those two sort of as they're
16 the competing harms.

17 The other issue I just wanted to talk
18 about a little bit was the issue of the use of the
19 flange or labeling that's not directly applied to
20 the actual body of the nebule, be it with a shrink
21 wrap or an applied label and so forth; that there
22 is some intrinsic appeal because it seems to be

1 less in contact with the LDPE, but keep in mind
2 that if these are then put into an overwrap, a foil
3 overwrap, perhaps for other
4 reasons--light-sensitive products and so
5 forth--that then you have sort of a micro
6 environment, you know, like a little humidior with
7 these chemical vapors that could then make their
8 way--even though they're here on the flange, they
9 could easily make their way into the product, and
10 that's sort of evidenced by that case where we had
11 the cardboard carton and that chemical made its way
12 in. So it's not, you know, a complete solution.
13 We have to keep that in mind.

14 DR. GROSS: Arthur, you had a question?

15 MR. LEVIN: One is just a point of
16 information. Mike, is that the packaging with that
17 label, that's what you are referencing when you say
18 so far that's the best--

19 DR. COHEN: Not necessarily.

20 MR. LEVIN: Okay.

21 DR. COHEN: This is certainly acceptable
22 as a way to identify a container. But the ones

1 I've seen have actually had a similar type of film,
2 but it's been around the body of the ampule device.
3 And there was a tear-off so that you would
4 literally pull the tab and tear off the top part of
5 the plastic. It was a total overwrap.

6 MR. LEVIN: But it's something more than
7 that.

8 DR. COHEN: Leaving the identify, even
9 though this was exposed.

10 MR. LEVIN: Okay. So the whole thing is
11 shrink wrapped to something.

12 DR. COHEN: That's correct.

13 MR. LEVIN: Right, okay. I didn't think
14 we had seen one of those.

15 DR. COHEN: We didn't.

16 MR. LEVIN: Yes, okay. So that clarifies
17 that.

18 The second thing is we seem to be sort of
19 entirely focusing in inpatient and, you know, the
20 issue of outpatient is certainly significant. And
21 I'm just wondering from, you know, what you've done
22 to look at how well these kinds of solutions work

1 in the outpatient pharmacy setting as opposed to
2 inpatient settings where it's really--making sure
3 that the respiratory therapist who administers the
4 drug is clear on the right drug and the dosage et
5 cetera. What about an outpatient pharmacy?

6 MR. POISSON: Well, one of the reasons
7 why--and someone may question why there's 12 vials
8 in a pouch or even 28 or up to 60. A lot of the
9 reason behind that is because of the use period in
10 the outpatient--outside of the hospital. And based
11 on feedback we've received, they view that as an
12 advantage to have that type of packaging in that
13 particular environment. And the possibility exists
14 that maybe some of these options we've presented
15 today, such as the symbol on the vial would help
16 them in that area from using the wrong product.

17 So I think, you know, there's
18 opportunities for a number of these options to be
19 implemented based on the setting that they're used
20 in.

21 DR. GROSS: Okay. Henri, you have a
22 question?

1 DR. MANASSE: I just want to follow up on
2 Art's point in terms of outpatient use. I can't
3 imagine given the size of these containers, given
4 the unreadability of these containers, and the
5 obvious confusion that is brought to bear to those
6 problems, that outpatients, particularly elderly
7 outpatients, can manage this on their own. I think
8 somehow we've got to contemplate where we go with
9 that because the increasing number of people who
10 are using these on an outpatient basis and the
11 increasing aging of the population presents us with
12 an incredible challenge.

13 DR. GROSS: Okay. Marci would like to
14 make a comment.

15 DR. LEE: Thank you. I just wanted to add
16 to that. Based on the medication error reports
17 that we have received most recently, there are many
18 comments about the elderly population using these
19 drugs. There are several reports from a pharmacist
20 saying that his patients are expressing that
21 they're afraid to use the product because they're
22 afraid that they're going to double their dose

1 accidentally because they're not sure what is in
2 each ampule.

3 Then, also, the letter in the background
4 package that was sent to Senator Harkin, that also
5 involved a woman who was writing in about her
6 elderly mother that was having the same problem
7 also from a mail-order pharmacy. So in addition to
8 a regular outpatient pharmacy where there's direct
9 interaction with the pharmacist, you have people
10 who are unable to get out of their home and receive
11 their medications by mail having the same
12 experiences.

13 Carol wants to add something.

14 MS. HOLQUIST: Also, just in relation to
15 the letters at the top of the vials themselves, we
16 actually have gotten some reports as well where
17 there's a question as to what the actual letter
18 stands for, like A, is it for Albuterol or for
19 Atrovent. So some simple fixes, sometimes you also
20 have to think beyond, that there's more than one
21 product that begins with that letter.

22 x DR. GROSS: Okay. We are a little bit

1 ahead of schedule, and we will proceed at this time
2 with the open public hearing. Dr. Eric Sheinin
3 will present. First I need to--

4 MS. JAIN: We need to read a statement
5 first.

6 DR. GROSS: Both the Food and Drug
7 Administration and the public believe in a
8 transparent process for information gathering and
9 decisionmaking. To ensure such transparency at the
10 open public hearing session of this Advisory
11 Committee meeting, the FDA believes that it is
12 important to understand the context of an
13 individual's presentation. For this reason, FDA
14 encourages you, the open public hearing speaker, at
15 the beginning of your written or oral statement to
16 advise the committee of any financial relationship
17 that you may have with any company or any group
18 that is likely to be impacted by the topic of this
19 meeting.

20 For example, the financial information may
21 include a company's or a group's payment of your
22 travel, lodging, or other expenses in connection

1 with your attendance at the meeting. Likewise, FDA
2 encourages you at the beginning of your statement
3 to advise the committee if you do not have any such
4 financial relationships. If you choose not to
5 address this issue of financial relationships at
6 the beginning of your statement, it will not
7 preclude you from speaking.

8 x DR. SHEININ: Thank you, Dr. Gross. I

9 have no financial ties or interests in any
10 pharmaceutical company or any other company or
11 organization that would be interested in the
12 proceedings before the committee today, so I think
13 I'm okay with that.

14 DR. GROSS: Thank you.

15 DR. SHEININ: My name is Eric Sheinin, and
16 I'm here today to represent the United States
17 Pharmacopeia. At the UPS, I am the Vice President
18 for Information and Standards Development. We do
19 have an expert committee that deals with safety
20 issues, and much of what I'm going to say today is
21 a direct result of work that they have done. But I
22 would like to give you some background about the

1 USP for those of you who may not be familiar with
2 us and also to have it in the record.

3 The USP is a nongovernmental organization
4 that promotes the public health by establishing
5 state-of-the-art standards to ensure the quality of
6 medicines and other health care technologies.
7 These standards are developed by a unique process
8 of public involvement and they're accepted
9 worldwide. Many other countries around the world
10 recognize the USPNF standards as their own
11 standards in terms of regulatory procedures within
12 those countries.

13 USP is a not-for-profit organization that
14 achieves this goal through the scientific
15 contribution of volunteers, and the volunteers
16 represent pharmacy, medicine, and many other health
17 care professions. These individuals work in
18 academia, they work in government, both U.S. and
19 international. In fact, there are many FDA
20 scientists who serve as volunteer to USP. They
21 also come from the pharmaceutical industry and
22 consumer organizations. In addition to standards

1 development, USP's has several other public health
2 programs that focus on promoting optimal public
3 health care delivery.

4 In our mission statement, it says the
5 mission is to promote the public health, and I
6 always liken that to the mission of CDER, which is
7 also basically to promote the public health. So I
8 believe we're all interested in the same types of
9 standards.

10 At the USP, the volunteers, many of them
11 serve on our Council of Experts and its expert
12 committees. The members of these committees are
13 USP scientific decisionmakers, and they form our
14 standard-setting body. Council members are elected
15 by USP's membership at our five-year convention.
16 They're elected on the basis of their knowledge and
17 expertise, and they serve five-year terms. So even
18 individuals who come from industry, from their
19 companies, when they volunteer to work with USP,
20 they represent themselves. They do not represent
21 their employer, their organization, or anybody else
22 when they work on our standards.

1 The 2000-2005 Council of Experts comprises
2 62 nationally recognized scientists, academicians,
3 and clinicians. Each one of these individuals
4 chairs an expert committee, and the expert
5 committees are made up then in turn of
6 distinguished experts.

7 One of the committees is named the USP
8 Safe Medication Use Expert Committee. This
9 committee is comprised of 18 members representing
10 pharmacy, nursing, and medicine. It includes an
11 FDA liaison, Carol Holquist. It includes Captain
12 Jerry Phillips, who was formerly the Associate
13 Director for Medication Error Prevention in FDA's
14 Office of Drug Safety.

15 For more than 30 years, USP has promoted
16 the importance of collecting and sharing
17 experiential data from health care professionals.
18 In the last decade, particular emphasis has focused
19 on medication error reporting and prevention as a
20 way for USP to positively affect the public health.
21 The data collected from two of our programs--the
22 USP-ISMP Medication Error Reporting, or MER,

1 Program and MEDMARX--are reviewed and analyzed by
2 USP staff and USP's Safe Medication Use Expert
3 Committee.

4 In October of 2002, USP sent a letter to
5 the chief of CDER's Compendial Operations staff,
6 Yanna Mille, to inform her, on behalf of the Safe
7 Medication Use Expert Committee, of the continuing
8 concerns of the committee and of health care
9 professionals and practitioners regarding both the
10 difficulty in identifying drug products packaged in
11 low-density polyethylene ampules and vials and the
12 resultant medication errors from their misuse.

13 Plastic ampule packaging is frequently
14 used for respiratory therapy drugs. The ampules
15 often do not bear labels but are labeled by
16 debossing or embossing the actual plastic
17 container. This debossing or embossing is
18 described by health care practitioners who have
19 reported to the USP reporting programs as being
20 unreadable, causing difficulty in identifying the
21 product within. Because this packaging is now
22 being used not only for respiratory therapy drugs

1 but also for injectables and oral solution, it is
2 even more important that the subject products be
3 easily identified and readily distinguishable from
4 each other.

5 USP has provided the Compendial Operations
6 staff, the Dockets Branch, and the Office of Drug
7 Safety with more than 42 specific case studies
8 where medication errors occurred because of the use
9 of these products. We also have submitted copies
10 of the actual product containers involved in the
11 medication errors that were reported through the
12 two USP reporting programs.

13 In addition to providing comment on the
14 concerns expressed to USP by health care
15 practitioners, the USP Safe Medication Use Expert
16 Committee unanimously voted to encourage FDA to
17 establish an alternate method of labeling for the
18 various drug products packaged in the plastic vials
19 being discussed today. This would be in order for
20 these products to be clearly identifiable,
21 hopefully thereby reducing the numerous medication
22 errors that have occurred and likely will continue

1 to occur.

2 The expert committee also suggested that
3 the FDA cease approval of products in these
4 containers because their use continues to be the
5 subject of numerous medication error reports.

6 From April 20, 2002, through January 31,
7 2004, an additional 26 reports of actual and
8 potential medication errors have been received
9 through USP's medication errors reporting programs
10 regarding the similarity in the labeling of
11 products in low-density polyethylene vials. The
12 problems with these containers continue, and the
13 USP and the USP Safe Medication Use Expert
14 Committee recommends that FDA take any necessary
15 action to improve the labeling of low-density
16 polyethylene ampules and vials.

17 I thank you for your attention and your
18 consideration of USP's concerns. If you have any
19 questions, I'll certainly try to answer them.

20 DR. GROSS: Thank you very much.

21 Are there any questions from the panel?
22 Jackie?

1 DR. GARDNER: I would just like to ask,
2 Dr. Sheinin, does USP have a recommendation of one
3 of these methods over another?

4 DR. SHEININ: A recommendation?

5 DR. GARDNER: For solving this problem?

6 DR. SHEININ: Not at this point, not that
7 I'm aware of. The obvious solution to me--and I
8 actually worked at FDA for 30 years before I went
9 to USP--would be to have a label on the containers.
10 But there are concerns with migration through the
11 low-density polyethylene. I'm sorry I missed the
12 end of the previous presentation where they were
13 describing perhaps some way to help identify these
14 products.

15 DR. GROSS: Robyn Shapiro?

16 MS. SHAPIRO: I just have a question about
17 these report forms. Was patient counseling
18 provided? And then, if yes, before or after error
19 was discovered? Does that mean about what the drug
20 is, how to take it, how to read it? What does the
21 counseling refer to?

22 DR. SHEININ: I believe that the

1 counseling is provided by the professional who's
2 reporting the problem to us. I don't believe USP
3 does the counseling.

4 MS. SHAPIRO: So we don't really know what
5 that refers to.

6 DR. SHEININ: Unfortunately, the Safe
7 Medication Use Expert Committee is not under my
8 area of responsibility. But as far as I know, that
9 counseling would not be provided by USP, and we
10 probably do not know what the nature of that
11 counseling was. The form is asking if there has
12 been any counseling.

13 DR. GROSS: Henri?

14 DR. MANASSE: Good morning, Eric.

15 DR. SHEININ: Hi, Henri.

16 DR. MANASSE: Two questions. We've talked
17 today about two major issues: one is the leaching
18 of chemical agents from various labeling techniques
19 and embossments; the other having to do with the
20 readability issues and the packages themselves.

21 Has USP convened any technical experts on
22 either one of those issues to contemplate what's

1 the existing science, what do we know, what do we
2 not know, as well as what our reasonable solutions,
3 given what's known, in other industries or other
4 options for dealing with this problem?

5 DR. SHEININ: Not that I'm aware of. It
6 certainly is a good suggestion, and I will take
7 that back to the committee and to Diane Cousins,
8 whom I think many of you probably know, and see if
9 there is a way that we could proceed in that
10 manner. I think it's a very good suggestion and
11 something that should be done.

12 DR. GROSS: Okay. Thank you very much.

13 DR. SHEININ: Thank you.

x DR. GROSS: If there are no further 14
15 questions, since we remain ahead of schedule, Dr.
16 Paul Seligman will now introduce the issues and
17 questions that he has for the Advisory Committee.

18 DR. SELIGMAN: You should all, members of
19 the committee, have a one-page LDPE Discussion
20 Points. These, I believe, are in the packages as
21 well for public distribution. Why don't we simply
22 refer to these rather than booting up the slides.

1 You've heard this morning about the issue
2 related to the ingress of volatile compounds as a
3 problem with these particular containers and
4 various approaches to deal with this issue as well
5 as not only--to deal with both the preservation of
6 the purity of the drug, as well as ways in which to
7 improve the legibility of the label.

8 What we've asked in the first question is:
9 Given the various approaches that you've heard
10 today, including embossing and debossing of
11 containers, the use of unit package overwraps, the
12 elongation of the bottom tab and using that as an
13 place to print critical information, the use of
14 paper labels, the use of ink directly on the vial,
15 various potential approaches including tactile
16 recognition, shrink wrap labels, and then we
17 actually even saw the use of glass ampules or
18 vials, what we're interested in the committee
19 addressing first off is to discuss the potential
20 advantages or disadvantages of these approaches and
21 to identify in lb any creative solutions or
22 alternate packaging design that would improve

1 legibility and address the problem of ingress of
2 chemical contaminants and at the same time not
3 create additional problems.

4 We'd also like to have you put on your
5 thinking caps and consider if there are stakeholder
6 groups, such as manufacturers, practitioners,
7 consumers, and others, who might best advise FDA
8 about possible new packaging configurations that
9 might resolve some of these issues.

10 And then given what you've heard today and
11 based on our discussion, describe and advise us on
12 an appropriate course of action to address not only
13 the problem of ingress of contaminants but also
14 medication errors due to legibility and similar
15 packaging issues.

16 So those are the issues before us. Peter?

17 DR. GROSS: We share in your perplexity.

18 DR. SELIGMAN: Thank you.

19 x DR. GROSS: This is a difficult issue.

20 Thank you very much for the questions,
21 Paul, and we will initiate the discussion. The
22 agenda allows two hours for discussion, so why

1 don't we do roughly an hour, and then maybe we can
2 have lunch and then finish up, if that's okay.

3 MS. JAIN: Lunch is on its way.

4 DR. GROSS: Okay. Well, whenever lunch is
5 here, we will re-evaluate our timing. But let's
6 begin the discussion now.

7 Anyone have any comments? Why don't we do
8 this in an orderly fashion and take the issues as
9 Paul presented them, with 1a being the first.
10 They're all sort of interrelated, but why don't we
11 get specific and talk about 1a first. Leslie?

12 DR. HENDELES: I'd like to preface my
13 comments by saying that nebulization of
14 bronchodilators is an obsolete way of treating
15 acute bronchospasm, and part of whatever we do
16 needs to focus on an educational program designed
17 at using the meter-dose inhaler through a valve
18 holding chamber, which is far more efficient,
19 causes fewer side effects, less expensive way, and
20 it's the way the rest of the world treats acute
21 asthma. The United States has a fixation on
22 nebulizer therapy that they won't let go of, for

1 some reason, especially pediatricians, but there's
2 clearly 10 to 15 double-blind, placebo-controlled
3 trials, a Cochran review, et cetera, that indicate
4 that there are much more efficient ways and it
5 would, of course, circumvent this problem for
6 asthma.

7 Now, having said that, I really like the
8 idea of having that foil pack, like the Nephron,
9 with a single unit, and I think that would solve
10 the problem. It would allow for the bar coding.
11 And according to Karen, respiratory therapists
12 would be willing to carry that in their pocket. As
13 I understand it, the reason why they carry single
14 units in their pocket is because when they open the
15 foil pack, there's 12 of them there. If there's
16 only one, they would probably carry it. And, of
17 course, that could also be addressed through
18 professional education as well.

19 DR. GROSS: Leslie, for myself and anyone
20 else who is not 100 percent clear on what you said,
21 would you contrast the two methods of medication
22 delivery again?

1 DR. HENDELES: Bronchodilators as well as
2 inhaled steroids can be delivered by a pressurized,
3 meter-dose inhaler that's attached to a valve
4 holding chamber with an age-appropriate connection,
5 either a mouthpiece for older folks or a mask for
6 preschool kids that seals around their nose and
7 mouth, and you fire off a few puffs, such as four
8 puffs, into this chamber and it's equivalent in
9 efficacy to nebulizing a bronchodilator in the
10 emergency room. It causes fewer side effects. It
11 takes a minute or two to give the treatment instead
12 of 15 to 20 minutes, and it's far more convenient
13 for patients and cheaper. They don't have to buy a
14 compressor for \$150.

15 DR. GROSS: Could someone from the FDA
16 comment on whether or not they want to tackle that
17 issue?

18 DR. SULLIVAN: That may not be an issue
19 for the FDA really to address. I don't think there
20 would be any--the evidence being what it is, that
21 MDIs may effect just as great a degree of
22 bronchodilation as a nebulizer, it would be

1 something that physicians should interpret and use
2 in their clinical judgment. I don't think there
3 would be any rationale for the agency to pull
4 nebulizer solutions off the market. I think that
5 would be very drastic. So from our perspective, we
6 have to deal with them.

7 Now, if the medical community starts to
8 learn that maybe they are overusing nebulizers
9 through Dr. Hendeles' shaking the cage a little
10 bit, that's just great. But the issue will still
11 remain for us.

12 DR. HENDELES: And, indeed, there are
13 patients who might be unconscious, for example, or
14 would need the nebulizer, and there are drugs such
15 as Tobramycin that can't be delivered by MDI.

16 DR. GROSS: Arthur?

17 MR. LEVIN: I realize it isn't within the
18 scope of authority of the FDA to dictate clinical
19 practice, but part of the problem here is we're
20 dealing with a tension between an issue of
21 potential harm, which is the leaching of, you know,
22 substances that don't belong in the solution into

1 the solution and the documented potential harm of
2 error. And we're looking at a variety of
3 solutions, none of which is perfect and each of
4 which brings with it some question: You know, does
5 it solve the error problem entirely? Or by solving
6 the error problem entirely, does it still leave us
7 open to the problem of possible impurity?

8 In that context, I think the FDA does have
9 something to say, and then when we move to the
10 ambulatory setting particularly, where these issues
11 I think get even more complicated--and we really
12 haven't talked about it--that if there are better
13 ways to deliver the product that relieve us of the
14 burden of trying to figure out the perfect solution
15 on these two different potential harms, that's
16 worthy of comment. I mean, nobody expects you to
17 be able to pull the product from the market, but in
18 dealing with improving safety of products, I don't
19 think it's entirely out of character for the FDA to
20 make a comment that one of the solutions here is to
21 use a different form of delivery that obviates the
22 need to talk about all of this. You may not be

1 able to say, "You can't use the other," but you can
2 certainly say, "Moving in this direction seems to
3 be a way to solve the problem," and I would say
4 particularly in the ambulatory populations.

5 DR. GROSS: Maybe we'll have one or two
6 more comments on this particular issue. Then we'll
7 have to get back to the questions raised by Dr.
8 Seligman in 1a.

9 Brian?

10 DR. STROM: yes, I'd like to in my initial
11 start be more provocative. We're hearing, as
12 Arthur is saying, between two safety problems,
13 without good data on either side to quantify each
14 of them. We're using in one case physiological
15 chemical tests and the theory that leaching might
16 be a problem, and it's clearly understandable why
17 it can't be quantified more than that. And we're
18 hearing on the other side about medication errors
19 based on the spontaneous reporting system, which is
20 grossly incomplete. We don't know how many there
21 are out there other than the fact that we're seeing
22 a number, and there are clearly many more out there

1 than we're seeing that could be studied more
2 concretely, potentially. But, in either case, we
3 don't have good quantification, and so part of the
4 problem here is balancing two risks, neither of
5 which are quantified.

6 If we're hearing from Leslie--and you're
7 not disagreeing--that there is a better approach
8 which is more effective and is safer, why isn't
9 that a regulatory reason for the FDA to remove the
10 nebulizers--this packaging?

11 DR. SULLIVAN: Well, I'm not actually
12 agreeing. I'm aware of the various articles that
13 are out there. I have not reviewed those studies
14 myself, seen the data myself. Certainly the agency
15 has not come to that conclusion that the MDIs have
16 these attributes, these costs, and effectiveness
17 and so forth. And that's an open question, I
18 believe. Dr. Hendeles probably knows that
19 literature even better than I.

20 But for the agency to come to a conclusion
21 like that is a very significant matter, and, again,
22 although we can make comments, it's not clear in

1 what context that comment will hold any water until
2 or unless we were to, as suggested, remove them
3 from the market. And I think that that's quite a
4 drastic step, and I think that as Dr. Hendeles
5 pointed out, there would be very good arguments
6 that there may be some populations who are only
7 served by the nebulizers, and, therefore, it would
8 be unwise to remove them from the market.

9 So let me say that we haven't made that
10 determination, number one, and that even if we made
11 the determination that for the average patient it
12 was efficient in some way that you would like to
13 define efficiency, it would be hard for us to move
14 on that.

15 So I understand your perspective and I
16 understand Dr. Hendeles' perspective that perhaps
17 the American physicians are overutilizing them.
18 But I don't think that's going to get around the
19 issues that we have to face.

20 DR. GROSS: Okay. I think that maybe the
21 sense of the committee is that this is advice
22 they'd like to give to the FDA to look into this

1 issue and decide how they want to proceed. But I
2 would like that the issue should be brought to the
3 attention of the national pulmonary organizations,
4 and they in their guidelines should make this
5 recommendation because in that setting they might
6 have a significant clinical impact.

7 DR. HENDELES: It shouldn't be limited to
8 physicians. I think health system pharmacists and
9 respiratory therapists and those organizations play
10 a role, too.

11 DR. GROSS: Absolutely. But that might be
12 the way to begin to make the change, if that's what
13 the scientific evidence indicates.

14 Okay. I know you've all been trying to
15 avoid 1a, but we do have to address it.

16 [Laughter.]

17 DR. GROSS: And I saw Michael's hand up
18 first.

19 DR. COHEN: Thank you very much, sir.

20 First of all, let me just ask the
21 question: Are we talking only about the
22 respiratory ampules, the LDPE, or are you talking

1 about all LDPE? Because there's a difference
2 between the two, and the way they may be labeled
3 might be different as well. So that would be the
4 first question. Are there, in fact--we need to
5 clarify that there are, in fact, LDPE ampules for
6 injectables and ophthalmics, et cetera? Is that
7 what we heard earlier? That's number one.

8 MS. HOLQUIST: Yes, and that was one of
9 our questions, too. Should we treat the pulmonary
10 products separately than these other products that
11 are packaged by other routes of administration?

12 DR. COHEN: I guess what I'm saying is,
13 even if we do clarify what you just brought up, Dr.
14 Hendeles, we'd still need to address the issue of
15 the labeling because there are other forms, if, in
16 fact, they're LDPE. So that was the first thing I
17 wanted to mention.

18 Can I make a suggestion to the Chair that
19 we go through each of these bullets, perhaps
20 separately? Or do you want us to comment on all of
21 them at the same time?

22 DR. GROSS: I think that's a very good

1 idea, Michael. Do you want to begin with embossed?

2 DR. MANASSE: Peter, I wonder if I could
3 just interrupt.

4 DR. GROSS: Yes, sure. Henri?

5 DR. MANASSE: I think before we jump to
6 choosing between evils, I think we have to lift up,
7 perhaps, to the 30,000-foot level a minute and,
8 that is, if we're going to continue to use these
9 low-density polyethylene containers in the sizes
10 that we're going to use them, if that's a given,
11 we're going to have to more carefully understand
12 and identify both the packaging and ingress issues.

13 I'm a little bit uncomfortable jumping to
14 picking what we think is the best when we don't
15 have all the information. I don't think we're
16 totally educated on all the potential leaching
17 issues, all the potential chemical agents that
18 could cause degradation, et cetera, et cetera.

19 At the same time, I'd hate to see us jump
20 to figuring out packaging solutions when at least
21 I, for one, have not been presented with all of the
22 packaging options that might be a possibility.

1 We're limiting ourselves largely to pharmaceutical
2 packaging, and I'm amazed in this country how
3 creative packaging can become. All you have to do
4 is look at the cosmetics industry to see some of
5 that creativity. I'm not sure that we've exhausted
6 the dialogue around creative mechanisms by which
7 people can read this stuff, that they can handle it
8 without an intervening health professional, at
9 home, for example, and particularly relating to the
10 elderly. And I'm not convinced that we know enough
11 yet about what kind of package designs are utilized
12 in other industries that might be applicable here
13 that could solve our problem in a much bigger way.

14 I don't want to be interruptive, Peter,
15 but it seems to me that we've got to look at those
16 issues.

17 DR. GROSS: I think those are very
18 critical points, Henri. I know from my point of
19 view, I'm not sure I got an answer as to why other
20 polymers have not been selected as opposed to
21 polyethylene, you know, like polypropylene or
22 polystyrene. Is there any potential there? Can

1 that be looked at? Is high-density any better than
2 low-density is another issue. Should the thickness
3 of the LDPE be made greater and that would probably
4 slow the migration? But would it make a
5 significant difference over a period of time or
6 not? So there's just a tremendous amount that's
7 not known.

8 But in the absence of all the knowledge
9 that we need, which is the situation in most
10 instances that we have to deal with in life, just
11 read Robert Rubin's book, we still do have to
12 address the questions posed to us.

13 Does anybody have any other comments
14 before we address those specific questions? Yes,
15 Jackie?

16 DR. GARDNER: Since we aren't experts on
17 this and what you're saying is correct, and since
18 Brian is leaving this committee and he always has
19 one mantra, and that is, we need data, where are
20 the data, and he won't be here anymore, so I'll
21 take that up for him, my suggestion would be that
22 we ask, maybe starting with Michael, of these

1 options which is probably the most satisfactory on
2 the face of it given everything we've heard today,
3 recommend that maybe starting with that, some
4 studies be done to address the extent of the
5 ingress using that method, and has it solved that
6 problem? And so to that end, it sounds like either
7 the shrink wrapping of the ampule, as Michael
8 suggested, or the foil wrap, individual unit of use
9 sleeve, which I happen to like because it seems
10 like you could bar code it and also put
11 instructions and colors and other kinds of things
12 on it, but pick one, the best that we can come up
13 with and ask them to study it and then tell us how
14 bad it turns out to be.

15 DR. GROSS: Well, we don't even know the
16 toxicity of the chemicals that are ingressing. We
17 don't even have that information. Certainly a lot
18 of products that are available commercially have
19 low levels of toxin that are considered acceptable.
20 So, I mean, that's another big area where we just
21 don't have the information.

22 Leslie?

1 DR. HENDELES: Did we learn whether this
2 foil wrap actually prevents the problem or does it
3 add anything else to the vial, the solution?

4 DR. SHAH: Well, it depends.

5 DR. HENDELES: Yes, okay.

6 DR. SHAH: Again, it goes back to the
7 question of having adequate knowledge of the
8 chemical components which you have selected for
9 your foil laminate and, critically, the adhesive
10 layer which is used. Most of the time, the organic
11 solvents which are used in adhesives, they migrate
12 from the adhesive layer to the LDPE vial. As long
13 as the adhesive layer is on the other side of the
14 aluminum foil, they may not have to worry about
15 that. You can use a sort of adhesive layer, you
16 can use pressure-sensitive materials which can just
17 fuse together. Then you can avoid using adhesives.

18 Again, that's solving one problem coming
19 from adhesive. However, the other layers which are
20 used inside the aluminum foil, again, the product
21 composition, chemical composition does matter. If
22 there are small organic molecules which have a

1 volatile potential, there is a likelihood that it
2 may migrate. However, the applicant can do a
3 one-time study and demonstrate that whatever
4 leaches into the drug product is not significant
5 enough to pose a safety issue. If that is being
6 done, then that may be a possibility.

7 But we really don't know, I mean, in that
8 sense that it will solve the problem of not
9 leaching 100 percent.

10 T3A DR. GROSS: Okay. We've been talking for
11 20 minutes, and we have still avoided the question.
12 So anybody have any other comments before we
13 address the question before us?

14 [No response.]

15 DR. GROSS: Okay. At Michael's
16 suggestion, if that's okay with everybody, starting
17 at the top, any comments on embossing? Michael?

18 DR. COHEN: I have a few comments, but,
19 again, we are talking about the respiratory use
20 specifically? That's fine if we are.

21 DR. GROSS: Well, go ahead and distinguish
22 what you want.

1 DR. COHEN: Well, I think for the
2 respiratory use, at least now there's not a great
3 variety of agents that are packaged in this type of
4 plastic, which may have an impact on my comments
5 with injectables, et cetera. I don't know what the
6 future growth will be.

7 But with the embossment right now, I think
8 it's pretty clear that we really can't leave things
9 the way that they are and that there are some
10 changes that we heard from--I believe it was from
11 Cardinal Health that possibly could help here. One
12 of them was the large type, and I thought that was
13 a world of difference between that and the old
14 type.

15 However, I should point out that we're
16 talking about clear containers now, colorless
17 containers. We're not talking about color
18 containers, and there's a whole set of problems
19 with that that I don't even know if we're going to
20 get into. But I would certainly discourage the use
21 of color differentiation.

22 But, at any rate--

1 DR. GROSS: Because?

2 DR. COHEN: Well, again, you know, the
3 area of growth, confusion with other medications.
4 Are you coloring them by class of drug or by
5 individual drug? If it's by individual drug, are
6 there enough colors? Et cetera, et cetera. But,
7 at any rate, we can get into that a little bit
8 later.

9 But I also want to point out that when you
10 take these clear containers, what we saw was the
11 container against a dark background. When you put
12 them against the table here or a lighter, white
13 background, the readability still leaves something
14 to be desired. Plus, you know, the way that the
15 photographer took the picture or something may have
16 impacted, you know, how we viewed that as well.
17 But I still think it really does have some
18 possibility for us there with the large type.

19 The other concern with that, though, is
20 that in using that large type, it forced them to
21 place the strength of the medication on the
22 opposite side. In reality, I still think there

1 will be some medication errors where people will
2 leave these on a counter or in a bin, for example,
3 see, you know, Ipratropium or whatever the
4 medication is, and not pick it up and turn it over.
5 So you'll have some confusion between strengths
6 still.

7 So, with those caveats, I think that is
8 one thing that should remain on the list, the
9 embossment with larger characters.

10 DR. GROSS: Anyone else want to comment?

11 Yes, Stephanie?

12 DR. CRAWFORD: I'd actually like to
13 address my question to the agency. What would be
14 the feasibility from a regulatory perspective of
15 increasing the type, knowing that other content
16 would have to be removed from the immediate
17 container?

18 MS. HOLQUIST: Well, right now there is a
19 regulation for what's allowable for the smallest
20 size label, and it's pretty minimal. Basically
21 it's the name of the product, either the
22 proprietary name, the established name, the

1 manufacturer, the lot number, and expiration date.
2 I don't know how much less of that that you can
3 include because if there is a product problem with
4 a specific lot number, you're going to need that
5 information with each nebule. If it's on like one
6 of these flanges and it's removed, that information
7 is gone, so basically your stock is pretty much
8 wasted because you'd probably have to throw it out
9 because it's in doubt whether it's that affected
10 lot.

11 It would be great if nobody put a
12 proprietary name on there, but we know that's not
13 going to happen. So, you know, it has to be the
14 name of the drug and it has to be the strength
15 because there are multiple products. So I really
16 don't know how we could eliminate much more than
17 what's required on there.

18 DR. GROSS: Robyn?

19 MS. SHAPIRO: This is probably a stupid
20 question. Can you different-color the embossed
21 figures so that you have embossments, or whatever
22 the noun is, in a different color so there is

1 contrast?

2 DR. SADEGHI: [Inaudible, off microphone]
3 like a stamp, you have an ink layer [inaudible].

4 MS. SHAPIRO: Right.

5 DR. GROSS: Brian?

6 DR. STROM: I want to come back to
7 Michael's suggestion, which I think makes enormous
8 sense. I think from the list of things you just
9 gave us that are now required, there is a very big
10 difference between the importance of the drug name
11 and the strength versus the lot number, for
12 example. And to say that they're equivalent, I
13 think from a clinical point of view, you don't need
14 the lot number. And if there's a problem, yeah,
15 you'd like to know the lot number, but chances are
16 it's going to have been thrown away by then. The
17 container will have been thrown away regardless.

18 It would be nice to have the lot number on
19 it, but I would not by any means consider it
20 equivalent to the drug name. And so the idea of
21 having the drug name in big print like we saw and
22 the lot number on the flange on the bottom in small

1 print and the expiration date on the flange on the
2 bottom in small print--again, I don't think it
3 should be unavailable, but I think the two are
4 dramatically different in their clinical
5 importance. And to differentiate between them in
6 the label personally I would think would make a lot
7 of sense.

8 DR. SULLIVAN: Let me see if I can
9 respond. I think we have to be a little bit
10 careful because there is a specific regulation
11 about minimum requirements in labeling, and we can
12 presume that a lot of thought went into that. And
13 the requirement is regarding drug products that are
14 so small that you have to really minimize what you
15 put on there. And through the process that
16 regulations were developed, it was determined that
17 this was the minimum set. And I think we ought to
18 be careful that in solving this problem we don't
19 perhaps brush aside what probably was considered
20 very carefully.

21 And I would think that in a setting of
22 particularly a drug recall that it would be

1 critical to be able to have the lot number there.
2 And this is just an off-the-cuff remark, but I do
3 want to respect the process that apparently was
4 undertaken to make the regulation to think
5 carefully about what's the minimum amount of data
6 that should be there.

7 DR. STROM: If I can follow up, let me
8 just clarify. I'm not saying--I'm not disagreeing
9 with you. I'm not saying that the data shouldn't
10 be there. What I'm saying is the weighting of the
11 data and the importance of the data and the utility
12 of the data are very different, that the lot number
13 is important when you have a recall, which is
14 hopefully uncommon. The drug name and dose is
15 important every time you give it, and so the
16 data--I'm not saying the data should be eliminated.
17 I'm saying there should be a differentiation
18 between the size and how they're provided. So if
19 you have a fixed amount of space, use most of it
20 for what is most important and you need every day;
21 and if you can't normally read the lot number
22 without a magnifying glass, who cares?

1 [Inaudible comment off microphone.]

2 DR. STROM: You can't anyway, yes. Yes.

3 DR. SHAH: I think currently we are doing
4 in a sort of way that the lot number and expiration
5 date is going to on the bottom flanges, which is
6 always tiny, small. So I agree with him that the
7 increase of the text size does make a dramatic
8 difference. So I think there is an opportunity
9 over there to make an improvement as far as the
10 medication error is concerned.

11 DR. SULLIVAN: Yes, I thought the basis of
12 that slide was that in order to increase this size,
13 we'd have to eliminate some of what's currently
14 required. And if we were to say we agree with
15 that, we ought to think very carefully.

16 DR. GROSS: Leslie?

17 DR. HENDELES: A compromise might be to
18 use the first and second bullet where you increase
19 the print size, leave on the essential information,
20 but put one unit in a foil pack. That would solve
21 all of those problems.

22 DR. GROSS: Yes?

1 DR. STEMHAGEN: One of the things that's
2 not on the list is changing the size and shape and
3 differentiating by size and whether that's even a
4 possibility, you know, different doses at different
5 sizes and things. We saw a couple different
6 shapes, but we didn't really talk about that kind
7 of change in packaging.

8 DR. GROSS: Thank you, Annette.

9 Any other comments on embossing? Arthur?

10 MR. LEVIN: In terms of shape and size--I
11 mean, it's a little off embossing, but are there
12 any studies that look at the ability of people to
13 recognize that in the field? It strikes me it's an
14 accident waiting to happen. But I just don't know
15 if there are studies out there that look at these
16 issues of differentiation by side and shape in the
17 clinical setting. If people are indeed carrying
18 dozens of vials in their pocket, you're asking an
19 awful lot if you expect that to make a difference
20 or reducing the possibility they may pick the wrong
21 dose or the wrong drug.

22 DR. GROSS: I guess part of that question

1 is: Does the FDA--can the FDA sponsor research
2 studies to deal with some of these questions?

3 DR. SHAH: I'll just say one more thing
4 regarding the shape--

5 DR. GROSS: No answer to that question?

6 DR. SHAH: No. I think we can take it to
7 the agency, but I think it's a policy issue, and I
8 think they will have to consider that.

9 DR. GROSS: Okay.

10 PARTICIPANT: [Inaudible comment off
11 microphone]--once you do that, [inaudible] same
12 product, and then you have to standardize it across
13 the board. One manufacturer makes it this shape,
14 another makes a different shape, [inaudible].

15 DR. SHAH: I was just making the same
16 point, that, you know, if you are just going to
17 rely on the shape, oh, this particular shape is
18 associated with this drug product and somebody
19 decides to make for some other drug product a
20 similar shape, then we are still going to have a
21 similar problem.

22 DR. GROSS: Brian?

1 DR. STROM: Just involved with the shape
2 thing, I think it's probably--I'd be interested in
3 Michael's answer, but my reaction is it's similar
4 probably to the color issues, which I think is what
5 Michael is suggesting. To the degree you give
6 people an alternative cue, they'll use that cue
7 instead of the name, and you're more likely to have
8 errors, therefore, because people are using that
9 cue instead of the name. I would rather people
10 have to use the name but it be legible. They're
11 less likely to make errors, I think. But, again,
12 you know, I'd like to see data.

13 DR. STEMHAGEN: I was thinking that we're
14 trying to squeeze a lot of information on a small
15 thing. If it were bigger, you'd have a little bit
16 more space to make the print larger. That's where
17 the size issue was--

18 DR. GROSS: Let me see if I can summarize
19 the sense of the group on the embossed issue: If
20 embossing is to be continued, it should be done
21 where the drug name and dose is much larger print,
22 and yet we still have to consider what to do about

1 expiration date and lot number, although that could
2 be smaller. Is that sort of the sense of the
3 group?

4 PARTICIPANT: Yes.

5 DR. GROSS: Okay. Let's go to number two,
6 unit package overwrap. Anyone want to comment on
7 that? Yes, Jackie?

8 DR. GARDNER: As mentioned earlier, I
9 favor this one in conjunction with the former so
10 that the embossed product that's inside would also
11 have the larger, more legible features that were
12 mentioned in bullet number one, and this would give
13 us the opportunity for a good deal more in the way
14 of information, identification, and bar coding.

15 DR. GROSS: Henri?

16 DR. MANASSE: I would urge us or urge the
17 agency and the manufacturing industry to explore a
18 mechanism whereby that outer overwrap cannot be
19 separated until actual use of the drug from the
20 original vial. So when you rip off the outer wrap,
21 that then opens the package for use.

22 DR. GROSS: So your comment addresses the

1 issue brought up by many of the respiratory
2 therapists that they'll take it out of the wrap and
3 put all of them in a jumble in their pocket, and
4 then the wrap is sort of useless for
5 identification.

6 DR. MANASSE: Exactly.

7 DR. GROSS: I don't know if that's--I
8 guess anything's mechanically possible to attach
9 the two.

10 Any other comments on the wrap? Michael?

11 DR. COHEN: Just I absolutely agree with
12 what Henri was saying about, you know, having a
13 foil wrap but being able to tear it at the same
14 time as you open the container.

15 And just to point out that I have
16 absolutely no doubt that people will remove--unless
17 we do that, people will remove them from the
18 overwrap. We've seen that with, you know, nurses
19 administering drugs that are packaged in cartons,
20 for example, and sent as unit doses or some other
21 type of outer wrap.

22 DR. GROSS: Okay. So the sense of the

1 group is that the unit package overwrap is a
2 reasonable idea, but we still have to deal with the
3 issue of it being discarded well before the drug is
4 administered. Is that fair enough? Well, the new
5 data curmudgeon's comments, Jackie, about having
6 more data, we all agree with.

7 [Laughter.]

8 DR. GROSS: Okay. The next is the
9 printed, elongated bottom tabs. I know the one I
10 saw that I liked with the refresh label. The black
11 writing, although small, was pretty clear, even for
12 these eyes. Any other comments? Can you see it,
13 Arthur?

14 [Laughter.]

15 DR. GROSS: Okay. Any other comments?

16 DR. STROM: Is there a concern about
17 leaching in that setting?

18 DR. GROSS: Dr. Shah, could you answer
19 that? If you put a printed label on the tab
20 attached to the main vial, I guess it's
21 theoretically possible that some of that print
22 could eventually leach in, but it's less likely.

1 DR. SHAH: Again, if that is in an
2 overwrap pouch and then it is a closed environment
3 and if there are volatile solvents into the glue
4 which has been used, then, yes, that is a
5 possibility. That will be exactly the same thing.
6 Instead of the close contact, it is a little bit
7 away, but it still will have that possibility.

8 DR. GROSS: Okay. Any other comments on
9 the elongated tabs? Do people like them?

10 DR. STROM: Let me suggest, maybe this is
11 a summary, I think, of the sense that they look
12 attractive, but if they raise the same concern
13 about leaching, they're no advantage. So what's
14 needed before a decision is made is a similar study
15 to the kind that you did with the marketed products
16 to find out if, in fact, there's leaching, given
17 what we're hearing is it's just theoretical.

18 DR. GROSS: Right. More data.

19 DR. CRAWFORD: Dr. Gross?

20 DR. GROSS: Yes, Stephanie?

21 DR. CRAWFORD: Could I just add that I
22 think and the sentiment of the committee right now

1 is that we're not making a recommendation for this
2 because we don't have evidence that it won't cause
3 more problems than it solves for this particular
4 one.

5 DR. GROSS: So we need some data before a
6 sense can be formed, and that, you know, probably
7 applies to almost everything that we're going to
8 comment on.

9 Okay. Paper labels, not glued to the tab
10 but glued to the actual vial where the medication
11 is. Any comments on that?

12 DR. HENDELES: Isn't there a problem with
13 that?

14 DR. GROSS: Oh, well, this is what we're
15 supposed to say, yes. Right. So Leslie's vote
16 is--

17 [Laughter.]

18 DR. GROSS: Leslie's vote is, hello,
19 there's a problem.

20 [Laughter.]

21 DR. GROSS: Okay, Michael?

22 DR. COHEN: Obviously there's a concern

1 about the safety at this point. I should point
2 out, though, that whether we put labels on it or
3 not, I think in some cases with unit-dose drug
4 distribution, the pharmacy is going to put labels
5 on them of their own. So that's going to probably
6 seep in if we don't do something to change it
7 otherwise.

8 DR. GROSS: Okay. So the--yes, Henri?

9 DR. MANASSE: Michael raises a really
10 important point which hasn't been part of the
11 dialogue today. As manufacturers decrease the
12 production of unit-dose packaged drugs, it forces
13 hospitals into being in the packaging business.
14 And most hospitals are not experts in packaging,
15 and, consequently, this issue of the leaching and
16 the paper label attachment is probably a warning
17 that has to go out to hospitals who do engage in
18 the packaging business, because we've now
19 introduced a packaging phenomenon that's not well
20 understood.

21 DR. GROSS: Leslie?

22 DR. HENDELES: By extension, then,

1 pharmacists in the community who compound nebulizer
2 solutions need to have that same warning. It
3 shouldn't be just in the hospital because that's a
4 whole other problem that's outside the control of
5 the FDA. But, still, if there's a potential
6 problem with commercial products, it's equally a
7 problem with compounded nebulizer solutions.

8 DR. GROSS: Yes, Arthur?

9 MR. LEVIN: I want to follow up because I
10 always thought we were hardly using unit-of-use
11 packaging from manufacturers as a source compared
12 to everywhere--it's one of these things, America
13 versus everywhere else in the world where
14 unit-of-use packaging is the standard. And you're
15 saying it's getting--actually, there's less
16 unit-of-use packaging being delivered by--which is
17 really troubling. You know, if that's the trend,
18 then looking at solutions that are dependent on
19 manufacturers to do the right thing is crazy,
20 because then we need to really be looking at where
21 they get--at the repackaging problem. So, I mean,
22 I think that's another piece of data that we need

1 to have, that if we're looking to have
2 manufacturers use unit-of-use packaging as part of
3 the solution or most of the solution to the
4 problems we're discussing, and indeed they're doing
5 less and less of that and there's repackaging at
6 the community pharmacy level, the mail-order
7 pharmacy level, or at the hospital or other
8 dispensing level, then all of this is besides the
9 point. So we need to know more about that.

10 DR. GROSS: Michael, another comment?

11 DR. COHEN: The term unit of use is
12 different than unit dose. We were speaking about
13 unit dose, meaning the individual dose for that
14 patient. Unit of use would be package that
15 contains perhaps a supply of medications just for
16 that patient.

17 DR. GROSS: Okay. So the sense of the
18 group with paper labels seems to be it's less than
19 ideal and it's probably something that should be
20 avoided. But, once again, there is no data to show
21 the human toxicity from the observed leaching of
22 compounds, and that would just make, you know, life

1 easier if it was at all possible to get that, which
2 it may not be.

3 Ink without label is probably even worse
4 than paper labels, but, Curt, did you want to say
5 something?

6 DR. FURBERG: I just want to say that for
7 the paper labels, is it possible to have a warning
8 box like we have for drugs, warn against using
9 paper labels directed at the pharmacists.

10 DR. GROSS: Gene?

11 DR. SULLIVAN: You're saying that if
12 manufacturers proceeded--or continued to use
13 embossed or debossed and the pharmacist chose--they
14 thought it was best to take their own label and
15 stick it on?

16 DR. FURBERG: Yes, that's correct. I
17 mean, have you ever addressed that, warnings
18 directed at the middleman, the pharmacist, rather
19 than at the health care provider and the patient,
20 warn them against doing things to the vial?

21 DR. SULLIVAN: Right. I think--

22 DR. FURBERG: Any label, doing whatever,

1 removing the overwrap, et cetera.

2 DR. SULLIVAN: You're right. It seems
3 unwise for people who are not expert to be using
4 materials that are not well characterized and
5 applying them directly to a permeable container
6 closure system, and certainly that is something
7 that--a practice that shouldn't be undertaken. I
8 think that we're today trying to talk about what to
9 ask the manufacturers to do in regards to what they
10 can do to improve the legibility so that perhaps
11 pharmacists won't feel compelled to do what maybe
12 they are doing.

13 DR. SHAH: Can I add to that? Especially
14 on the labeling, there is clearly a warning that
15 says open just prior to use, so they are not
16 supposed to remove it from the container.

17 DR. FURBERG: You can add to that.

18 DR. SHAH: Yes, we can add it, but this is
19 just the practice and that's what happens, I guess.
20 And I guess at that point I don't think the agency
21 has a control over that, and I think that's another
22 way to educate the people and then get the message

1 around, I would think.

2 DR. SULLIVAN: It's been our informal
3 assumption that if they were individually wrapped,
4 it would greatly decrease the likelihood of
5 respiratory therapists, you know, going in the
6 morning and unwrapping 20 and then putting them in
7 their pocket to care for patients through the day.
8 I think that's probably less likely, and we could
9 get some input from the speaker from the
10 Respiratory Care Association. Intuitively, it
11 seems less likely that would occur. I think you
12 can't, just as you can't--you know, patients at
13 home may take out five pills from their bottle and
14 they're divorced from the labeling, that could
15 happen. It's been our assumption that it would be
16 much less likely if there was just one vial per
17 pouch.

18 DR. FURBERG: But you could still use the
19 overwrap to have a warning.

20 DR. GROSS: Karen?

21 MS. STEWART: [Inaudible, off microphone.]
22 I think if it--the problem comes when they package

1 multiple [inaudible].

2 DR. GROSS: This is another favorable push
3 for a unit package overwrap.

4 Is there anyone who would like to speak in
5 favor of ink without label directly on the LDPE
6 vial? Michael?

7 DR. COHEN: I don't want to speak in favor
8 of it, but one of the examples that was shown was
9 an injectable with the ink embossed--or printed
10 right on the label. That was the Naropin
11 injection. And I'm wondering, you know, if there's
12 a concern with patients with respiratory disorders,
13 is there a concern with systemic use of a drug like
14 that? Do we know anything about that, as a matter
15 of fact?

16 DR. SULLIVAN: So the question is: Is
17 there a difference in our concern regarding the
18 level of contaminants? I think from a
19 pulmonologist's perspective there is, that
20 particularly because of the nature of the patients
21 we treat, who can be very sensitive--you know, I
22 touched on it my talk. We haven't spoken too much

1 more about it, but patients that actually develop
2 specific immunity. So they're allergic to things,
3 and atopic patients, asthmatics, are more likely to
4 develop specific immunity, and probably
5 physiologically, humans are more likely to develop
6 specific immunity when drugs are administered by
7 the inhalation route than by other routes, like
8 oral or even IV. So I understand your point about
9 separating these drugs. The issue of there being
10 multiple routes of administration is important
11 because you mix up between the routes.

12 The specific concern about the chemical
13 impurities to me is particularly important for
14 inhalation drugs.

15 DR. COHEN: I guess it leads me to ask the
16 question then: Will you allow--I mean, we have
17 already several injectable products in this type of
18 plastic. There will be saline and heparin and, you
19 know, various products like that. And I'm
20 wondering, I guess, if you would allow then the use
21 of ink on these containers, because that would
22 solve our problem if there's no concern at FDA for

1 the ink and the volatiles from the ink. With
2 systemic use.

3 DR. GROSS: Yes, Brian?

4 DR. STROM: Speaking not as a
5 pulmonologist but a general internist, I worry
6 about IV injection of contaminants more than
7 pulmonary. I mean, yes, it may be less sensitizing
8 perhaps than the lungs, but, still, IV injection of
9 contaminants I would think would be at least as
10 worse.

11 DR. SULLIVAN: Well, I mean, of course,
12 all the products are carefully controlled, and I
13 don't have the expertise--maybe Dr. Shah
14 does--about the particular controls that are put on
15 oral products or IV products. But we very closely
16 control inhalation products because of the issues
17 of irritants and because of the issues of
18 sensitization. And which is a greater risk I guess
19 I won't firmly state, so--

20 DR. GROSS: The sense of the group seems
21 to be, in the absence of human data of actual risk,
22 our recommendation would be to avoid the ink

1 without label directly on the vial containing the
2 medication. Is that fair? Anybody disagree with
3 that?

4 DR. COHEN: I have a--

5 DR. GROSS: Michael disagrees.

6 DR. COHEN: These types of packages are
7 used widely in other countries for parenteral
8 medications, and I don't know that there's been
9 anything ever reported, you know, as an adverse
10 effect specifically tied to the inks. I don't
11 know. But, you know, I express the same concern
12 that Dr. Strom has. If there's any evidence at all
13 that there's leaching of the ink through the
14 plastic, through the semipermeable membrane, that
15 would be a concern systemically. I just didn't
16 know.

17 DR. GROSS: Leslie?

18 DR. HENDELES: There's actually precedent
19 with sulfites and tartrazine, other substances in
20 medications that cause reactions in selected
21 patients. So I think if there's any way of
22 avoiding putting something in that you don't know

1 to be safe, you should avoid it because there are
2 examples of other contaminants causing the reaction
3 than the drug.

4 DR. GROSS: The question is for the
5 specific ones, do we know them to be unsafe? Yes,
6 Brian?

7 DR. STROM: I guess my sense in a
8 data-free world that we're operating in here is to
9 share the concern that you expressed, Peter, of a
10 consensus of let's not use it here because of the
11 risk of contaminants. But I would take that
12 further in two ways. One is I would extend that
13 for intravenous use; and, second, I would call for
14 data. It would be nice to know if any of these
15 things mattered, not just in terms of measuring
16 contaminants but even in animal studies, if we
17 can't identify it.

18 I would think in the respiratory situation
19 would be one of the hardest places to get data on
20 the clinical importance of them. But perhaps in an
21 intravenous setting, it might be more possible to
22 get some data in terms of different products of the

1 same drug, for example, that have ink on the label
2 versus don't have ink on the label and is there a
3 difference in subsequent allergic reactions to
4 them.

5 DR. GROSS: Okay. The next one is tactile
6 recognition, use of textures on the LDPE vials.
7 Anyone want to comment on that? And maybe could
8 someone from the FDA elaborate on what you mean by
9 textures. Do you mean smooth versus rough? Or do
10 you mean feeling the letters? What's meant by
11 that?

12 MS. HOLQUIST: A combination of any of
13 those things, by using the type of letters that you
14 can feel, by the different shapes, or should we
15 make the vial feel from for different products? We
16 just threw it out there as another suggestion.

17 DR. GROSS: Jackie?

18 DR. GARDNER: It seems that the point that
19 was brought up about standardization with various
20 manufacturers applies here as well and should be
21 considered.

22 DR. GROSS: Good point.

1 Michael?

2 DR. COHEN: Again, I'll join the data
3 camp. I don't think we know much about the tactile
4 cues. I mean, from a human factor standpoint it
5 certainly makes sense, but using them on actual
6 drug products, I don't know of any history with
7 other products where that's been successful.

8 Perhaps the shape of the container as a
9 tactile cue, the octagonal shape, the hexagonal
10 shape, et cetera, square. We used to do that with
11 insulin vials, for example. That might have been
12 effective. But if that's the case, I don't think
13 you have enough different shapes that could be
14 used, and it also puts burdens on the manufacturers
15 and elevates the cost when you have these different
16 shapes.

17 DR. GROSS: Again, a suggestion to the FDA
18 from a research point of view. When we had that
19 conference--I guess it's almost a year ago now--on
20 look-alike, sound-alike drugs and someone spoke on
21 human factor engineering, it might be interesting
22 to get some input from that kind of person and have

1 them test some of these issues.

2 Brian?

3 DR. STROM: I would also echo my comment
4 before, like with color. Anything that takes
5 people--there aren't enough options in textures in
6 order to replace the use of names. And anything
7 that removes people's attention from the drug name
8 I think might be more likely to cause problems than
9 less, though, again, that's supposition without
10 data to prove that.

11 DR. GROSS: That brings up an issue that
12 the Joint Commission has dealt with on using two
13 patient identifiers. Should there--you're
14 suggesting you'll confuse people, and, you know,
15 does that rule apply at all to drug use that there
16 be two kinds of identifiers, or at least not
17 another identifier that might confuse them?

18 DR. STROM: I guess my--I think the
19 difference versus the Joint Commission situation,
20 the Joint Commission is asking for two unique
21 identifiers for the patient. What we're talking
22 about here would be one unique identifier, which is

1 the name, and another unique identifier, the
2 texture or the color--which isn't unique. There
3 aren't enough unique options in order to make it
4 unique. If it really was possible to have--you
5 know, how many products are we talking about here,
6 30, 40? There aren't that many textures. And if
7 it were really possible to have enough unique
8 colors or unique textures, you might think about
9 that, though I would still think then training
10 people to remember which texture corresponds to
11 which name would be hard as well.

12 So it's different than the Joint
13 Commission situation where you're talking about a
14 patient's name, which is unique to that patient,
15 and both identifiers have that same name. The
16 equivalent here would be having the drug name both
17 embossed and also on the overwrap. And we are
18 suggesting that that makes sense here.

19 DR. GROSS: So the sense of the group
20 seems to be that tactile recognition is not
21 recommended and may confuse. Does anybody disagree
22 with that?

1 [No response.]

2 DR. GROSS: Okay. The next item is shrink
3 wrap labels as an example that was circulated
4 around, and not attached to the LDPE vial itself
5 but to a tab or an appendage attached to the vial.
6 Is that what the FDA means by that? Anybody have
7 any comments? Michael?

8 DR. COHEN: This would be my number one
9 preference, as I mentioned before, because it gives
10 you so much flexibility. You can easily see the
11 black type on a white background. You can put bar
12 codes on it, et cetera. But, you know, I have a
13 concern if FDA has a concern about the volatility
14 of those inks, except, you know, I'd love to see
15 the studies that you were talking about because it
16 just seems to me that this is not an ink that is in
17 direct contact with the LDPE plastic. It's on the
18 overwrap itself. I understand that it still might
19 be volatile within that micro environment, et
20 cetera, but it might be at a level that's not even
21 close to, you know, causing a problem. I just
22 don't know. But I'd love to see the studies.

1 MR. LEVIN: Just a point of information.
2 I would guess that there are inks and there are
3 inks. Are there vegetable dye inks? Are there
4 different kinds of inks that may increase or lessen
5 the potential toxicity?

6 DR. SHAH: Yes, as I mentioned in my
7 presentation, there are water-based inks and there
8 are organic solvent-based inks. So if you have
9 carefully selected ink formulations in which you do
10 not have volatile components, then there is pretty
11 much not any likelihood of any volatile to be
12 present in the ink formulation that may migrate to
13 the vial. So that is a possibility. People can
14 think about that.

15 MR. LEVIN: Is that something that the
16 agency could stipulate, that inks used--I mean, for
17 example, if this was the model and then further
18 stipulate that inks used would have to not--you
19 know, would not contain volatile substances to
20 minimize risk? It's a question.

21 DR. SHAH: I don't know. I will have to
22 ask our, you know, upper office and then find out

1 about that. I'm not sure about that.

2 DR. GROSS: Any other comments? Yes,
3 Brian?

4 DR. STROM: I just want to echo the
5 comment that in many ways this is attractive. It
6 just would be nice to see before that the kind of
7 studies of contaminants that we saw before
8 deciding. So I guess my recommendation would be a
9 conditional, this is preferable after those studies
10 are done. Without those studies being done, we
11 don't know that this is any better than the current
12 approach in terms of leakage.

13 DR. GROSS: And that's part of one of the
14 requests, that whatever we recommend doesn't create
15 additional problems. So we do need that data.

16 Okay. So what Brian said I think sums up
17 what the group thinks. Fair enough? Okay.

18 The last is glass ampules, and perhaps
19 someone could comment from the FDA or Michael or
20 anyone, why did we move away from glass ampules in
21 the first place to plastic? Was it accident prone
22 or what?

1 DR. COHEN: I'm sorry. I raised my hand
2 too--I don't know why we moved away from it, but
3 I'd hate to be a respiratory therapist if I had to
4 crack open all those glass ampules.

5 DR. GROSS: Right. So it's an accident
6 issue.

7 MS. HOLQUIST: Also, I think it lends to
8 errors, as you saw by Marci's slide with the
9 acetylcysteine where it comes in an IV route and a
10 respiratory route, and so it was confused because
11 it looked like an IV product.

12 DR. GROSS: Okay. Any other comments on
13 glass? Brian?

14 DR. STROM: In follow-up to that comment,
15 should we think about a recommendation that the
16 plastic--especially if the plastic is being widely
17 used now in respiratory and it's not being widely
18 used elsewhere but beginning to, that, in fact,
19 that distinction--we're talking about tactile and
20 whatever--be kept clean, i.e., that the plastic be
21 used for respiratory and for parenteral use it be
22 glass?

1 MS. HOLQUIST: I think it's a good
2 recommendation, but, again, it's something we have
3 to bring back to the agency and provide to all the
4 other review divisions that are involved. It's not
5 just the pulmonary division.

6 DR. GROSS: Okay. I guess you're all
7 getting hungry. I'm not sure if lunch is here, but
8 we'll probably break pretty soon.

9 Annette, did you have a comment, or
10 anybody?

11 [No response.]

12 DR. GROSS: Okay. So the sense then is
13 the last comment that Brian made, if glass is used
14 at all, there probably should be a distinction that
15 plastic be used as pulmonary inhalation medication
16 and glass be used for other uses, such as
17 intravenous use. Is that fair?

18 [No response.]

19 DR. GROSS: Okay. Why don't we take a
20 break and we'll address 1b, 2, and 3 afterwards.
21 We have an hour for lunch.

22 [Luncheon recess.]

1 DR. HENDELES: Red and yellow for the
2 Duivent.

3 DR. GROSS: Okay. Are there any other
4 creative suggestions? Jackie?

5 DR. GARDNER: You know, there are
6 thousands, and I have information that the
7 manufacturers are actually working on some of them.
8 And so I think rather than trying to come up with
9 good ideas, however good that was, Les, maybe what
10 we should do is encourage the people who have the
11 most to gain from this to bring forward creative
12 solutions that put all these objectives into play
13 and give us some things to choose from--maybe not
14 today but when they're ready--because they will
15 have tested them as well.

16 DR. GROSS: Like we saw this morning,
17 okay.

18 Henri?

19 DR. MANASSE: I think as we consider new
20 options and new directions in this area, I would
21 hope that the industry and the FDA would very
22 carefully consider symbologies that are

1 electronically readable for patient verification.
2 I think the system is moving in that direction.
3 There are available technologies for that
4 verification, and particularly patient-level
5 verification. Adding these technologies is going
6 to be important. I know what the issues are in
7 terms of the bar code and the size of the bar code,
8 but there are other symbologies that can be
9 applied, like dot matrix technologies, et cetera,
10 that wouldn't take the kind of space. But as we
11 get creative in this packaging, I think we should
12 be real sensitive and help motivate and move the
13 verification mechanisms along.

14 DR. GROSS: Any other comments? Yes, Art?

15 MR. LEVIN: Just to reiterate the
16 importance of also looking at the community
17 pharmacy, ambulatory population, including the
18 elderly, that use these products where the
19 solutions may have to be different, frankly, than
20 they are in the inpatient clinical setting. And
21 remember that that's probably an increasing
22 population of use, and that we need probably to

1 look at the research that's going on now in health
2 literacy and cultural competency, et cetera, et
3 cetera--in other words, a very broad view of what
4 we need to know and sort of think out of the box on
5 how to make this happen.

6 DR. GROSS: I think that's a very good
7 point. Just like they say children are not little
8 adults, the elderly are not young adults, and we
9 have different considerations for all those groups.

10 I'm amazed--oh, thank you, Brian, for
11 coming up with something.

12 [Laughter.]

13 DR. STROM: I just wanted to return to
14 Leslie's comments about the relative benefit and
15 safety of these products as a class versus the MDIs
16 and whether there should, in fact, be at least
17 labeling comments or instructions that might
18 provide some of the alternative data or in some way
19 begin to push the field toward using the safer
20 alternatives instead.

21 DR. GROSS: Okay. There being no more
22 comments for Item 1b, we'll move to number 2.

1 Please consider which stakeholder groups--we've
2 discussed some of this already, but we should
3 emphasize it now--be they manufacturers,
4 practitioners, consumers, or others, can best
5 advise the FDA about possible new packaging
6 configurations that may resolve the issues we've
7 discussed.

8 Jackie already suggested we should
9 encourage the manufacturers themselves to do this.
10 And consumers.

11 Yes, Henri?

12 DR. MANASSE: Peter, I again want to
13 reiterate I think we ought to bring in the cosmetic
14 industry packaging people. They have done some
15 incredibly innovative things in packaging.

16 I think another sector that has a lot of
17 experience in packaging has been the Department of
18 Defense as we look at pouching food, for example,
19 and sustaining it and everything else. So I think
20 our colleagues in the military may be helpful here
21 as well.

22 DR. GROSS: I heard someone say space,

1 involve NASA.

2 DR. MANASSE: NASA.

3 DR. GROSS: Okay. Leslie?

4 DR. HENDELES: In regards to the
5 consumers, there are two lay organizations of
6 people interested in asthma. One is Mothers of
7 Asthmatics, and the other one slips my mind. But
8 there are two organizations, and getting their
9 input might be worthwhile. I can e-mail you the
10 name of that second organization.

11 DR. GROSS: Fine. Michael?

12 DR. COHEN: I just want to say whatever
13 anyone comes up with, I really think it will be a
14 great idea to involve organized respiratory
15 therapy, organized pharmacy, and probably--I don't
16 know if FDA can do this, similar to what they do
17 with the drug names, as we heard at the last DSARM
18 Committee meeting, the idea of failure mode and
19 effects analysis for any of these packaging changes
20 that are made to make sure that--or minimize the
21 chance that there might be a
22 medication-error-related problem with them.

1 DR. GROSS: Yes, a rigorous FMEA approach
2 could be very helpful.

3 Yes, Curt?

4 DR. FURBERG: I just wonder whether this
5 is a unique problem in the U.S. If it's not, let's
6 check and see what other countries are doing, other
7 regulatory agencies, other countries.

8 DR. GROSS: Okay. Good point.

9 Brian?

10 DR. STROM: One of the things we talked a
11 lot about this morning is the need for additional
12 data here. Some of it clearly needs to be
13 generated by the manufacturer, but I wonder if
14 there might be funding agencies--ARC, for
15 example--more applied perhaps to CERTs. Perhaps
16 there's people in the CERTs who might be interested
17 in studying some of these issues.

18 DR. GROSS: Good idea.

19 Michael?

20 DR. COHEN: Just think a little bit more
21 about that. It isn't even just these products.
22 It's other medication-error-related problems with

1 labeling, packaging, you know, where is color
2 appropriate, all that kind of stuff. It would just
3 be so helpful beyond this if we could get the right
4 research done. It just doesn't seem like things
5 have been moving in that direction for whatever
6 reason.

7 DR. GROSS: Curt?

8 DR. FURBERG: One way of getting research
9 is to set up a meeting and invite people to come
10 and present, and maybe it's time now to have a
11 two-day workshop on these packaging issues and
12 invite industry representatives, scientists, and
13 others. It's one way of advancing knowledge.

14 DR. GROSS: Like was done for look-alike,
15 sound-alike names a year ago.

16 Brian?

17 DR. STROM: Following up on Mike's idea of
18 broadening the question, if the question were broad
19 enough, you might be able to get the right group at
20 NIH to be interested, focusing not so much on the
21 specifics of the drug and the drug label because
22 they're not going to care about that in the drug

1 labeling, but issues of patient perception
2 and--well, safety is really an ARC issue. NIH
3 isn't interested in patient safety. But it's--but
4 NIH would be more interested in sort of
5 understanding patient perceptions and, you know,
6 what is it that--you know, issues of color and
7 tactile and sort of, you know, more broader,
8 definitive, and maybe the National Institute of
9 Mental Health, maybe issues--maybe the NHLBI given
10 the importance of this for respiratory, but NHLBI
11 probably would care less about that kind of thing.
12 But NIMH or the National Institute of Nursing
13 Research might be another that might be interested.
14 Another might be NIA, actually, the National
15 Institute of Aging, which has a pharmacology
16 program and the issues here in terms of the elderly
17 being able to read labels correctly and perceive
18 drugs correctly would be a big one. So in terms of
19 looking at sort of who could potentially fund this,
20 fund the necessary collection of data in a way that
21 FDA can't, the NIA might be a logical one.

22 DR. GROSS: Any other comments?

1 [No response.]

2 DR. GROSS: Well, that was very creative.

3 Thank you. That was very helpful.

4 The last question, number 3, is: Given
5 what you have heard today, please describe an
6 appropriate course of action to address the
7 problems of ingress and medication errors due to
8 legibility and similar packaging issues.

9 Henri?

10 DR. MANASSE: Peter, I'd like to focus on
11 the ingress issue. I guess I'm impressed by how
12 little we know about ingress in these kinds of
13 plastics, the kind of chemicals that are creating
14 the problem, the impacts that the ingress has on
15 active ingredients. And it seems to me that FDA
16 ought to be stimulating knowing more about this and
17 then from that making a determination as to whether
18 or not the appropriate statutory and regulatory
19 things are in place to be able to pursue requests
20 about these issues, particularly the toxicities,
21 through the application processes and the master
22 file, et cetera.

1 DR. GROSS: Leslie?

2 DR. HENDELES: I recommend that the agency
3 just revise that draft guidance to take into
4 account some of the issues that we discussed under
5 1a. I mean, I think that would be the appropriate
6 direction.

7 DR. GROSS: Okay. Art?

8 MR. LEVIN: As we encourage manufacturers
9 to be innovative in finding solutions, I'm worried
10 about the issue of standardization because I think
11 when everybody's looking at error prevention,
12 standardization is certainly one of the big fix
13 items. So I'm just raising the question of how do
14 we balance the tension between innovative solutions
15 and creating industry standards so that we don't
16 have ten different ways that people are doing
17 things, causing even more confusion than we have
18 now. And I think it speaks to Henri's point about
19 how the agency perceives its authority to require
20 standards. Once finding the gold standard, then
21 what does the agency do with that, and does it need
22 additional authority, for example, to require that

1 that be the gold standard for all of these products
2 which have basically been out there on the market?
3 They're not going to be new drug applications. But
4 could they go back and say, In the future over a
5 period of time we expect you to convert to this
6 gold standard of packaging?

7 DR. GROSS: Any other comments?

8 [No response.]

9 DR. GROSS: Okay. Well, I want to thank
10 the presenters as well as the Advisory Committee
11 members for this thoughtful exchange of
12 information. And at this particular point, we are
13 going to adjourn for a bit because the Lotronex
14 part of the agenda was scheduled to begin about 3
15 o'clock. I think we'll be able to begin at 2:30.
16 Is that it? 2:20. Okay.

17 If there's any change in that, we'll get
18 the word around because everybody's staying pretty
19 close. Okay. Thank you.

20 [Recess.]

21 x DR. GROSS: Good afternoon. I think we'll
22 call the meeting to order. I'd like to begin by

1 reintroducing the people who are sitting around the
2 table because we have a new group as part of the
3 open public hearing. So if we can begin--oh, there
4 he is. Next to Brian is?

5 DR. KRIST: My name is Alex Krist. I'm
6 with Virginia Commonwealth University. I'm a
7 member of the Gastrointestinal Drugs Advisory
8 Committee, and I'm a family physician.

9 DR. GROSS: Brian?

10 DR. STROM: Brian Strom, University of
11 Pennsylvania.

12 DR. MANASSE: I'm Henri Manasse. I'm the
13 executive vice president and chief executive
14 officer of the American Society of Health-System
15 Pharmacists.

16 MS. SHAPIRO: Robyn Shapiro, Director,
17 Center for the Study of Bioethics, Medical College
18 of Wisconsin.

19 DR. STEMHAGEN: I'm Annette Stenhagen from
20 Covance, a contract research organization, and I'm
21 the industry representative to this committee.

22 DR. GARDNER: Jacqueline Gardner,

1 University of Washington, Department of Pharmacy.

2 MR. LEVIN: Art Levin, Center for Medical
3 Consumers, and I am the consumer member of this
4 committee.

5 DR. FURBERG: Curt Furberg, professor of
6 public health sciences at Wake Forest University.

7 DR. GROSS: Peter Gross. I'm Chair of
8 Medicine at Hackensack University Medical Center
9 and New Jersey Medical School, and I'm Chair of
10 this Advisory Committee.

11 MS. JAIN: Shalini Jain, Executive
12 Secretary, Drug Safety and Risk Management Advisory
13 Committee.

14 DR. CRAWFORD: Stephanie Crawford,
15 University of Illinois at Chicago, College of
16 Pharmacy.

17 DR. SELIGMAN: Paul Seligman, Director,
18 Office of Pharmacoepidemiology and Statistical
19 Science, Center for Drugs at the FDA.

20 DR. BEITZ: Julie Beitz, the Deputy
21 Director in the Office of Drug Evaluation III in
22 CDER.

1 DR. JUSTICE: Robert Justice, Director of
2 Division of Gastrointestinal and Coagulation Drug
3 Products at FDA.

4 DR. TRENTACOSTI: Ann Marie Trentacosti,
5 Medical Officer, Division of Gastrointestinal and
6 Coagulation Drug Products at the FDA.

7 DR. AVIGAN: Mark Avigan, Director of the
8 Division of Drug Risk Evaluation in the Office of
9 Drug Safety.

10 DR. GROSS: Okay. Shalini Jain will read
11 the conflict of interest statement.

12 x MS. JAIN: The following announcement
13 addresses the issue of conflict of interest with
14 regard to this meeting and is made a part of the
15 record to preclude even the appearance of such at
16 this meeting. Based on the submitted agenda for
17 the meeting and all financial interests reported by
18 the committee participants, it has been determined
19 that all interests in firms regulated by the Center
20 for Drug Evaluation and Research present no
21 potential for an appearance of conflict at this
22 meeting with the following exceptions:

1 In accordance with 18 U.S.C. 208(b)(3),
2 Dr. Brian Strom has been granted a waiver for
3 consulting with two competitors on unrelated
4 matters. He receives less than \$10,001 per year
5 from one firm and between \$10,001 and \$50,000 per
6 year from the other.

7 Dr. Maria Sjogren has been granted a
8 waiver under 208(b)(1) for consulting with the
9 sponsor on unrelated matters. She receives less
10 than \$10,001 per year.

11 A copy of the waiver statements may be
12 obtained by submitting a written request to the
13 agency's Freedom of Information Office, Room 12A-30
14 of the Parklawn Building.

15 We would also like to note that Dr.
16 Annette Stemhagen has been invited to participate
17 as an industry representative, acting on behalf of
18 regulated industry. Dr. Stemhagen is employed by
19 Covance Periapproval Services, Incorporated.

20 In the event that the discussions involve
21 any other products or firms not already on the
22 agenda for which an FDA participant has a financial

1 interest, the participants are aware of the need to
2 exclude themselves from such involvement, and their
3 exclusion will be noted for the record.

4 With respect to all other participants, we
5 ask in the interest of fairness that they address
6 any current or previous financial involvement with
7 any firm whose products they may wish to comment
8 upon.

9 Thank you.

10 DR. GROSS: Dr. Paul Seligman will give an
11 introduction to the Lotronex issue.

12 x DR. SELIGMAN: Good afternoon. It is my
13 pleasure to introduce the second topic for today's
14 meeting. This afternoon we'll be hearing an update
15 on the Lotronex Risk Management Program. On April
16 23, 2002, the Gastrointestinal Drugs Advisory
17 Committee and this committee met and recommended
18 reintroduction of Lotronex tablets to the market
19 with certain restrictions, such as having patient
20 and physician registries and physician
21 certification training for prescribing.

22 On June 7, 2002, FDA approved the

1 restricted marketing of Lotronex with a risk
2 management program that was mutually agreed upon by
3 the Lotronex manufacturer, GlaxoSmithKline, and the
4 FDA. The details of this plan will be described in
5 the subsequent presentations.

6 Today, GSK will be presenting an update
7 report on how the drug is being prescribed within
8 the parameters of the risk management program and
9 what the impact of this program has been. The
10 purpose of this discussion is primarily
11 informational in nature to provide the committee an
12 update. As a consequence, we have allocated a
13 limited amount of time for presentations and
14 discussion.

15 The risk management program that was
16 implemented contained many but not all of the
17 elements recommended by the Joint Advisory
18 Committees in April of 2002. As we gain experience
19 with risk management programs, we think that it is
20 important that there be a public airing of how well
21 these programs function and whether they meet the
22 goals that were set out for them.

1 With that, I thank you for your attention
2 and I thank the committee for being here today. We
3 will have both the speakers at the open public
4 hearing as well as the speakers who are on the
5 agenda to have them use this podium in front of the
6 committee.

7 So, with that, Mr. Chairman, I turn the
8 proceedings over to you.

9 DR. GROSS: Thank you, Dr. Seligman.

10 x We will proceed with the open public
11 hearing now. First I need to read this statement.

12 Both the Food and Drug Administration and
13 the public believe in a transparent process for
14 information gathering and decisionmaking. To
15 ensure such transparency at the open public hearing
16 session of the Advisory Committee meeting, the FDA
17 believes that it is important to understand the
18 context of an individual's presentation. For this
19 reason, FDA encourages you, the open public hearing
20 speaker, at the beginning of your written or oral
21 statement to advise the committee of any financial
22 relationship that you may have with the sponsor,

1 its product, and if known, its direct competitors.

2 For example, this financial information
3 may include the sponsor's payment of your travel,
4 lodging, or other expenses in connection with your
5 attendance at the meeting. Likewise, FDA
6 encourages you at the beginning of your statement
7 to advise the committee if you do not have any such
8 financial relationships. If you choose not to
9 address this issue of financial relationships at
10 the beginning of your statement, it will not
11 preclude you from speaking.

12 The first speaker is Dr. Sidney Wolfe.

13 DR. WOLFE: Right on time. In August
14 2000, almost four years ago, we petitioned the FDA
15 to ban alosetron because, in our view, its serious,
16 life-threatening adverse effects outweighed the
17 marginally better-than-placebo effectiveness. At
18 the time of our petition, FDA was aware of 26 cases
19 of ischemic colitis in people using the drug. In
20 the major randomized, placebo-controlled trials
21 prior to approval, there had been three cases of
22 ischemic colitis in 832 patients, or 1 per

1 277--again, with good ascertainment, in contrast to
2 what we are having here--but none in 700 placebo
3 patients. According to an FDA memo, in one large
4 trial with adequate ascertainment--this is a
5 different trial--adequate ascertainment of ischemic
6 colitis, 10 out of 1,819 women being treated with
7 alosetron for diarrhea-predominant irritable bowel
8 syndrome developed ischemic colitis over the
9 24-week duration of the trial. There were no cases
10 in the 899 patients in the trial treated with
11 traditional therapy. By the time marketing was
12 stopped in November 2000, there were 85 cases of
13 ischemic colitis reported to the FDA among the
14 estimated 275,000 patients who has used the drug.

15 Even though this is called the Drug Safety
16 Committee, you know as well or better than I that
17 this all has to be viewed in the context of drug
18 benefit and, therefore, review of the evidence for
19 benefit for at least one of the major trials for
20 this drug is appropriate.

21 In an analysis we published in the Lancet
22 of data from one of the clinical trials, which had

1 been misleadingly portrayed in a previous article
2 in the Lancet by percent change as opposed to
3 absolute scores, the figure of our look at the
4 actual data can be seen on the second page of the
5 testimony. And what you can see is there is barely
6 a perceptible--it's statistically significant, but
7 no one can possibly believe that this is clinically
8 meaningful, the difference at 1, 2, 3, months
9 between those given 2 milligrams of alosetron,
10 twice the dose being used now, for starters, and
11 those being given the placebo.

12 This excellent, hard-to-exceed placebo
13 response rate--and that's certainly the challenge
14 of treating this illness, is that the placebo
15 response rate is extraordinarily high. This is
16 consistent with findings from a published review of
17 27 randomized, placebo-controlled studies testing
18 various treatments for irritable bowel syndrome in
19 which the median placebo response rate was 47
20 percent, percentage improved, with rates as high as
21 84 percent, and 11 studies had placebo response
22 rates of 60 percent or higher. Unlike alosetron,

1 placebos do not cause ischemic colitis.

2 Because IBS is a poorly defined disease,
3 which, although capable of causing significant
4 distress in some individuals, is neither progressive nor
5 life-threatening, the occurrence of
6 serious adverse reactions such as ischemic colitis
7 and bowel obstruction without ischemic colitis,
8 sometimes requiring surgery, tips the benefit-risk
9 equation against the use of this drug.

10 The experience during the first one-plus
11 years of this risk management program has hardly,
12 as Glaxo claims in its statement, "been
13 successful." Among the problems, somewhat
14 predictable because of the lack of the kinds of
15 controls that could realistically be taken only
16 under an IND, were the following:

17 Twenty percent of the patients getting the
18 drug not have all of the three criteria specified
19 for getting the drug, which include frequent/severe
20 abdominal pain, and frequent bowel urgency or fecal
21 continence; and disability/restriction of daily
22 activities. They may have one or two, but these

1 were the criteria, and 20 percent did meet these
2 criteria.

3 Secondly, only 42 percent of patients with
4 a Lotronex prescription has pre-enrolled in the
5 survey program and only 36 percent completed the
6 baseline questionnaire.

7 From the prescribing doctor's perspective,
8 again, because this is not required, it happens, 20
9 percent of prescribing doctors were not enrolled in
10 the prescribing program for Lotronex. That may or
11 may not have something to do with the fact that so
12 many of these average reactions were not reported
13 by physicians.

14 These are all elements that certainly were
15 thought of if it not specifically suggested by FDA
16 staff and ourselves at the meeting a couple of
17 years ago, and you can't do these things unless the
18 drug is there under an IND. Marketing isn't
19 compatible with those kinds of restrictions.

20 The most alarming finding during this
21 period was the reporting of eight cases of ischemic
22 colitis and, according to the manufacturer, eight

1 additional cases of "complications of
2 constipation." I say according to the manufacturer
3 because they list eight, and the FDA talks about
4 five. The latter included a case of partial
5 intestinal obstruction, one in which there was
6 exploratory surgery for small intestinal
7 obstruction, and a patient with diarrhea and
8 intestinal obstruction.

9 Assuming the accuracy of the estimate of
10 9,365 patients getting alosetron during the risk
11 management program, the rate of ischemic
12 colitis--again, this is a low estimate--spontaneous
13 reports was 8 per 10,000 or 8 per 9,365, or about
14 0.8 per thousand, and it's actually higher than
15 the, again, spontaneous rate of reports during the
16 earlier mktg phase, which was 85 per 27,000.

17 There are, of course, differences in the
18 conditions for reporting that might explain some of
19 this discrepancy, but I don't believe begin to
20 explain all of it. Enrolled physicians agreed to
21 report all serious adverse events as a condition of
22 participation, but obviously 20 percent didn't

1 participate, and even those who did, so it appears,
2 four of the eight cases of ischemic colitis were
3 reported by patients, not physicians. Similarly,
4 none of the eight cases of serious complications of
5 constipation were reported by the prescribing
6 physician. One was reported by a nurse. Either
7 the patients did not tell the physicians who
8 prescribed the drug that they had gotten ischemic
9 colitis or physicians violated their agreement to
10 report such cases.

11 The fact that 11 of 16 cases of ischemic
12 colitis or complications of constipation were
13 reported by patients as part of the Lotronex
14 patient follow-up survey program may compensate for
15 some, but we don't believe all or even most of the
16 serious reporting deficiencies by participating
17 physicians. There is little question that just as
18 the 85 cases of ischemic colitis reported during
19 2000 were but a fraction of the actual cases, so,
20 too, are these recent RMP, risk management program,
21 ischemic colitis cases.

22 For effective, life-saving drugs, such as

1 some cancer therapies or the anti-psychotic drug
2 clozapine, risk management is a critical part of
3 their use, and we strongly support, as the FDA
4 knows, risk management in those kinds of
5 circumstances. But alosetron joins an increasing
6 number of other drugs, none with unique, clinically
7 significant benefits, that have been the subject of
8 ultimately failed FDA-approved risk management
9 programs--the diabetes drug Rezulin, the painkiller
10 Duract, the GI drug cisapride, the blood pressure
11 drug Posicor--and were taken off the market.

12 When I testified before this committee in
13 2002, I stated, "The reintroduction of Lotronex
14 into the market, even with the restrictions
15 proposed by Glaxo, would be a serious public health
16 mistake, likely, if not certain, to result in the
17 need to ban the drug again." It is time to end
18 this failed effort to resuscitate markets and to
19 take alosetron off the market. As we suggested in
20 2002, there is no reason why, under a carefully
21 controlled IND, the drug could not be made
22 available to, I would estimate, the several

1 thousand, at most, people who might still choose to
2 use it. This has previously been done for the
3 diabetes drug phenformin and the GI drug cisapride
4 after they were taken off the market.

5 I'd just like to emphasize that of the
6 reported 9,000-10,000 people using the drug, in the
7 FDA's Executive Summary it says only 10 to 20
8 percent of these people were refilling it. So we
9 may, in fact, be talking about a group of people
10 that is one, two, three thousand people, not the
11 100,000 that the company claimed would be using the
12 drug and which they used to help fend off an IND
13 approach back a couple years ago.

14 Given the marginal evidence of
15 effectiveness and the continuing serious risks of
16 the drug, Glaxo's suggestion to relax the
17 restrictions on availability of alosetron to
18 increase its use is nothing but ghoulish. A quote
19 from the end of their statement: "The primary
20 concern at present relates to the low rate of
21 product prescribing given our understanding of the
22 target population...This may reflect unintended

1 barriers to prescription..." and they elucidate
2 some of the unintended barriers, which is the time
3 the physician has to spend explaining to the
4 patient the benefits and risks of the drug and so
5 forth. I find that this attitude, certainly it's
6 consistent with trying to sell more drug, but it's
7 inconsistent with the public health. And just in
8 closing, I would just repeat it's time for this to
9 be taken off the market. It gives risk management
10 a bad name to keep doing things like this.

11 I'd be glad to try and answer any
12 questions. That's just about ten minutes.

13 DR. GROSS: If there are no questions,
14 thank you very much, Dr. Wolfe.

15 The next speaker, Dr. Lawrence Wilderlite.

16 DR. WILDERLITE: Good afternoon. My name
17 is Lawrence Wilderlite. I am a practicing gastro-
18 enterologist in Chevy Chase, Maryland. We're part
19 of a private practice group of 13 gastroenterologists with
20 three offices in the
21 metropolitan area, downtown Washington, in Chevy
22 Chase, and on Executive Boulevard here in

1 Rockville.

2 In the past, I was a speaker for
3 GlaxoSmithKline upon the introduction of Lotronex
4 in 2000 and presently am a consultant for
5 GlaxoSmithKline.

6 I have been asked to talk today about the
7 prescribing habits of this drug and the inability
8 of patients to actually have access to the drug
9 because of the restrictions that have been placed
10 on this.

11 I have had extensive experience with the
12 use of alosetron when it was first introduced, and
13 our group has used it, given the patient clientele
14 we have, many times. And we have had a favorable
15 response with the drug and felt the drug to be
16 quite helpful in our patient population.

17 Inasmuch as there has been no new
18 medication or no recent introduction of any drug of
19 this type over the last 20 years for the treatment
20 of irritable bowel syndrome, we felt that this was
21 going to be a very important agent and something
22 that we would be able to use to help patients that

1 suffer from irritable bowel, in which we have no
2 effective treatment today. Initially upon the
3 introduction of this drug, it was embraced by the
4 GI community and was embraced by gastroenterologists that I
5 can talk to in the Washington
6 area.

7 When Lotronex was recalled in 2000, it
8 left a void, and that void is still vacant today.
9 I feel our patients have no alternative to treat an
10 illness that at times can be very devastating.
11 Although not life-threatening, it is basically
12 destructive to patients' lives and destructive to
13 their ability to function in an environment in
14 which they live.

15 The present registration system for this
16 drug is extremely tedious. It takes a lot of time
17 to register a patient for this. The patient needs
18 to fill out papers. The GI doctor has to fill out
19 the papers. The patient has to be advised about
20 the side effects of the drug. The doctor has to
21 register to be an appropriate agent to distribute
22 the drug.

1 At the end of all this, we in our office
2 do refer patients to a website where they can get
3 more information about Lotronex, where they can
4 understand what the complications and the possible
5 side effects of the drug are before they enter into
6 the program or begin taking the medication. We
7 find--and I am not a member of that prescribing
8 group. There are only two people in our group of
9 13 that elected to be registered for distribution
10 of the drug, and of those two people, it came
11 because they were quite friendly with one of the
12 Glaxo representatives who asked them if they would
13 register for this.

14 We find that patients become stigmatized
15 after they read the side effects of the drug. As
16 appropriate to Dr. Wolfe's comment, that many
17 patients will not fill the prescription when
18 they're given it. Many patients will not take the
19 medication appropriately. Many patients will stop
20 taking the medication. Patients will forget to
21 take it or take it on an alternate-day basis rather
22 than appropriately because they're afraid of having

1 some side effect and feel less is better than more.
2 And eventually some of them will not come back or
3 will not fill the prescription or, like many
4 irritable bowel patients, these patients fail to
5 come back again or don't show up in an office and
6 go home and continue to suffer the symptoms they
7 have.

8 Physicians, because they won't register
9 for this program, go back to treat these patients
10 with conventional methods, of which we have no
11 conventional methods. Increasing fiber,
12 anti-diarrheal type of agents that have been on the
13 market and have been shown to be of little help to
14 patients who suffer from this disease.

15 The physicians fear--and when talking to
16 other doctors--that because of all the publicity
17 given to the side effects of the drug, should a
18 patient encounter a side effect or an adverse
19 reaction to this medication, the physician is now
20 liable for some litigation or malpractice suit,
21 and, therefore, they're not pushing to use the
22 drugs. They're not rushing in to join this type of

1 prescribing program.

2 The amount of time that this prescribing
3 program takes is enormous. It takes a lot of time.
4 There are many physician phone calls. There's a
5 lot of interaction with the patient. Unfortunately, in
6 today's environment where there is
7 little compensation for the amount of time paid
8 because of the insurance environment that we have,
9 this type of interaction is difficult, and
10 physicians shy away from spending the amount of
11 time that is necessary to educate the patients for
12 this.

13 The process is extremely cumbersome, and I
14 find that in a group of physicians that we're
15 friendly with in the Washington area, very few will
16 enter into this registration process. What I do is
17 refer patients to other people in our group. It
18 changes the physician-doctor relationship, or I
19 refer them to other doctors that I can find who are
20 outside of our group to take care of these
21 patients, or we continue to use the remedies that
22 we have in place.

1 I feel that whether it be alosetron or
2 not, the GI community desperately needs a
3 medication to treat diarrhea-dependent irritable
4 bowel syndrome; that the mechanism that is in place
5 today needs to be streamlined to allow access to a
6 medication that I feel can help irritable bowel
7 sufferers.

8 The use of this drug is extremely limited
9 and extremely confined to approximately--given Dr.
10 Drossman's (ph) classification, less than 5 percent
11 of people are available to receive this drug. I
12 feel personally that this is too confining and that
13 the drug or the use of this drug should be opened
14 up. As different from the previous speaker, I
15 think the drug is helpful and the drug needs to be
16 freed up a little bit more in a more streamlined
17 process to allow access to patients.

18 I thank you and I am open to any comments
19 that you have.

20 T4A DR. GROSS: There being none, thank you
21 very much, Dr Wilderlite.

22 We will proceed now with the sponsor

1 presentation. Dr. Craig Metz, the Vice President
2 for U.S. Regulatory Affairs at GlaxoSmithKline,
3 will present their risk management program for
4 Lotronex.

5 MS. JAIN: Dr. Metz, before you do your
6 presentation, we just wanted to introduce another
7 committee member that joined us in the interim.
8 Dr. Maria Sjogren joined our group. She is a
9 representative for the GI community and also is a
10 member of the GI Advisory Committee. Thanks for
11 participating.

12 x DR. METZ: Good afternoon. My name is
13 Craig Metz, and I am going to be providing the
14 sponsor's update on our experience with the
15 implementation of the risk management program for
16 Lotronex today.

17 Joining us today are a number of external
18 consultants who are involved with various aspects
19 of the risk management plan for Lotronex and would
20 be happy to answer any questions that you might
21 have regarding their specific areas of responsibility for
22 the RMP or any general questions that

1 you might have for them. I'm going to take just a
2 quick moment to introduce our consultants.

3 We have Dr. Robert Sandler with us from
4 the University of North Carolina. Dr. Sandler is
5 involved with our Risk Management Plan Advisory
6 Board.

7 We have Dr. Lin Chang from the University
8 of California at Los Angeles, who has been involved
9 with our educational program as well as a general
10 consultant to us for some time on Lotronex.

11 We have Dr. Andrews from Research Triangle
12 Institute. She's involved with our epidemiology
13 program, specifically the patient follow-up survey
14 for Lotronex, and she serves as the data
15 coordinating center for the follow-up claims-based
16 research.

17 We have Dr. Jerry Gurwitz with us from
18 Meyers Primary Care Institute, University of
19 Massachusetts. Dr. Gurwitz is also involved with
20 the epidemiology program.

21 And, finally, we have Dr. James Lewis here
22 from Georgetown University who chairs our Safety

1 and Review Committee.

2 Three underlying themes will form the
3 basis of my presentation today. Those themes are
4 the successful implementation of the risk
5 management plan for Lotronex from the standpoint of
6 the appropriateness of the prescribers, the
7 patients, and the behaviors that have been produced
8 through this program; the impact of the RMP itself
9 on the safety profile and on the prescriber and
10 patient, as well as on individual components of the
11 risk management program itself; and the cycle of
12 continual RMP evaluation and revision that is a
13 normal part of the stewardship involved with
14 conducting a risk management program.

15 During the course of my presentation, I'm
16 going to share information with the committee that
17 we didn't have when we last met to consider a risk
18 management program for Lotronex, and that
19 specifically is data on the impact of the
20 interventions that we've attempted to put into
21 place here. It's our hope that this data will
22 guide our discussions with the agency and the

1 proposed modifications that we might make to this
2 RMP as we move forward. But as importantly, the
3 RMP for Lotronex has been a very rich learning
4 laboratory for us with regard to general issues
5 regarding conducting a risk management program and
6 the impact of these different interventions in
7 real-term use. So we hope that this information
8 will tend to serve to inform discussions regarding
9 the applications of these interventions elsewhere.

10 In my presentation, I'm going to provide a
11 very brief background summary. I'm going to
12 identify the goals of the RMP and describe the key
13 elements of the RMP with results to date where
14 appropriate. I will finish with some conclusions
15 regarding the implementation of the RMP and a
16 discussion of what we've identified as emerging
17 issues.

18 Many of you will be familiar with the
19 chronology of key regulatory events. Dr. Seligman
20 has already covered some of these. Again, the
21 product was voluntarily withdrawn in November of
22 2000. The agency and GlaxoSmithKline were

1 inundated with calls from patients demanding that
2 the drug be made available to them again.

3 Subsequently, we submitted an sNDA in
4 December of 2001 and met with some members of this
5 committee and the GI Drugs Committee in April of
6 2002 to discuss the information included in that
7 sNDA as well as the general framework that was
8 being proposed for the RMP for Lotronex. In June
9 2002, that supplemental NDA was approved and the
10 product was actually reintroduced in November of
11 2002 with a revised indication statement and a risk
12 management program in place.

13 What we were striving to achieve when we
14 developed the risk management program for Lotronex
15 was a framework that would mitigate the risks
16 associated with complications of constipation and
17 ischemic colitis, but would do so in a way that
18 would not create extraordinary barriers to patient
19 access. And I think as we consider the information
20 being presented today, success should be measured
21 against this intent.

22 We intended to achieve this through a

1 focus on the following four goals: making Lotronex
2 available to those patients for whom the
3 benefit-risk is most favorable; prescribing
4 Lotronex to appropriate patients by qualified
5 physicians; educating physicians, pharmacists, and
6 patients about the risks and benefits of Lotronex
7 and how to manage those risks; and providing a
8 framework for ongoing RMP evaluation.

9 A key element of making Lotronex available
10 to a patient population for whom the benefit would
11 clearly outweigh the risk was revising the
12 indications statement to establish women with
13 severe diarrhea-predominant IBS as the target
14 population for treatment. On that basis, Lotronex
15 is currently available for women with severe
16 diarrhea-predominant IBS who have chronicity of
17 symptomatology, generally lasting six months or
18 longer; have had anatomic or biochemical
19 abnormalities of the GI tract excluded; and have
20 failed to respond to conventional therapy.

21 Additionally, diarrhea-predominant IBS is
22 defined as severe if it includes diarrhea and just

1 one or more of the following: frequent and severe
2 abdominal pain or discomfort, frequent bowel
3 urgency or fecal incontinence, disability or
4 restriction of daily activities due to IBS. And,
5 again, I would stress that only one of these
6 criteria are required for the patient to qualify
7 for treatment--not two, and certainly not all
8 three. Only one. Later in my talk, I'm going to
9 come back to this description of a 5-percent
10 estimate for the severe diarrhea-predominant IBS
11 population.

12 And, finally, the indications statement
13 states that in men, the safety and effectiveness of
14 Lotronex has not been established.

15 So the four key components of the RMP that
16 we've developed for Lotronex are: enrollment of
17 qualified physicians in a physician prescribing
18 program; a program to educate physicians,
19 pharmacists, and patients about IBS and about the
20 benefits and risks of Lotronex; a reporting and
21 collection system for serious adverse events
22 associated with the use of Lotronex; and, finally,

1 a plan to evaluate the effectiveness of the RMP for
2 Lotronex. In the rest of my presentation, I'm
3 going to go through each of these components in
4 order.

5 To begin with, we have the prescribing
6 program for Lotronex, and this was developed to
7 address the goal of prescribing Lotronex to
8 appropriate patients by qualified physicians. This
9 is a picture of the key steps card that helps the
10 prescribers navigate their way through the
11 prescribing program for Lotronex. It's going to be
12 difficult for me to use the pointer here in a very
13 effective way, but you have the slide in front of
14 you, and I'm going to walk you briefly through some
15 of the steps.

16 So the physician, in the upper-left-hand
17 portion of this chart, decides to enroll in the
18 prescribing program for Lotronex. They receive a
19 prescribing kit that I'm going to describe to you
20 in a minute. The physician identifies an
21 appropriate patient for treatment, goes through a
22 counseling activity with that patient, gets the

1 patient to sign the patient-physician agreement
2 with the physician. That agreement is then placed
3 into the patient's chart, and a copy of that is
4 given to the patient. The physician at that point
5 affixes a Lotronex sticker to an original
6 prescription, and at that point the physician also
7 encourages the patient to enroll in the patient
8 follow-up survey for Lotronex.

9 At that point the patient has a
10 prescription with a blue sticker on it that they
11 take to the pharmacist so that the pharmacist can
12 fill that prescription. And, again, even the
13 pharmacist has the opportunity to encourage the
14 patient to enroll in that patient follow-up survey.

15 As you can see, this is a fairly complex,
16 multi-step process. The act of physician
17 enrollment actually involves the physician signing
18 an attestation form that attests to his ability to
19 diagnose and treat IBS, to diagnose and manage
20 ischemic colitis, to diagnose and manage
21 constipation and complications of constipation, as
22 well as acceptance of responsibilities that include

1 education, completing the patient-physician
2 agreement that I've just described, reporting
3 serious adverse events, and affixing stickers to
4 prescriptions. In a while I'll share feedback that
5 we've received from physicians relative to the
6 impact of this process on their practice.

7 The prescribing kit for Lotronex that the
8 enrolled prescriber gets contains the key steps
9 card that we've just discussed, prescribing
10 information, medication guides, patient-physician
11 agreement forms, the prescribing program stickers,
12 and the patient follow-up survey pre-enrollment
13 cards.

14 These are some of the steps that I've
15 already described on the key steps card, but,
16 again, there are a couple of things I'd like you to
17 note. First of all, this is what's in the retail
18 pack that the patient receives. It's a box that
19 contains 30 tablets, a package insert, a medication
20 guide, and the patient survey card. I have to
21 remind you that no refills are allowed currently
22 for Lotronex. All prescriptions have to be

1 original, and all prescriptions have to have an
2 affixed sticker. There is no faxing, no electronic
3 transmission of prescriptions for Lotronex. Those
4 are not allowed.

5 In the event that a physician uses up the
6 supplies in their initial kit, they can call the
7 coordinating center for the PPL, and that
8 coordinating center will check their name against
9 the list of enrolled prescribers, and if they're on
10 that list, they'll be sent a refill kit.

11 In the next portion of my presentation,
12 I'm going to address the educational program that
13 was developed to support the introduction of
14 Lotronex.

15 The educational program for physicians is
16 anchored by these two modules: Lotronex Tablets:
17 Understanding the Risks and Benefits, and Current
18 Thinking about IBS: An Educational Review on
19 Irritable Bowel Syndrome.

20 In addition to that, 345,000 "Dear Doctor"
21 letters were mailed at the time of product
22 reintroduction, and we've put a reminder program in

1 place that I'll discuss with you in a moment that
2 provides additional access to key educational
3 messages for physicians.

4 For the patient, education consists of the
5 medication guide, which, again, they can get from
6 two sources. They can get that from the physician,
7 and it's also included in product packaging.

8 Physician counseling and the requirement
9 to sign the patient-physician agreement further
10 reinforces the key product messages contained in
11 the medication guide.

12 On the pharmacist level, at the time of
13 product reintroduction 113,000 "Dear Pharmacist"
14 letters were mailed. Through an initiative that's
15 well outside the scope of normal product launch
16 activities, we also had 25,000 outbound telephone
17 calls to pharmacists. Those calls resulted in over
18 12,000 requests for additional information on
19 Lotronex. We were impressed with this, and we
20 think that this clearly indicates the potential
21 power of these types of outreach activities focused
22 at the pharmacist level.

1 In addition, we sent an informational
2 piece on Lotronex to the National Board of State
3 Pharmacists to be cascaded into the newsletters of
4 its individual member states. And, finally, as
5 with the physician, reminder letters to pharmacists
6 also provide important information regarding
7 Lotronex.

8 There are a large number of additional
9 educational activities that GSK has implemented to
10 provide further support for the appropriate use of
11 Lotronex. These include a telephone conference
12 series with physicians, speaker programs,
13 informational booths at professional society
14 symposia. There's a website, and we have call
15 centers that can answer questions and provide
16 information on the PPL itself. It can provide
17 medical information, and they provide the sources
18 of information to the practitioner and health care
19 community.

20 And, finally, we're also providing
21 independent grants for IBS education that's
22 delivered at professional society symposia and

1 through other communication media. Again, while
2 components of this educational program are
3 obviously targeted towards a prescriber audience,
4 the materials are available to the general health
5 care practitioner community as well.

6 The next element of the RMP that I'd like
7 to discuss is the reporting and collection of
8 serious adverse events and adverse events of
9 special interest associated with the use of
10 Lotronex. This essentially comprises a safety
11 overview.

12 Again, it's important to remember that
13 there are some differences in the conditions under
14 which AEs are reported currently versus the
15 conditions that existed when the product was
16 initially marketed. We currently have a different
17 target population for Lotronex: females with
18 severe diarrhea-predominant IBS, with the
19 qualifiers that I've already discussed. Through
20 our educational program, we believe that we have
21 better-informed patients and physicians. We have
22 an agreement from physicians to report serious

1 adverse events, and we have the patient survey,
2 which is proving to be a non-traditional source of
3 AE information, and the way we're handling that
4 information will be discussed in just a moment.

5 So what are our sources for adverse
6 events? They consist of the typical spontaneous
7 reports and reports arising from a clinical trials
8 program, but they also include the patient
9 follow-up survey program.

10 The focus of our adverse event reporting
11 is on these diagnoses and outcomes of special
12 interest that were highlight as an area of concern
13 during the initial marketing period, and those
14 include ischemic colitis, mesenteric ischemia,
15 occlusion or infarction, serious constipation,
16 complications of constipation, as well as outcomes
17 of special interest like intestinal or anorectal
18 surgery and death.

19 Adverse events are reported in a typical
20 fashion stipulated by the regulations. We have
21 expedited reporting for serious, unexpected,
22 spontaneous reports, and we also have expedited

1 reports for serious, unexpected, and attributable
2 survey and clinical trial reports. But, in
3 addition, we have a special agreement to expedite
4 reports for all adverse events of special interest,
5 regardless of their seriousness or expectedness.

6 The patient survey that we've been
7 discussing a little bit is intended to measure
8 patient knowledge, behavior, and certain RMP
9 process elements. But through the process of
10 either completing these forms in writing or over
11 the phone, patients occasionally report adverse
12 events. As part of the continual process of RMP
13 evaluation and revision, we have developed a system
14 for processing this adverse event information
15 arising from the survey. To maintain patient
16 confidentiality within the survey, Research
17 Triangle Institute de-identifies the information on
18 the adverse event report and forwards it to GSK.
19 The GSK pharmacovigilance staff assess these
20 reports for seriousness as well as special interest
21 diagnoses.

22 For those cases assessed as serious or

1 possibly including diagnoses of special interest,
2 RTI requests patient consent for GSK follow-up with
3 the prescriber. When that consent is granted,
4 GSK's Pharmacovigilance Department follows up with
5 the patient's prescriber in a fashion similar to
6 that that would be used during a spontaneous
7 reporting context. And, again, adverse events
8 arising from the survey are reported to the FDA as
9 the data warrant.

10 So what is our experience to date? From
11 November 20, 2002, until February 6, 2004, we have
12 approximately 10,000 patients treated with
13 Lotronex, or about 34,000 prescriptions. This has
14 generated 127 post-marketing AEs, which include all
15 spontaneous reports plus all patient survey reports
16 that are deemed to be serious or reports of special
17 interest as I just described on the previous slide.

18 Of the 127 post-marketing reports that
19 we've received, 37 have been considered serious.
20 Seventy-five percent of these 37 reports were GI in
21 origin. What we're going to focus on are the 19
22 patients or cases that had diagnoses and outcomes

1 of special interest.

2 Of the eight reported ischemic colitis
3 cases, six were medically confirmed. Those same
4 six patients had colonoscopic or biopsy findings
5 consistent with ischemic colitis. Three of the
6 eight cases resulted in hospitalization. All of
7 the cases of ischemic colitis resolved without
8 sequelae. We have no reports of mesenteric
9 ischemia. We have no reports of serious
10 constipation. We do, however, have eight reports
11 of complications of constipation. Three of those
12 eight reports have been medically confirmed. Three
13 involved fecal impaction. Three were associated
14 with intestinal obstruction; there was one ileus,
15 one ulcerated colon. Three of these eight patients
16 were hospitalized, and three patients were managed
17 in the ER only.

18 Four outcomes of special interest have
19 been reported. There is one report of surgery
20 which could not be confirmed by the patient's
21 physician. This same patient also had a diagnosis
22 of special interest involving a complication of

1 constipation. No deaths attributable to Lotronex
2 have been reported. Of the three deaths that have
3 occurred in patients taking Lotronex, two of those
4 deaths came through the survey process and were
5 reported by family members. One of those was in a
6 patient with cancer, multiple myeloma. The other
7 was in an AIDS patient. The other report that we
8 have is a physician report of a suspected pulmonary
9 embolism in an obese patient with a very complex
10 medical history.

11 So, in summary, with regard to the safety
12 of Lotronex, we have not seen any new safety
13 issues. Recognizing that we have a very low rate
14 of prescribing, we feel that the ischemic colitis
15 and complications of constipation cases that we've
16 seen are similar to those seen during the original
17 marketing period, and we believe that the outcomes
18 associated with those cases are generally less
19 severe. We're also pleased by our review of the
20 individual cases that suggest that prompt and
21 appropriate action is being taken by the patient
22 and the physician. What we're hoping to achieve

1 here is to change patient and physician behavior.

2 We believe, in fact, that is what's going on.

3 But the final component of the risk
4 management plan involves the implementation of a
5 plan to evaluate the effectiveness of the Lotronex
6 risk management program. This plan consists of
7 three components: a retrospective study to compare
8 the roster of physicians identified in a general
9 prescription database as prescribers of Lotronex
10 with a roster of physicians enrolled in the PPL,
11 the prescribing program for Lotronex; the patient
12 follow-up survey program that we've mentioned; as
13 well as a longitudinal, claims-based observational
14 study program.

15 First, the physician roster comparison.
16 This is a study to compare physicians prescribing
17 Lotronex within and outside of the prescribing
18 program for Lotronex. The way that's accomplished
19 is when the M.D. sends the enrollment form to the
20 database vendor, the vendor sends that enrollment
21 data to GSK. In parallel with that, GSK purchases
22 a prescription data set from MDC Health. Those two

1 data sets are compared against each other, and
2 through that process we determine who is
3 prescribing within and outside of the program, and
4 that information is reported to the FDA on a
5 quarterly basis.

6 So what have we learned? These are the
7 data that we have generated to date. As you can
8 see, the number of prescribing program for Lotronex
9 enrolled prescribers has generally remained at or
10 above 80 percent since the program was initiated.
11 We're actually quite pleased with this aspect of
12 the RMP. This is the pattern of prescribing by
13 physician specialty for the quarter beginning
14 October 2003, which is representative of our
15 overall experience. Prescribing, as you can see,
16 is being driven primarily by the
17 gastroenterologists. I think what is even more
18 important is, of the prescriptions that have
19 actually been written, 87 percent of those
20 prescriptions had been written within the
21 prescribing program for Lotronex, and we believe
22 that that's a very good result.

1 In the initial marketing period, 50,000
2 physicians were prescribing Lotronex. Currently,
3 only 5,053 have even enrolled to prescribe Lotronex
4 through the prescribing program for Lotronex. What
5 is particularly disconcerting is the fact that
6 approximately half of the few prescribers who have
7 enrolled have not written a single prescription.
8 This may be a reflection of some of the RMP
9 barriers that I'm going to discuss in a few
10 moments.

11 And, again, part of the evaluation and
12 revision of an RMP program, we've developed a
13 follow-up system for non-prescribing-program
14 prescribers. When these prescribers are first
15 identified, an enrollment kit is forwarded to the
16 prescriber. In addition, we forward a reminder
17 letter to the prescriber's local pharmacy. If
18 there is a second occurrence of prescribing by a
19 particular non-enrolled prescriber, we forward them
20 a reminder letter. If they transgress a third
21 time, we forward a firmer reminder letter to them.

22 Now, the response to this process to date

1 is hard to determine, but overall what we're seeing
2 is 75 percent of these non-enrolled prescribers
3 comply in some way; 25 percent of them actually
4 enroll and 50 percent of them stop prescribing
5 Lotronex. And, again, this is a very dynamic
6 situation. It can wax and wane over quarters, but,
7 in general, this has been the response to this
8 follow-up process.

9 Let's talk about the patient follow-up
10 survey program, which is the next element of the
11 RMP that we'd like to discuss.

12 The objectives of this program are to
13 assess patient knowledge of the risks and benefit
14 of Lotronex to assess patient behavior in relation
15 to the recommendations in the risk management
16 program and assess the extent to which the patient
17 satisfies the product labeling requirements for
18 treatment with Lotronex.

19 This is a flow diagram of how this survey
20 process works. We receive the pre-enrollment card,
21 and upon receipt of that, an enrollment package is
22 forwarded to the patient, and that starts the

1 survey cascade, as you'll see on the left-hand
2 side. If we don't receive survey forms back from
3 the patient in prescribed time frames, there's
4 actually a contact from RTI to the patient to
5 encourage them to complete and return those forms
6 to us.

7 So, to date, we have a 42-percent
8 pre-enrollment rate for all patients who have
9 received a prescription for Lotronex; 55 percent of
10 those were issued by the prescribing physician.
11 And, again, we didn't expect that. That's a little
12 bit atypical. It's much higher than we expected.

13 Most of the patients that we see in the
14 survey are middle-aged patients that are typical of
15 the population that you would expect to be
16 receiving drug and having diarrhea-predominant IBS.
17 Eighteen percent of the patients are over the age
18 of 65 years, and 7 percent of the patients are
19 indeed male. Thirty-six percent of the patients
20 that receive a prescription have actually completed
21 the baseline survey form and entered the survey
22 proper.

1 So, again, I think you can see from this
2 table that what we've enrolled in this patient
3 follow-up survey program is a very motivated cohort
4 of patients. Recognizing the grace period for
5 receipt of follow-up questionnaires from patients
6 for whom that follow-up period has expired and
7 questionnaire responses were due, I think you can
8 see that almost all of those responses have been
9 received across time. So, again, it seems like a
10 very motivated cohort of patients, and they're
11 doing their homework and sending it in.

12 What have we learned about these patients?
13 Well, this table indicates that there's a very high
14 rate of compliance with the key elements of the RMP
15 process and also demonstrates that the discussions
16 and activities that we wanted to have occur are
17 indeed occurring. I think you can see 97 percent
18 of the patients discuss with the doctor how
19 Lotronex can help them; 95 percent discuss the
20 reasons with the doctor why you would discontinue
21 Lotronex; 91 percent have received the medication
22 guide; 87 percent recall that a blue sticker was,

1 in fact, put on their prescription. So, again,
2 from a compliance perspective, we're pleased at
3 what we see coming through the patient follow-up
4 survey.

5 Importantly, as far as patient
6 appropriateness for treatment is concerned, this
7 table shows that this survey cohort comprises an
8 appropriate patient population for treatment with
9 Lotronex. Ninety percent of these patients met the
10 treatment and severity criteria. And, again, if
11 you look at the individual criteria for treatment,
12 95 percent have diarrhea; 98 percent had IBS for
13 more than six months, the chronicity that we were
14 looking for; 96 percent had previous treatments for
15 IBS; and 97 percent have said they had inadequate
16 relief of symptoms. And, again, we believe that
17 these are clear indicators of patient
18 appropriateness.

19 If you look at the severity conditions
20 that are required--and, again, I'll remind you that
21 only one of these is required to qualify the
22 patient for treatment, not all three. You have

1 cramps or bloating present in 87 percent, ranging
2 up to a somewhat or very hard life in almost all of
3 these survey patients. And if you look at the
4 presence of all three severity conditions within an
5 individual patient, you see that 80 percent of
6 these patients have what you would describe as
7 very, very severe DIBS. They have all of the
8 severity conditions. And, again, I'll remind you
9 that only one was required to qualify a patient for
10 treatment. So I think this is a potential RMP
11 impact issue that we're going to come back to at
12 the end of the discussion.

13 The final component of the RMP evaluation
14 is a program of longitudinal claims-based
15 observational studies. The objectives of this
16 program are to describe or characterize patients
17 receiving Lotronex, to describe or characterize
18 compliance with the prescribing program for
19 Lotronex, and to evaluate the incidence of events
20 in patients treated with Lotronex versus an
21 appropriate comparison group.

22 These are three database sources that

1 comprise the longitudinal studies. In the
2 aggregate we have approximately 8.5 million covered
3 lives in this program. Again, recognizing that
4 there's a lag period of about six months from the
5 prescription to potential data extraction, 121
6 users of Lotronex have been identified through
7 September of last year, the majority of which have
8 come from the Engenics(ph) database. These 121
9 users received 277 dispensings of Lotronex and
10 seemed to fit a pattern consistent with data that's
11 been collected from other portions of the RMP; 89
12 percent of the patients are female; 69 of the first
13 dispensings are coming from gastroenterologists.
14 Importantly, this is an RMP process check: 70
15 percent of the patients' records did contain a
16 signed patient-physician agreement. And,
17 obviously, it probably goes without saying that
18 program viability is being impacted by low product
19 uptake.

20 At this point, I'd like to take a moment
21 to give you our overall evaluation of the
22 implementation of the risk management program for

1 Lotronex.

2 We certainly believe that we have
3 successfully implemented all of the elements of
4 this complex, integrated risk management program.
5 We are pleased by the number of physicians
6 prescribing within the PPL context, but we're even
7 more reassured by the fact that the overall number
8 of prescriptions coming out of the PPL is so high
9 at 87 percent. Data from the patient follow-up
10 survey program indicates that the key product use
11 that we wanted to have delivered to the patient by
12 the physician is, in fact, being delivered and that
13 the patients being selected for treatment are
14 appropriate.

15 We believe that patient and physician
16 behavior is consistent with the goals of the RMP.
17 Recognizing once again that we have a very low
18 prescribing rate, we still feel that qualitatively
19 the adverse events and special interests that we
20 have observed are few and the outcomes being
21 observed are generally less severe.

22 We have entered into this process of

1 continual RMP evaluation and revision, and through
2 that process we've devised a program for follow-up
3 for non-prescribers. We've revised the patient
4 survey questionnaires to include new questions.
5 And we've developed a reporting paradigm for
6 adverse event information arising from the patient
7 follow-up survey.

8 While we certainly believe that the RMP
9 has been successfully implemented, we also feel
10 that there is still much work to be done to
11 optimize product availability to appropriate
12 patients. For the remainder of the presentation,
13 I'm going to focus on the key issues that have
14 arisen regarding the impact of the risk management
15 program on product use relative to Lotronex. These
16 issues are certainly instructive in a general sense
17 when one considers the use of these risk management
18 interventions for other products.

19 So the issues that I'm going to focus on
20 really are impact of the RMP on the practitioner
21 and patient, which can be collectively viewed as
22 potential product access issues, and the impact of

1 the RMP on some of its individual components.

2 These are sources of feedback and data
3 that we've been collecting on the RMP. We've done
4 some fairly unique physician- and patient-focused
5 field research. We have information coming out of
6 our clinical trials programs. We have interactions
7 between our sales force members and practitioners.
8 We have information coming into our customer
9 response center. And we have interactions with our
10 key opinion leaders.

11 What are we learning? At the prescriber
12 level, we've received considerable feedback on this
13 attestation process. Physicians are unaccustomed
14 to signing a document like this and feel that
15 somehow there's been a unique transfer of liability
16 from GSK to the prescriber. One might wonder if
17 that isn't being somehow reflected in the fact that
18 treatment seems to be being reserved right now for
19 patients that only have the most severe
20 presentation of severe diarrhea-predominant IBS.
21 In addition, physicians feel that having to sign an
22 attestation form is an affront to their

1 professional training and somehow constitutes an
2 unnecessary duplication of the licensure process.
3 So one of the questions that we're dealing with
4 right now is: Is there a less intrusive way to
5 ensure prescribing by appropriate physicians with a
6 focus more on education rather than attestation?

7 As you've already heard this afternoon,
8 we've learned that fulfilling the RMP requirements
9 is time-consuming and falls well outside of the
10 normal clinical practice patterns. There's also
11 some uncertainty regarding the origin and purpose
12 of the RMP. Some people believe it's an IND study.
13 People genuinely misunderstand the current
14 marketing context for Lotronex. To us, this
15 represents a communication or education challenge
16 that needs to be addressed for Lotronex. But,
17 again, it needs to be proactively considered in the
18 implementation of other RMPs. We need to address
19 that confusion before it occurs.

20 As previously mentioned, physicians have
21 also expressed some confusion about the importance
22 or utility of certain labeling statements like the

1 statement that the severe diarrhea-predominant IBS
2 population comprises about 5 percent of the total
3 IBS population. They really don't know how to use
4 that information when considering whether or not
5 the patient that they're looking at should be
6 treated with Lotronex. So it's information that
7 confuses rather than enlightens.

8 From the patient perspective, we've
9 learned that the language in the product labeling
10 tends to frighten the patients rather than inform
11 them. We are getting this message clearly from our
12 field research, but even more importantly, this is
13 a clear message coming out of our clinical trials
14 program, and that's a context where we believe that
15 patients typically feel safer receiving medication
16 because of the oversight that they get.

17 In our current clinical trial program, 28
18 percent of the patients who were screened for study
19 inclusion who the physicians believe would
20 otherwise be appropriate for Lotronex therapy
21 refused to participate because, after reading study
22 information that's similar to product labeling,

1 they stated that they were afraid to take Lotronex.
2 And, again, this is a phenomenon that we don't have
3 any precedent for within our GSK clinical research
4 programs.

5 And, finally, there is this requirement to
6 sign a special document, this patient-physician
7 agreement, that is somewhat disconcerting to some
8 potential patients. Again, it's something unusual,
9 they don't typically have to do it, and it gives
10 them pause.

11 As far as the claims-based observational
12 studies are concerned, again, it's obvious that the
13 low physician-patient uptake has had a serious
14 effect on this program. Currently we have 10,000
15 patients and have extracted data from 121. At the
16 current rate of prescribing, where we need 2,000
17 patients to support meaningful analyses, we would
18 need 155,000 patients treated with the drug, and
19 that could take 15 years at the current rate. So,
20 again, this is a problem that we're going to have
21 to address as we move forward.

22 Again, you know, we certainly believe that

1 we've successfully implemented the RMP for Lotronex
2 and are effectively managing risk. However, we
3 have identified a number of RMP-related issues that
4 may be posing a barrier to access by appropriate
5 patients. And our ultimate goal is to modify the
6 RMP to improve product access for appropriate
7 physicians and patients while continuing to
8 effectively manage the risk.

9 Ten thousand patients have received
10 Lotronex since the product was reintroduced.
11 Current estimates from the literature suggest that
12 the severe DIBS population ranges in size from
13 111,000 to perhaps as high as 2.9 million. It is
14 not 10,000. We will continue to work with the FDA
15 to close this apparent gap between patients who
16 need Lotronex and those who are receiving it.

17 And with that, in the interest of time and
18 out of respect for the mental health of the
19 Advisory Committee, I will stop talking and yield
20 to the podium to Dr. Justice.

21 DR. GROSS: Thank you very much, Dr. Metz.

22 The next speaker is Dr. Robert Justice,

1 Director, Division of Gastrointestinal and
2 Coagulation Drug Products, who will give the FDA
3 update on Lotronex.

4 x DR. JUSTICE: Good afternoon. I would

5 like to take a few minutes to discuss our view of
6 the Lotronex update that you've been provided.

7 I will cover six topics: background on
8 the adverse event and marketing situation around
9 the time of withdrawal and on discussions about how
10 to provide access; risk management goals and how
11 they are being met; patient access issues;
12 physician enrollment process issues; labeling and
13 the tension between informing and frightening; and,
14 finally, our conclusions.

15 This slide is taken from a presentation at
16 the April 2002 Joint Advisory Committee meeting and
17 presents data on the number of cases of ischemic
18 colitis, small bowel ischemia, and serious
19 complications associated with--complications of
20 constipation associated with Lotronex during the
21 period of initial marketing in 2000.

22 For ischemic colitis, there were 18 cases

1 in the clinical trials, 84 cases in post-marketing,
2 for a total of 102 cases, with 11 surgeries and two
3 deaths. For serious complications of constipation,
4 there were 11 cases in the clinical trials, 113
5 post-marketing, for a total of 124 cases, with 35
6 surgeries and two deaths.

7 In 2000, there were approximately 534,000
8 prescriptions and 275,000 patients. Off-label uses
9 included diarrhea, inflammatory bowel disease,
10 custodial care, managing nursing home patients, and
11 constipation-phenomenon irritable bowel syndrome.

12 The prescribers at that time were
13 predominantly primary care physicians: 32 percent
14 were general practitioners or family practitioners,
15 24 percent were internists, and 31 percent were
16 gastroenterologists.

17 Given the adverse events of ischemic
18 colitis, small bowel ischemia, and serious
19 complications of constipation, four options were
20 considered: restricted distribution to
21 gastroenterologists only; IND access; suspension of
22 marketing until a hearing before an advisory

1 committee; and withdrawal.

2 As you've heard GlaxoSmithKline chose to
3 withdraw the drug from the market in November of
4 2000. In 2001, access became an issue, and
5 approximately 5,000 e-mails from patients were
6 received by the FDA.

7 In 2002, GlaxoSmithKline and the FDA
8 agreed upon a restricted distribution and risk
9 management program, and Lotronex was reintroduced
10 into the market.

11 The Lotronex risk management program
12 includes four goals. The first is enrollment of
13 qualified physicians in a physician prescribing
14 program. A decision was made to allow enrollment
15 of physicians possessing certain qualifications for
16 diagnosing and managing IBS and drug adverse events
17 as opposed to certifying physicians by developing a
18 whole new program of education and certification.
19 Physician attestation of qualifications is allowed,
20 and this is not a precedent for FDA or for
21 physician maintenance of privileges or licensure.
22 Participating physicians must attest that they are

1 knowledgeable of the benefits and risks of Lotronex
2 and about the management of IBS and drug adverse
3 events. The attestation and the patient-physician
4 agreement include features of informed consent so
5 that patients and physicians are fully able to
6 decide about the appropriateness of Lotronex
7 treatment.

8 The second goal is the implementation of a
9 program to educate physicians, pharmacists, and
10 patients about the risks and benefits of Lotronex.

11 The third goal is the implementation of a
12 reporting and collection system for serious adverse
13 events.

14 The fourth goal is the implementation of a
15 plan to evaluate the effectiveness of the Lotronex
16 risk management program. We believe that these
17 goals are being achieved.

18 Regarding the issue of patient access,
19 GlaxoSmithKline estimates that there are 185,000
20 women with severe IBS in the U.S.; however, as
21 you've heard, only about 10,000 have tried the
22 drug. Whether additional women will seek treatment

1 is unclear. Some will decide not to start Lotronex
2 after discussion of the risks and benefits. Others
3 who start the drug may not continue. In the
4 clinical trials that excluded severe diarrhea
5 patients, those on Lotronex had a 13- to 16-percent
6 increase over placebo in the median percentage of
7 days with urgency control. In the subset of
8 patients with urgency at baseline on five or more
9 days per week, there were 13 to 21 percent more
10 patients on Lotronex compared to placebo, with
11 urgency no more than one day in the last week of
12 the trial.

13 The goal of GlaxoSmithKline and FDA is to
14 ensure access to patients whose
15 diarrhea-predominant IBS is so severe that they
16 will reap the benefits of the drug over its risks
17 and be under the care of qualified physicians. We
18 are working together to try to identify unintended
19 barriers to patient access.

20 Regarding the physician enrollment
21 process, physician responsibilities in the
22 prescribing program must be clear, and the program

1 still needs to ensure that only qualified
2 physicians are enrolled. These doctors must attest
3 to their abilities and knowledge and take on
4 responsibilities such as patient counseling,
5 reporting of adverse events, and applying Lotronex
6 blue stickers on prescriptions so pharmacists will
7 know that they're enrolled in GSK's prescribing
8 plan.

9 Not all physicians may wish to accept
10 these responsibilities or are able to manage the
11 disease and drug adverse events. However,
12 GlaxoSmithKline and FDA are looking at ways to
13 improve the physician enrollment process and are
14 evaluating other possible means of attestation to
15 ensure qualifications. For example, phone-in
16 attestations may be an option as well as the
17 current fax-in forms.

18 As was mentioned, there is a perception of
19 liability transfer to the physician. Perhaps the
20 fact that liability is not being transferred can be
21 made clearer.

22 Regarding the issue of labeling, there's a

1 tension between describing risks that may be
2 frightening and providing adequate information to
3 allow patients and physicians to make informed
4 decisions. FDA will consider labeling changes that
5 enhance clarity and education. However, any
6 changes in the labeling such as the indications
7 must be supported by clinical trials data on
8 effectiveness and safety. In addition, the
9 labeling must include accurate information on the
10 magnitude and severity of adverse events.

11 In conclusion, we recognize that there is
12 a tension between managing risk, providing access
13 to the drug, ensuring appropriate use, and business
14 considerations. How drugs are used is influenced
15 by many parties in the health care system. We
16 think there may be room for improvements in the
17 risk communication and processes and are working
18 with GlaxoSmithKline on them. Overall, at the
19 present time the risk management program appears to
20 be managing risk and assuring appropriate use.

21 At this point I would like to open it up
22 to committee questions of GlaxoSmithKline, FDA, and

1 for further discussion. Thank you.

x DR. GROSS: Thank you, Dr. Justice. 2

3 Are there any questions from the committee
4 members for any of the speakers? Jackie?

5 DR. GARDNER: Two points of clarification,
6 if Dr. Metz could help me. The first is whether
7 there is any restriction on the quantity of drug
8 that can be prescribed. I appreciate that your
9 packages come in 30s, but a prescription for 90 is
10 allowable, for example. Is the quantity
11 restricted?

12 DR. METZ: Right now, what we're requiring
13 is a prescription--what we're providing to the
14 patient is a package of 30. It is possible that a
15 physician could prescribe multiples of that. But I
16 don't think we have any direct data on whether that
17 is, in fact, happening and on what scale it's
18 happening.

19 DR. GARDNER: But it's not prescribed by
20 the program.

21 DR. METZ: No.

22 DR. GARDNER: And the second question I

1 have relates also to access but to the
2 post-marketing surveillance. Regarding your
3 population-based surveillance, do you know whether
4 this drug is on the formularies of those HMOs?

5 DR. METZ: You're going to have to speak
6 to a microphone, Bob.

7 DR. SANDLER: Right now our estimate is
8 that 87 percent of prescriptions are reimbursed in
9 some fashion when covered through managed care. So
10 it may not necessarily be on a formulary, but it
11 will be covered.

12 DR. GROSS: Stephanie?

13 DR. GARDNER: Dr. Metz, I'm sorry. That
14 doesn't answer our question, because if it's not on
15 those formularies, you're not going to find scrips,
16 and there's no point in doing the post-marketing
17 surveillance

18 DR. METZ: Dr. Gurwitz?

19 DR. GURWITZ: My name is Jerry Gurwitz. I
20 represent the HMO research network CERT, the Center
21 for Education and Research on Therapeutics, that is
22 conducting one of the studies, and nine health

1 plans are involved in our study, our component of
2 the epidemiology program. In all of the health
3 plans involved in our study, the drug is available.
4 The access to prescribing the drug varies according
5 to the plan. Many of the plans require prior
6 approval for a prescription. But none of the plans
7 forbid prescribing, and all of the plans, if
8 approval is given, will allow it to be prescribed.

9 DR. GROSS: Okay. Stephanie?

10 DR. CRAWFORD: Thank you.

11 Dr. Metz, in slide 46 on patient
12 appropriateness--this is the one where you have the
13 categories for men and women and overall met
14 treatment and severity criteria for women, 90
15 percent, men, 84. I have actually two questions.

16 My first one is: Why is the men not zero
17 based on the label indications?

18 DR. METZ: Well, there's a difference
19 here. It's not indicated for use in men, but men
20 that are using it can still meet the criteria for
21 treatment. So there's a difference here. You
22 know, the question is: If we had a box in there

1 that said women for whom it was--or patients for
2 whom it was indicated, then you'd have a number for
3 females, but for men you would have zero because
4 it's not indicated for use in men. But now the
5 real question is: Of the men that receive
6 Lotronex, did they have the disease that would have
7 qualified them for treatment for Lotronex? And the
8 answer to that is 84 percent of them did have the
9 disease. Is that--

10 DR. CRAWFORD: I understood how it was
11 meant. I guess I'm asking are you--the second
12 question, which is not so quick, is: You were
13 rather general in some of the things you were
14 alluding to, saying perhaps things from the
15 sponsor's perspective could be handled through
16 education, et cetera. Can you be more specific?
17 And as part of that, are you also saying that
18 perhaps the indications should include men or not?

19 DR. METZ: No, again, right now we're not
20 considering any change in the indications statement
21 because, as Dr. Justice has suggested, those types
22 of changes are going to require data from

1 additional clinical research.

2 I think what we're looking at and, again,
3 what we're working with the FDA on is looking at
4 the product information, the product labeling, and
5 trying to provide a little more balance, trying to
6 present the information in such a way that it's not
7 naturally intimidating or frightening to the
8 patients. So, you know, we're looking at making
9 modifications that provide balance and clarity, and
10 I think that's the approach that we're trying to
11 take as far as the risk management program is
12 concerned.

13 And as far as the attestation process, as
14 Dr. Justice mentioned, we're taking a look at that
15 and seeing where the points of tension are between
16 the physician attestation process and see if
17 there's another way to address it to take some of
18 the venom out of that, if you will, and make it
19 more acceptable to the practitioner.

20 Again, that's an area that we just have to
21 focus on because the feedback that we've gotten
22 from the field indicates that that's an issue for

1 some of the practitioners.

2 DR. GROSS: You mentioned that 80 percent
3 of the prescribers were in PPL.

4 DR. METZ: Right.

5 DR. GROSS: How did the other 20 percent
6 write for the drug? And why was it honored?

7 DR. METZ: Okay. Well, they can write a
8 prescription for the drug. There is no mechanism
9 that we have to keep them from doing that. But it
10 would be akin to a physician writing an off-label
11 prescription for a product, which they have the
12 right to do.

13 Now, at the pharmacy level, obviously,
14 there is a little bit of tension created because
15 for the pharmacists that are aware of the program,
16 they're faced with filling a prescription that
17 doesn't have a sticker on it and what they're going
18 to do about that.

19 So, again, we've addressed that with some
20 of our follow-up information. We have that
21 follow-up letter that goes to the pharmacist when
22 we've identified non-enrolled prescribers, just

1 reminding them that this is the program that's in
2 place for Lotronex and, you know, encouraging them
3 to hopefully contact the prescriber and say, you
4 know: Are you enrolled? I got a letter from GSK
5 or from the prescribing program for Lotronex that
6 says there ought to be a sticker on these
7 prescriptions.

8 But, again, we can't force that
9 conversation to occur, and what we're finding is 13
10 percent of the prescriptions that are written are
11 coming outside of the program.

12 But, again, you know, we have no benchmark
13 against which to judge that, but 87 percent is
14 pretty encouraging to us, frankly. We're very
15 relieved because we had no idea what would happen.

16 DR. GROSS: And from the patient's point
17 of view, I guess it's not possible in the current
18 program, but would it be possible once the patient
19 and the physician work out their agreement to have
20 the patient obtain prescription renewals, let's
21 say, for the next two monthly ones, attain them
22 without a visit and maybe just see the physician

1 four times a year instead of monthly for
2 prescription renewal?

3 DR. METZ: That's an excellent point, and
4 oddly enough, we're in some discussion around how
5 to address that issue. Because, again, I think
6 with these risk management programs, you start out
7 in one place, and after you've had some experience
8 with the product being marketed under those types
9 of programs, you use the data to decide where to go
10 next. And I think we feel that maybe the time is
11 right to take a look at this refill procedure and,
12 as you've suggested, make sure that the important
13 conversations occur first early on. But then after
14 that, once the patient is in a "stable situation,"
15 perhaps you could provide for refills and, again,
16 reduce the need for those recurrent visits. I
17 think that's a very good point.

18 DR. GROSS: Henri?

19 DR. MANASSE: Dr. Metz, I have two
20 questions. One relates to the intense time that it
21 takes both physicians and pharmacists to
22 participate in this program and do the required

1 safety net activities. What kind of dialogue has
2 gone on within GSK to deal with the time, cost,
3 financing component of the management of the
4 program? Question number one.

5 Question number two: Have you explored
6 all of the different places and mechanisms by which
7 prescriptions get filled by patients and the fact
8 that all of these different ways probably require
9 different ways of managing the program? I refer
10 specifically to where the patient has a choice in
11 terms of going to pharmacies, both in hospitals and
12 in communities, versus forced mail-order, for
13 example, in some health plans--my point being,
14 again, and my question relating then to how have
15 you thought about these issues, and are there ways
16 that these can be tinkered with, if you will, to
17 enhance the participation of providers?

18 DR. METZ: Let me try to answer the first
19 question first, as best I can remember it, and that
20 was with regard, if I understand it, to the
21 internal burden with GSK of running this very
22 complex program--

1 DR. MANASSE: It's placed on the providers
2 and the time and energy that it takes and the
3 problems of remuneration we heard from one of the
4 speakers today.

5 DR. METZ: Well, you know, again, if I
6 understand the question, we are looking into that
7 issue, and we're trying to decide which of these
8 points should be addressed--you know, what points
9 could be addressed to relieve as much of that
10 burden or tension as possible, while maintaining
11 the integrity of the RMP framework itself. And,
12 you know, it's a balancing act, and we're into
13 those discussions with the agency, and we're going
14 to look for ways to make this less onerous without
15 undermining the integrity of the system that we
16 believe has worked fairly well up to this point.

17 DR. GROSS: Brian--

18 DR. METZ: Now, there was a second
19 question, and that second question was really have
20 we looked into the other mechanisms or avenues for
21 patients filling prescriptions and whether, in
22 fact, there are any barriers there that we didn't

1 envision that we should perhaps address moving
2 forward. And, honestly, we have not looked into
3 that right now. We'd be interested in hearing some
4 views on that because I think that's a very
5 important point. And, again, we've been, you know,
6 dealing with this, but I think as we move forward
7 and if we consider some other ways to address the
8 refill phenomenon, that's got to come into play.

9 So, again, we'd be interested in hearing
10 some advice about that.

11 DR. STROM: I have two questions. One is:
12 When we met about this two years ago, one of the
13 ideas of the attestation and the debate about
14 attestation and certification and
15 gastroenterologists, primary care doc, was the goal
16 to have this drug prescribed by a subset of
17 physicians who really knew how to use the drug.
18 And given the numbers you just described about
19 10,000 patients and 5,000 docs, or even 2,500 docs
20 prescribing it, that's an average of four patients
21 per physician, which isn't very impressive.

22 What proportion of patients are getting

1 their prescriptions from physicians who are
2 prescribing it to more than one patient?

3 DR. METZ: I think we have a slide on
4 that. Yes, we've got a bar graph with the numbers
5 of prescriptions. Just a second. We'll see if we
6 can find that.

7 But you're right, again, if
8 you--recognizing that no refills are allowed, some
9 of those numbers that you see are original
10 prescriptions for the same patients, so you're
11 absolutely right, the number of patients per
12 physicians who do choose to treat is pretty low.

13 DR. STROM: But, if anything, that argues
14 there should be fewer certified physicians rather
15 than more.

16 DR. METZ: Okay. Here we go. Here's the
17 prescribing activity, and what we see here, this is
18 total numbers of prescriptions. And, again, it
19 gets hard to put a denominator with that because we
20 don't have any refills that are allowed. But,
21 anyway, I think what you can see is in the one to
22 five range, very negligible. There are a few, a

1 few more actually, roughly twice as many dedicated
2 prescribers, if you will, that are driving
3 prescriptions beyond six to ten, out to greater
4 than 15 prescriptions. But it's a very small
5 cohort.

6 DR. STROM: The second question: As you
7 were talking about, one of the key issues here is
8 mitigate risk, and when dealt with this two years
9 ago, one of the concerning questions, obviously
10 through no fault of anybody, is that there was no
11 way to predict--let me back up. It appeared that a
12 relatively small subset of patients actually
13 benefited from it. We saw two sets of data
14 indicating only about 10 percent of patients
15 continued the drug long term for a symptomatic
16 drug, and it looks like that's what happening again
17 now that the drug is on the market. So there's
18 only a small subset of people who get the drug who
19 will benefit. And there were no risk factors that
20 were identified in the data then that could predict
21 who was likely to benefit and who was not.

22 In the same way, the risk of suffering a

1 serious event is obviously much less than 10
2 percent, but even in your new experience here with
3 incomplete reporting, it's still one in 300
4 patients suffering serious events. And part of the
5 problem is, at least as of two years ago, we
6 couldn't predict who would benefit. We also
7 couldn't predict who would be hurt. So that the
8 only way to mitigate the risk was to restrict its
9 access and to, in fact, limit it to as few people
10 as possible, because 100 percent of the people who
11 got the drug would be at risk of getting the
12 adverse events, where only roughly 10 percent of
13 the people who got the drug would benefit from the
14 drug.

15 In the interim, where you've got other
16 clinical trials underway and additional experience,
17 are there any more data you could share with us
18 that would give information about predictors of
19 either who is likely to be that 10 percent who
20 benefit or who is likely to be in that one in 300
21 who will suffer serious adverse events?

22 DR. METZ: No, we don't have any new

1 information. Our clinical trials program is
2 ongoing, and, again, we just simply don't have that
3 information available as we sit here today. And,
4 again, you're absolutely right, the ischemic
5 colitis we believe is idiosyncratic; therefore, we
6 can't predict.

7 However, what we do think that we're
8 seeing here is some improvements in the outcomes,
9 and, again, our goal for this risk management
10 program was not based on a target number but was
11 based on changing behavior, prompt recognition and
12 action on behalf of the patient and physician. So
13 that's where we are right now.

14 DR. STROM: But just as a follow-up
15 comment, the logic of two years ago, which you're
16 describing to me still holds, is if you can't
17 predict who's going to benefit and you can't
18 predict who's going to be hurt from it, the only
19 answer is--we didn't want it unavailable because we
20 thought there were people who clearly needed it,
21 but the only alternative was to greatly limit its
22 access as much as possible.

1 DR. METZ: Well, again, you know, we feel
2 that with this specified target population, we've
3 got a target population for whom we believe the
4 benefits will outweigh the risk. And we believe
5 it's an appropriate target population for
6 treatment, and we believe within this framework
7 that we have developed here, risk can be
8 effectively managed and people can have the
9 opportunity to benefit from Lotronex. And I think
10 that's what we're trying to provide here is that
11 opportunity. So, you know, we'll finish our
12 clinical trials program and hopefully be generating
13 some data that we can share in the future. But we
14 are where we are.

15 DR. GROSS: Robyn has the next question.

16 MS. SHAPIRO: I think this may pick up
17 some of that. As I understand it, then, part of
18 the qualification requirements for the doctor is so
19 that he or she could properly manage in the event
20 of something bad happening. But it's not clear to
21 me from your presentation about what you want to do
22 about what you've already put in place to try to

1 make that happen. In other words, the perception
2 of the liability transfer I think is ridiculous,
3 and they will always be afraid about that and upset
4 about that. You're not going to answer that.

5 Anytime when you want to require qualified
6 people, that's an affront, I guess, to licensure
7 and training. But if you believe that that's
8 important to be able to pick up--I don't think that
9 any of these issues are significant enough or even
10 credible to go back on the required training thing.

11 DR. METZ: Let me just address that
12 question in two ways. First of all, we're not
13 talking about completely walking away from
14 something. What we're talking about is trying to
15 modify what seemed to be perhaps the most offensive
16 elements of it. They don't like the signature
17 process. So, you know, as Dr. Justice has
18 suggested, is there another process to ensure that
19 they're qualified yet somehow or another doesn't
20 serve as an affront to them and recognizes some of
21 those sensitivities. But, actually, I'd like to
22 let Dr. Sandler address just some comments from his

1 perspective on this process and some of these
2 intangibles, if you will.

3 DR. SANDLER: I think that as a physician
4 the program has incredible barriers and I think
5 it's hard to convey. And to sit down with a
6 patient and ask them to sign this form I think is
7 insulting for physicians and somewhat demeaning.

8 I think to be able to give the patient an
9 information sheet and to sit down with them and say
10 if you get constipation, stop the drug and call me,
11 if you get bad abdominal pain, stop the drug and
12 call me, that permits me to educate that patient,
13 just the way I do with every other patient. This
14 program becomes special, and by doing that we set
15 up barriers. And we're denying access to a drug
16 that helps a lot of people. Dr. Stronk (ph), whom
17 I admire a lot, said that everybody has a risk but
18 nobody has a benefit. Well, going into it, the
19 probability is everybody has a chance to benefit
20 and everybody has a chance to risk. We can't
21 predict.

22 MS. SHAPIRO: But you're talking about

1 what was going to be my second point. My first
2 point is: Do we do away with the required
3 qualifications attestation? And that's different
4 than the agreement and the time that it takes to do
5 that. But let me just talk about that, too, and
6 then you can come in on both.

7 One of the points in here is that it must
8 scare patients away because after they go through
9 this process, whether it's the signing of the form
10 or hopefully, more importantly, the discussion,
11 some of them don't want to take the drug. Well,
12 that's informed consent.

13 DR. SANDLER: That's fine.

14 MS. SHAPIRO: I mean, that is what the
15 plan is. When they hear things--

16 DR. SANDLER: What about the patients that
17 are denied the chance to even get the drug because
18 Dr. Wilderlite, he's a competent gastroenterologist, and
19 he's afraid to use the drug. He's afraid
20 of litigation, he's afraid of--and the process is
21 so time-consuming that we've set up barriers so he
22 doesn't want to use the drug.

1 MS. SHAPIRO: The time-consuming thing
2 just gnaws at me because while I'm very cognizant
3 of the fact that, particularly today, doctors don't
4 want to talk to patients because they don't get
5 paid for it, they have to talk to patients, and
6 particularly when they're dealing with a risky
7 drug, they have to talk to patients. And our
8 reimbursement system should figure out a way to
9 make it worthwhile. But even before it does, they
10 have to talk to patients.

11 DR. SANDLER: I couldn't agree more. So
12 let me answer your question about the attestation
13 and then answer your question about talking to the
14 patients. There's probably a way to do this short
15 of a doctor saying, "I attest that I know how to
16 take care of IBS patients. I know how to take care
17 of ischemic colitis," signing a form. I think
18 there are ways that the agency and the sponsor
19 could work to figure that out.

20 MS. SHAPIRO: Without assuring that they
21 do? Without kind of assuring that they really do
22 know how to pick up on the signs and symptoms that

1 would suggest that a patient's in trouble?

2 DR. SANDLER: Well, the system now doesn't
3 assure it either. They just sign the form and say
4 they can do it.

5 MS. SHAPIRO: Okay. Well--

6 DR. SANDLER: There's no way to guarantee
7 it. They're licensed--

8 DR. GROSS: I think we're going to have to
9 go on to the next question. Alex, do you have a
10 question?

11 DR. KRIST: The question that I was
12 wondering leads a little bit on what Brian was
13 saying. Back in 2002, there were discussions about
14 whether the lower dose, which is now the starting
15 dose, would have less risks of adverse events and
16 whether the risk of adverse event would go down
17 over time or whether most of the risk was when a
18 patient initially started the medication. And I
19 heard you say earlier that we don't necessarily
20 have information about who's going to be at risk.
21 But part of my question that I'm wondering is I'm
22 just interested in the systems in place for

1 watching this to see if the lower dose will result
2 in less adverse events and if the risk of adverse
3 events will change over time that a patient is on
4 the medicine.

5 DR. METZ: Well, you know, again, we have
6 a survey that gives us information about the
7 starting dose that patients are taking, and we're
8 reassured by looking at that patient survey data
9 that they are indeed starting with that initial
10 dose that we wanted them to use. But I think in a
11 longitudinal way, I'm sure that we have the ability
12 to monitor across time in the fashion that you've
13 suggested.

14 Elizabeth?

15 And, again, that's some information
16 hopefully that we'll get out of that ongoing
17 clinical program that was part of our series of
18 Phase IV commitments. You know, that's the richest
19 context for that type of information, but I'll let
20 Elizabeth--

21 DR. ANDREWS: We do at every follow-up in
22 the survey, we ask what their current dose is, and

1 I don't have the exact percentage. I can get it.
2 But a substantial number of people are still on the
3 lower dose. I think your question was something
4 else, which was what is the efficacy at the lower
5 dose.

6 DR. KRIST: The risk across time.

7 DR. METZ: Risk of adverse event on the
8 lower dose and the risk of adverse event over time.
9 And, again, within the survey context, we don't
10 have the ability to do that, but we have a very
11 large clinical trials program underway, and those
12 doses are included there, and that is going to be
13 the richest source of that information. But those
14 studies are not completed yet. They are enrolling.

15 DR. GROSS: Curt?

16 DR. FURBERG: We heard quite a bit about
17 the good news, and I want to commend GSK and the
18 agency. We didn't hear much about the troubling
19 news, at least two aspects of it. One is the very
20 low participation rate and the patient follow-up
21 survey program, 36 percent responded. I find that
22 very, very troubling. And the other one is the low

1 physician reporting rate those serious adverse
2 events. Most of the serious events came from
3 patients.

4 So my question then is to you and your
5 company: What are you doing about it? One thing
6 is to take care of the issues and increase the use,
7 but you also have to get better information, better
8 data for us that we can assess the impact of the
9 program.

10 DR. METZ: Well, as far as, you know, the
11 general perspective on survey participation in this
12 type of context, I think I'll let, again, Dr.
13 Andrews address that. But I would tend to disagree
14 with you. You know, given the experience with
15 these types of instruments, we're not disheartened
16 by 36 percent.

17 Now, you know, are there other things that
18 we should look at or could look at to change
19 participation rates in future surveys and what are
20 the dynamics around the patient's willingness to
21 participate in these surveys? I think these are
22 interesting research questions that I think we need

1 to look into as this field evolves. But I'll let
2 Dr. Andrews talk--

3 DR. FURBERG: I think you're saying you're
4 going to overcome barriers for the other areas.
5 This is an area that also has barriers, as you
6 said, and you need to overcome them. And 36
7 percent is unacceptable, in my view.

8 DR. METZ: I'll let Dr. Andrews address
9 that.

10 DR. ANDREWS: Well, 36 percent is--the
11 issue is whether the patients are representative.
12 Are there biases because of the low participation
13 rate? A 36-percent participation rate doesn't
14 necessarily mean that it's biased, just as a
15 90-percent participation rate might not mean that
16 it is completely unbiased.

17 What we have looked at in terms of
18 representativeness is we've looked at the age and
19 gender of the patients, geographic region of the
20 prescriptions, and specialty of the physicians, and
21 compared with sales, and we see the patterns are
22 almost identical. So that is--

1 DR. FURBERG: It doesn't carry the day at
2 all. I mean, those are fairly insignificant,
3 nonspecific factors. The reason why someone
4 doesn't respond could be that they had a bad
5 experience and just said, "I'm just out of it."
6 And we never find out about it. I think we have an
7 obligation to get as complete information as we can
8 from that part of the program.

9 DR. METZ: Again, that's a point well
10 taken. Would we be happier if it was 50 percent or
11 60 percent? We would both be happier.

12 DR. FURBERG: I'm just suggesting devote
13 some effort to that as well.

14 DR. METZ: Yes, I agree. And the second
15 point that you made, I'm sorry, Dr. Furberg, was?

16 DR. FURBERG: The physicians, the lower
17 reporting of adverse events. Most of the events
18 are coming from patients, which is unusual. And
19 here are they objecting to it, or is it just--

20 DR. METZ: Again, I'm not a physician. I
21 don't even play one on TV. But I'm going to
22 pretend for just a moment.

1 You know, when we talk about seriousness,
2 seriousness means different things to different
3 people. To a practitioner, they have a practical
4 definition of seriousness based on the practice of
5 medicine. We have a regulatory definition of
6 seriousness based on the regulations, and perhaps
7 what we haven't done a good job of doing is
8 communicating to the practitioner community what it
9 is we want them to report here. We've said you
10 should report, but I'm not sure that we educated
11 them as far as what to report.

12 DR. GROSS: Our next question is from
13 Maria.

14 DR. ANDREWS: I was going to make the
15 comment, I just wanted to make sure that you are
16 aware that the participation in the survey is
17 voluntary.

18 DR. FURBERG: I understand that.

19 DR. ANDREWS: And so for a voluntary
20 program, the participation rate is actually quite
21 high.

22 DR. FURBERG: I'd disagree with that,

1 DR. SJOGREN: Actually, I'll take up that
2 point because where I work, we have several
3 programs in which we do follow-up, and it is across
4 the board 30-percent response. When I looked in
5 the literature, it is 30 percent no matter what.
6 And so we put a follow-up program in place thinking
7 that we were going to do better, and it came out
8 right at 30 percent. So their 36 percent I think
9 falls within the literature, at least from what I
10 recall in my research. It's unfortunate, but
11 that's us, that's human beings. We don't like to
12 answer questionnaires; we don't like to be followed
13 up. And I think that's part of the problem that
14 you're facing, and I don't think you're going to be
15 able to solve it. Just look in the literature and
16 you'll see everybody's 30 percent. Actually, you
17 are 6 percent above.

18 But the question I wanted to--or the
19 analysis that I did looking at the data and looking
20 at what the FDA gave me to review is that indeed,
21 although there were patients that has ischemic
22 colitis, all of them resolved. And I think, you

1 know, talking as a clinician--I mean, I wear
2 several hats, but one of them is as a clinician.
3 If things resolve, you don't think that they are
4 serious. So that's possibly the cause why my
5 colleagues are not reporting to you.

6 Now, if we are in the midst of a clinical
7 trial and you have an ischemic colitis or you have
8 a hospitalization, then you absolutely report as a
9 serious adverse event, but not in the practice of
10 medicine. And these observations are part of the
11 program that you and the FDA put together.

12 The fact that, when I did some rough
13 calculations, you had less than 4 percent adverse
14 events in this program and then, as was pointed out
15 before, the serious adverse events--by seriousness
16 considering ischemic colitis or some other
17 diagnosis--was 0.3 percent. So the program is a
18 success in regards to if you apply this medication
19 to the appropriate patient. Then you have a small
20 rate of adverse events in general and not
21 dismissible but a small rate of serious adverse
22 events especially when those serious adverse events

1 resolve, because you remove the drug and the
2 patients just can go back to their normal life.

3 So I think, you know, the program that you
4 put together is very good because it proved the
5 point that the appropriate patient that takes the
6 drug, then the risks are minimized.

7 So talking now on the subject of being a
8 clinician and having ten gastroenterologists that
9 work with me and many friends in the community,
10 I've asked in the past if they use Lotronex, and
11 they've all told me no because when they enroll or
12 attempted to enroll, they got boxes and boxes and
13 boxes of paperwork to fill out, that it is
14 horrendous. They're very upset, the community of
15 gastroenterologists in general, because there are
16 patients in which, although the disease may not be
17 life-threatening in the sense that they die, it is
18 life-threatening in the sense that the guys cannot
19 get out of the house or have to have an office
20 right next to a bathroom. There are things in
21 gastroenterology that should not escape us, and I
22 think the appropriate patient with this drug should

1 have access to it.

2 And I think that the reason for meeting
3 today is to find a way to make it more accessible.
4 Obviously, there were very serious side effects
5 before. There was misunderstanding. There were
6 physicians that perhaps were not following the
7 letter of the intent of the FDA. But those things
8 I think we can work with and make the program more
9 feasible for our patients and for our physicians.

10 DR. METZ: Okay. That's our intent. It's
11 a continual cycle of evaluation and revision. The
12 advantage that we have right now is at least for a
13 change we have some data that we can deal with as
14 far as the potential impact of these things. And,
15 yes, there are things both good and bad that we
16 need to address as we move forward. But we think
17 it's important to continue to make this available
18 to these patients because this disease really
19 insulates them from their activities of daily
20 living.

21 DR. GROSS: Mark, did you have a comment
22 you wanted to make?

1 DR. AVIGAN: Right. I just wanted to
2 speak to the observation of the adverse events, the
3 eight cases of ischemic colitis that were basically
4 predicted and pretty much on track with the usage
5 that currently exists. And then the question came
6 up of the distribution of severity of outcomes out
7 of those eight cases.

8 Just to point out that the severe
9 outcomes, the bad clinical actors that were
10 observed in the previous experience, and just to
11 sort of go back to the April 2002 tabulation, so in
12 the post-marketing sort of ratios, out of 84 cases
13 of ischemic colitis, 10 developed surgical outcomes
14 and two were associated with death. So it's a
15 subset of the denominator of ischemic colitis. So
16 there may--there are two possibilities. One is the
17 early observation of ischemic colitis by the
18 clinician, the patient really mitigates the risk
19 for a bad outcome. But the other is that because
20 there were less patients exposed, you don't have
21 the full distribution of severity of outcomes
22 because of purely the number that actually got the

1 adverse event.

2 I just wanted to point that out, and I was
3 going to ask you if you--and we already spoke about
4 this a bit, whether you could distinguish between
5 these two because that's an important point about
6 your evaluation of the success of the risk
7 management.

8 The second question--and I just raise it
9 as a question raised before--you mentioned that of
10 the patients who are currently treated, 80 percent
11 have all three severity criteria. So my
12 question--and you may not have the answer, but one
13 that should be raised--is: Of those patients who
14 have frequent and severe pain, which is the first
15 criteria, what percentage of those have frequency
16 urgency or incontinence and/or the third criteria,
17 which is the restriction in lifestyle? In other
18 words, what is actually the--if you already have
19 one, what is the percentage of all three in order
20 to understand whether you're being overly stringent
21 because 80 percent have all three?

22 DR. METZ: Let's take the second question

1 first. And, Dr. Chang, maybe from your clinical
2 perspective, it's the issue that we've discussed
3 around what's the likelihood that a patient would
4 have one or two of these things versus having all
5 three. Again, so the issue is, you know, is it
6 fair to hypothesize that this all-three phenomenon
7 does really represent a severe end of the spectrum?
8 Or is there something else going on here?

9 DR. CHANG: There have actually been
10 studies that have shown that if you have pain,
11 that's a predictor of impact of quality of life.
12 So I would imagine that the patients with severe
13 irritable bowel syndrome who have severe pain or
14 frequent pain are really going to have number
15 three, which is disability or disturbance of
16 quality of life.

17 There hasn't been data on the urgency or
18 fecal incontinence, but you can imagine that you if
19 you have fecal incontinence, you're going to have
20 an impact on your quality of life every time you
21 step out the door. But if you're going to assess
22 urgency and that with pain, I don't think they're

1 really tied together. I think it's tied with
2 discomfort. But with quality of life, you have to
3 only look at a subgroup of IBS patients, which are
4 the diarrhea predominant group. And my guess would
5 be, my impression is that urgency is probably a
6 strong predictor of impact on quality of life in
7 that group of patients.

8 DR. METZ: So it sounds like one and three
9 and two and three go together, but one, two, and
10 three seem to define, you know, a severe end of the
11 spectrum. And I guess as far as--you know, we've
12 been talking about these diagnoses of special
13 interests and these outcomes, and if I could just
14 have Dr. Lewis make a comment from his perspective,
15 having reviewed this and chairing that Safety
16 Review Committee.

17 DR. LEWIS: Thank you. I chair a Safety
18 Review Committee which we look at all the events of
19 special interest regarding what is reported to be
20 ischemic colitis or constipation complications.
21 And in doing that, it's revealing that certainly
22 not all the cases that are filed as that diagnosis

1 turn out to be that diagnosis. We spent weeks
2 developing criteria, methodology to put together a
3 true way to diagnose these conditions, which can be
4 done for any adverse event. I mean, we do it for
5 liver disease and other things.

6 And with the cases that we've seen in this
7 program, while many of them were ischemic colitis,
8 several of them were not by the criteria that we
9 use. The first and foremost is somebody has got to
10 look in the colon and see if it even looks like
11 ischemic colitis. People can have rectal bleeding
12 from lots of different reasons, and pain. It could
13 be diverticulitis, for example. So we have
14 criteria that we use.

15 We also then--what's not shown here is we
16 made a causal relationship jump to whether Lotronex
17 might have been responsible for the constipation or
18 the ischemic colitis, and there we have similar
19 criteria and methodology we put together, and only
20 a minority of those cases could we actually say
21 Lotronex seems to be responsible in a probable or a
22 definite manner.

1 We still don't know why ischemic colitis
2 occurs. The latest epidemiologic studies suggest
3 that it might even be the very far spectrum of what
4 we call irritable bowel syndrome. Remember, we
5 still are learning about this syndrome. We now
6 know it's not just with Lotronex. Tegaserade
7 (ph)--I just got my letter yesterday, the "Dear
8 Doctor" letter telling me Tegaserade, which works
9 on a different serotonin receptor, is also
10 associated with the condition. I haven't reviewed
11 those cases so I don't know how accurate it is.
12 But it is a learning process. I think we're
13 learning more about ischemic colitis in the last
14 couple of years and into the future than we ever
15 expected to, and it's important that we do that.

16 But just in terms of what we continue to
17 do is try to identify in the future what patients
18 might be at risk, and that will be very important
19 to know so that we might not give certain patients
20 Lotronex or the other drugs as well, because right
21 now we don't know. And some form of monitoring is
22 certainly important. An educational program that

1 we're doing and telling patients what to expect and
2 stopping the drug if they get those symptoms is
3 crucial.

4 DR. GROSS: We have three more questioners
5 before we close for the afternoon: Art, Annette,
6 and Jackie.

7 MR. LEVIN: I've been struggling with how
8 to put this as a question rather than a rant, but
9 first of all, just a few comments and then my
10 question.

11 I assume you're aware that in the context
12 of risk management programs, this is risk
13 management lite compared to some others. There are
14 other programs out there that manage the
15 prescribing and dispensing of medications about
16 which we serious questions as to the trade-offs
17 between risks and benefits and a lot of unknowns
18 that manage it much more rigidly than this does.
19 And the notion that we're scaring patients away, I
20 mean, I would call your attention to the Med Guide,
21 which I think is mild and doesn't even follow the
22 guidelines in having the black box warning at the

1 top of the Med Guide. It gets into the risks, I
2 think, in a very general way.

3 That said, it strikes me in listening to
4 your presentation that you're looking to the wrong
5 entity to fix the problem, if there is indeed a
6 problem with access, because I think having
7 barriers is what risk management is about. I mean,
8 it sort of defines it.

9 I think we have to recognize that this was
10 an extraordinary case, the first time in history
11 where a drug which had been withdrawn came back on
12 the market and about which there was little known
13 about how to predict risk, and that everybody,
14 including all of the patients that testified that
15 day, seemed willing to ensure this special program
16 in order to have access to the drug.

17 I think the problem is in the prescribing
18 community. I mean, I would ask you to think about
19 where is the problem. And I am somewhat--I'll use
20 the word--angry that the prescribing community
21 describes a conversation with a patient about
22 benefit and risk and signing their name to a

1 page-and-a-quarter attestation as so burdensome
2 that they opt out of this program. And it strikes
3 me that if a physician believes that this is a drug
4 for the patient sitting in front of them, it
5 borders on either misconduct or malpractice not to
6 prescribe that drug because they have to sign an
7 attestation or they have to go into a program which
8 is designed to protect them and to protect the
9 patient from harm.

10 I would argue that your education--it's
11 not a matter of relaxing the risk management
12 program. It's a question of educating the
13 prescribing community that the things they're
14 afraid of they should not be afraid of, that this
15 program is in place to benefit everybody, and
16 they've got to give it a chance. And maybe if we
17 do learn how to identify patients that are at risk
18 and can be more scientific in selecting who gets
19 this drug and not, we can change the program.

20 To date, there's no more data than we've
21 ever had, and I would argue to look to altering the
22 risk management program at this stage is simply

1 unacceptable in terms of protecting the public
2 health. And I think really what we should be
3 thinking about is how do we educate the prescriber
4 community to get over their fear and to prescribe
5 this drug when they believe it's appropriate for a
6 patient. You guys are very good at educating
7 doctors about your products. You detail very well.
8 And I don't know why you can't be doing educational
9 detailing to that effect.

10 DR. METZ: On that?

11 MR. LEVIN: Yes.

12 DR. METZ: I didn't hear the question so--

13 [Laughter.]

14 VOICE: It qualifies as a rant.

15 DR. METZ: You said you weren't going to
16 do that, but I feel--so do you feel comfortable
17 with my answer then? Thank you. I mean--

18 DR. GROSS: You can advise physicians
19 there are billing codes for time they spend with a
20 patient, which goes along with Art's comment.

21 Annette?

22 DR. STEMHAGEN: I just wanted to confirm,

1 in terms of the evaluation criteria, we're
2 talking--I think you have Slides 45 and 46--about
3 compliance and appropriateness. And very high
4 percentages, everybody looks great. But my
5 understanding is this is only based on that 36
6 percent. So we could be getting the best compliers
7 because they're the people feeling motivated, I'm
8 doing what I should and I'm going to tell you about
9 it. So understanding all the limitations of survey
10 research, I do it all the time, trying to urge that
11 there be some other mechanisms put in place to
12 evaluate it, to get a higher percent so we can
13 really feel comforted by the percentages.

14 DR. METZ: You know, we put that program
15 in place. That's the claims-based epidemiological
16 research which was to look at that at the back end,
17 if you will. But, unfortunately, that aspect of
18 the program is dependent on patient uptake, and
19 right now it's not providing any value.

20 DR. STEMHAGEN: Well, I'm not sure
21 exactly--in terms of the claims database, there are
22 patients' self-reported criteria for whether you

1 are the right candidate, and that's not going to be
2 captured in the claims database. You'll have to go
3 back to the records, and that may still not be
4 captured unless the physician specifically asks
5 those questions.

6 So while I agree it's another evaluation
7 tool and I think it's an important one, I'm not
8 sure it's going to get to all of these questions,
9 either.

10 DR. METZ: You know, again, you're right.
11 We have to make some qualitative assumptions about
12 the generalizability of that cohort, you know, to
13 all patients that are receiving Lotronex. And you
14 heard Dr. Andrews speak to the kinds of things that
15 we're looking at. But, you know, you can't say
16 definitely, you know, these people are
17 representative. We hope that they are, obviously.

18 DR. GROSS: Jackie?

19 DR. GARDNER: In 2002, when we met, one of
20 the discussions was around whether to restrict
21 prescribing to gastroenterologists, and I recall
22 that the Chairman of the Gastroenterology Advisory

1 Committee, I think--I may be ascribing it
2 incorrectly to him--said that won't work because
3 some of us have taken our practice in some other
4 directions--and I wanted to say liver disease or
5 something that he said. And so that's why it
6 wasn't restricted to gastroenterologists;
7 therefore, an attestation program was set up with
8 their concurrence because not every gastroenterologist knows
9 guts and so on--

10 DR. METZ: Is going to look at IBS, right.

11 DR. GARDNER: Right, exactly. And some
12 family practitioners really do.

13 I'm struck by the difference in this
14 meeting and one we had a couple of months ago
15 around Accutane, in which those prescribers also
16 are severely restricted. They have the same kind
17 of sit-down and sign things. They've got to do
18 pregnancy tests. And we heard a lot about a lot of
19 things at that time, but I didn't hear this kind of
20 resistance to getting involved in these programs.

21 So my point is that FDA, with a risk
22 management program that is at least as onerous as

1 this one, nonetheless, has somehow managed to find
2 a way to make it more acceptable to the
3 constituency such that, as you know, not only is
4 Accutane tremendously prescribed, more even than we
5 probably would want it to be, perhaps, but it also
6 has four generics and yada, yada. I mean, it's not
7 running into this prescribing limitation issue.
8 And so I would suggest that you all look to models
9 within FDA for ways to handle this attestation to
10 make it--to eliminate this accessibility burden.

11 DR. METZ: And, again, we take the point
12 on perhaps an additional educational focus. We do
13 have a large educational program ongoing, and,
14 again, that's another area where one could make
15 modifications and see if you can get some
16 incremental gain out of that. But that's a
17 multifactorial problem. Let's face it. There are
18 lot of things intersecting here that need to be
19 addressed in a careful, prudent way. And I think
20 that's what we're about here.

21 DR. GROSS: A special request from
22 Stephanie for the last word.

1 DR. CRAWFORD: Thank you, Mr. Chairman.

2 Actually, I was just asking for a word, not
3 necessarily the last.

4 I would like to actually give you a kudo
5 because I've read some of the press that appear
6 today--that made it appear that the risk management
7 program is negative before we had this meeting. I
8 want to congratulate you on your risk management
9 program for alosetron because many aspects of the
10 program seem to be working.

11 From the information presented, one of the
12 major concerns that this committee expressed two
13 years ago was what appeared to be a very large,
14 inappropriate prescribing. Without a question,
15 that has gone down. I am not convinced that the
16 low numbers of prescriptions of patients is due
17 mainly to unreasonable barriers. It could be that
18 it's being prescribed more appropriately and
19 patients are making good, informed decisions. We
20 don't know. I know all the discussion we've had
21 from that.

22 That said, however, I am in favor of any

1 improvements to the existing programs. Specifically for me,
2 I would be in favor of revising any
3 language that would ensure that the patient
4 agreement forms are clear and informative, and I
5 will just leave it at that, and also possibly
6 extending the supply, dispense. I don't want to
7 discuss in terms of what you say, the refill
8 phenomenon as much as I prefer that any changes be
9 in terms of the day supply, because it's a huge
10 difference if the physician prescribes a 30-day
11 supply and you can refill it three times versus if
12 he or she prescribes a 90-day supply that you're
13 refilling three times. So think in terms of day
14 supply, not refill, if there is any change to that.

15 Thank you, Mr. Chairman.

16 DR. GROSS: A pleasure, Ms. Vice Chairman.

17 [Laughter.]

18 DR. GROSS: We began the meeting and we'll
19 end the meeting with a thank you to Brian Strom for
20 his invaluable service to the committee. We really
21 appreciate having you as a colleague over the last
22 few years. Would you like to make any comments?

1 DR. STROM: Sure. Thank you. I guess I
2 began with a comment, and it's only fitting I end
3 with a comment.

4 I just wanted to follow up on a few loose
5 ends and some comments that were made. One is I
6 think compared to Accutane, and in response to
7 Art's comment also, there's a big difference here
8 in efficacy. And I think to blame it on the
9 physicians and to say the physicians don't want to
10 prescribe it--well, there may be a reason they
11 don't want to prescribe it. That's something to
12 keep in mind.

13 Second, I think the use of the claims
14 databases make sense. I think it is striking that
15 the proportion of users in the claims databases,
16 given the population numbers we saw, is much lower
17 than the proportion of the general population we're
18 seeing. So what it's saying is our managed care
19 organizations are saying don't use this, even more
20 than the rest of the general public is.

21 Third, Curt talked about 36 percent and
22 the concerns of 36 percent, and there was a lot of

1 argument about 36 percent. Personally, as an
2 epidemiologist, I would consider that a shockingly
3 low number. On the other hand, I think it's
4 important to realize certainly no NIH grant would
5 get funded with anything less than 80 or 90
6 percent. From a marketing study, it's high, but
7 it's--I think we heard in the Accutane situation
8 about numbers that were comparable. So I don't
9 fault the survey, necessarily. I think in part
10 it's the situation. But I think it's important
11 that what that means is we're missing two-thirds of
12 the people and we're missing, as Annette was
13 saying, the two-thirds that are probably most
14 likely to be the problem people. So we can't rely
15 on those data because they're giving us biased
16 information.

17 The same thing in underreporting.
18 Clearly, there's vast underreporting, as you
19 indicated. I don't blame the system because docs
20 don't report, you know, exactly as you're saying.
21 But what it does mean is the rates we're looking at
22 here are much lower than the real rates that are

1 out there.

2 I want to emphasize again that part of the
3 goal here is to create a barrier, and the barrier
4 is working. And so the goal isn't to eliminate the
5 barrier.

6 And I'll conclude with just a comment. I
7 was one of those who was skeptical of the program
8 two years ago. I think it's working. I mean, I am
9 very encouraged in many ways by what we're seeing.
10 I wouldn't want it changed in major ways. Until we
11 have data on predictors of efficacy or predictors
12 of adverse events, then I think it should be
13 refocused accordingly.

14 DR. GROSS: I'd like to thank Glaxo and
15 the FDA people for their presentations and input,
16 and once again thank the Advisory Committee members
17 and advisers for their comments. Thank you all.
18 Have a good trip home.

19 [Whereupon, at 4:29 p.m., the meeting was
20 adjourned.]

21 - - -