

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE

Wednesday, April 14, 2004

8:30 a.m.

Advisors and Consultants Staff Conference Room
5630 Fishers Lane
Rockville, Maryland

PARTICIPANTS

Arthur H. Kibbe, Ph.D., Chair
Hilda F. Scharen, M.S., Executive Secretary

MEMBERS:

Gerald P. Migliaccio, Industry Representative
Marvin C. Meyer, Ph.D.
Patrick P. DeLuca, Ph.D.
Charles Cooney, Ph.D.
Melvin V. Koch, Ph.D.
Cynthia R.D. Selassie, Ph.D.
Nozer Singpurwalla, Ph.D.
Jurgen Venitz, M.D., Ph.D.
Marc Swadener, Ed.D., Consumer Representative

SPECIAL GOVERNMENT EMPLOYEES:

Paul H. Fackler, Ph.D.
Gordon Amidon, Ph.D., M.A.
Judy Boehlert, Ph.D.
Leslie Benet
Charles DiLiberti
Laszlo Endrenyi

FDA:

Gary Buehler, R.Ph.
Ajaz Hussain, Ph.D.
Helen Winkle
Lawrence Yu, Ph.D.

C O N T E N T S

Call to Order, Arthur Kibbe, Ph.D.	4
Conflict of Interest Statement, Hilda Scharen, M.S.	4
Bioequivalence of Highly Variable Drugs, Lawrence Yu, Ph.D.	8
Why Bioequivalence of Highly Variable Drugs is an Issue, Charles DiLiberti, M.S.	11
Highly Variable Drugs: Sources of Variability, Gordon L. Amidon, Ph.D.	35
Clinical Implications of Highly Variable Dr. leslie Benet	60
Bioequivalence Methods for Highly Variable Drugs, Laszlo Endrenyi, Ph.D.	81
Bioequivalence of Highly Variable Drugs Case Studies, Barbara Davit, Ph.D.	99
FDA Perspectives, Sam Haidar, Ph.D.	125
Bioequivalence of Highly Variable Drugs Q&A, Dale Conner, Pharm.D.	130
Bioinequivalence: Concept and Definition, Lawrence Yu, Ph.D.	176
Statistical Demonstrations of Bioinequivalence, Donald Schuirmann, M.S.	182
Update--Topical Bioequivalence, Lawrence Yu, Ph.D.	225
Establishing Bioequivalence of Topical Dermatological Products, Robert Lionberger, Ph.D.	225
Future Topics--Nanotechnology, Nakissa Sadrieh, Ph.D.	257
Conclusions and Summary Remarks, Ajaz Hussain, Ph.D.	270

1 P R O C E E D I N G S

2 Call to Order

3 DR. KIBBE: By the clock on the wall, I
4 think we are at 8:30. It looks like our
5 electronics are working so we will be in good
6 shape. We need to start off with the reading of
7 the conflict of interest statement.

8 Conflict of Interest Statement

9 MS. SCHAREN: Good morning. I am Hilda
10 Scharen. I am the executive secretary for the
11 Advisory Committee for Pharmaceutical Science and I
12 am going to be going through the conflict of
13 interest statement for the committee.

14 The following announcement addresses the
15 issue of conflict of interest with respect to this
16 meeting and is made a part of the record to
17 preclude even the appearance of such at this
18 meeting.

19 Based on the agenda, it has been
20 determined that the topics of today's meetings are
21 issues of broad applicability and there are no
22 products being approved at this meeting. Unlike
23 issues before a committee in which a particular
24 product is discussed, issues of broader
25 applicability involve many industrial sponsors and

1 academic institutions. All special government
2 employees have been screened for their financial
3 interests as they may apply to the general topics
4 at hand.

5 To determine if any conflict of interest
6 existed, the agency has reviewed the agenda and all
7 relevant financial interests reported by the
8 meeting participants. The Food and Drug
9 Administration has granted general matters waivers
10 to the special government employees participating
11 in this meeting who require a waiver under Title
12 XVIII, United States Code Section 208.

13 A copy of the waiver statements may be
14 obtained by submitting a written request to the
15 agency's Freedom of Information Office, Room 12A-15
16 of the Parklawn Building.

17 Because general topics impact so many
18 entities, it is not prudent to recite all potential
19 conflicts of interest as they may apply to each
20 member and consultant and guest speaker. FDA
21 acknowledges that there may be potential conflicts
22 of interest but, because of the general nature of
23 the discussion before the committee, these
24 potential conflicts are mitigated.

25 With respect to FDA's invited industry

1 representative, we would like to disclose that
2 Gerald Migliaccio is participating in this meeting
3 as an industry representative, acting on behalf of
4 regulated industry. Mr. Migliaccio is employed by
5 Pfizer. Dr. Paul Fackler is participating in this
6 meeting as an acting industry representative. Dr.
7 Fackler is employed by Teva Pharmaceuticals U.S.A.

8 In the event that the discussions involve
9 any other products or firms, not already on the
10 agenda, for which FDA participants have a financial
11 interest, the participants' involvement and their
12 exclusion will be noted for the record. With
13 respect to all other participants, we ask in the
14 interest of fairness that they address any current
15 or previous financial involvement with any firm
16 whose product they may wish to comment upon. Thank
17 you.

18 DR. KIBBE: Thank you, Hilda. Just so
19 that our audience knows who all is here, I would
20 like to ask everybody to introduce themselves and
21 give their affiliation. We will start with Dr. Yu.
22 Lawrence?

23 DR. YU: Lawrence Yu, Director for
24 Science, Office of Generic Drugs, Office of
25 Pharmaceutical Science, CDER, FDA.

1 DR. BUEHLER: Gary Buehler, Director,
2 Office of Generic Drugs, Office of Pharmaceutical
3 Science, CDER.

4 DR. HUSSAIN: Ajaz Hussain, Deputy
5 Director, Office of Pharmaceutical Science, CDER.

6 MS. WINKLE: Helen Winkle, Director,
7 Office of Pharmaceutical Science, CDER.

8 DR. AMIDON: Gordon Amidon, University of
9 Michigan.

10 DR. VENITZ: Jurgen Venitz, Virginia
11 Commonwealth University.

12 DR. SELASSIE: Cynthia Selassie, Pomona
13 College.

14 DR. BOEHLERT: Judy Boehlert, and I have
15 my own pharmaceutical consulting business.

16 DR. SWADENER: Marc Swadener, consumer
17 representative, retired from University of
18 Colorado, Boulder.

19 DR. KIBBE: I am Art Kibbe and I am
20 Professor of Pharmaceutical Sciences at Wilkes
21 University.

22 DR. MEYER: Marvin Meyer, formerly
23 University of Tennessee professor, now living in
24 Boca Raton, Florida.

25 DR. SINGPURWALLA: Nozer Singpurwalla,

1 George Washington University.

2 DR. KOCH: Mel Koch, the Director for the
3 Center for Process Analytical Chemistry at the
4 University of Washington.

5 DR. COONEY: Charles Cooney, Professor of
6 Chemical and Biochemical Engineering at MIT.

7 DR. DELUCA: Pat DeLuca, University of
8 Kentucky.

9 MR. MIGLIACCIO: Gerry Migliaccio, Pfizer.

10 DR. FACKLER: Paul Fackler, industry
11 representative, Teva Pharmaceuticals.

12 DR. KIBBE: Thank you. We are going to
13 start this morning and Dr. Yu will set us up for
14 our discussion. Lawrence?

15 Bioequivalence of Highly Variable Drugs

16 DR. YU: Good morning. My slides I guess
17 are in a different file so I will give my
18 introduction without the slides.

19 Dr. Kibbe, Chair of the FDA Advisory
20 Committee for Pharmaceutical Science, members of
21 the FDA Advisory Committee for Pharmaceutical
22 Science, distinguished speakers, distinguished
23 guests and distinguished audience, I am Lawrence
24 Yu. I am Director for Science, Office of Generic
25 Drugs, Office of Pharmaceutical Science, CDER, FDA.

1 This morning it gives me great pleasure
2 and privilege to introduce to you the first topic
3 of bioequivalence, bioequivalence of highly
4 variable drugs. The objectives of this discussion
5 are to explore and define bioequivalence issues of
6 highly variable drugs, to discuss and to debate
7 potential approaches in resolving them,
8 specifically the pros and cons of the solutions and
9 the benefits and limitations of these potential
10 approaches.

11 The bioequivalence issues of highly
12 variable drugs have been discussed in many
13 conferences and meetings nationally and
14 internationally. The issue is obvious because of
15 the high variability of the drugs or drug products
16 that require a large number of subjects or
17 volunteers in order to pass the confidence interval
18 of 80-125 percent. Despite many, many discussions,
19 despite many, many publications in scientific
20 literature, to date there is no consensus and no
21 solutions have ever been reached. In fact, there
22 is no regulatory definition with respect to the
23 high variability drugs or drug products. So, there
24 are various approaches in resolving this in the
25 scientific literature, for example, expansion of

1 the bioequivalence limits; for example, using
2 scaling approaches.

3 We have invited a panel of distinguished
4 speakers this morning to discuss this issue related
5 to the bioequivalence of highly variable drugs from
6 various perspectives, from practical difficulties
7 of bioequivalence of highly variable issues, from
8 mechanistic understanding of what causes the high
9 variability of drug or drug products, from
10 understanding of different approaches to resolve
11 understanding of clinical implications why high
12 variability drugs are safer, from case studies and,
13 finally, from regulatory options.

14 At the end of these presentations you will
15 be asked to discuss or address the following
16 questions. First, what is actually the definition
17 for highly variable drugs or drug products?

18 Second, with respect to expansion of
19 bioequivalence limits, what additional information
20 should we gather in order to answer this question?
21 We also ask you to comment on scaling approaches.

22 With this introduction, I want to turn the
23 podium over to our first speaker, Charlie
24 DiLiberti. Charlie?

25 Why Bioequivalence of Highly Variable Drugs

1 is an Issue

2 MR. DILIBERTI: Thank you, Dr. Yu. Before
3 I start I need to disclose the potential conflict
4 of interest in that I am employed by Barr and I am
5 also a shareholder and option holder in the firm.

6 Also, before I get into the actual
7 discussion I would like to say that in the context
8 of preparing this presentation I had numerous
9 discussions with many of my colleagues in the
10 industry and, based on the feedback that i got from
11 them, it seems to me that the views that I am about
12 to portray in my presentation are quite widely held
13 in the industry.

14 [Slide]

15 With that, let's start off with the
16 definition of highly variable drugs. Oftentimes,
17 highly variable drugs are defined in the context of
18 within-subject variability in terms of a
19 bioequivalence study. I would like to take it one
20 step further and look at variability within the
21 patient and what does this high level of
22 variability mean to an individual patient taking
23 the drugs.

24 Commonly, the often used definition of
25 highly variable drugs is those drugs whose

1 intra-subject or, as I characterize it here as
2 intra-patient, coefficient of variation, or CV, is
3 approximately 30 percent or more. I will use that
4 as my guideline for the rest of this presentation.

5 [Slide]

6 What are the current criteria? Just very
7 briefly, for bioequivalence they involve a
8 comparison between test and reference product,
9 involving the natural log transformation of the
10 data. The current criteria are that the 90 percent
11 confidence intervals around the geometric mean
12 test/reference ratios have to fall entirely within
13 the range of 80-125 percent.

14 These criteria really apply to all drugs
15 here, in the U.S., regardless of the inherent
16 variability of the drugs. These criteria do have
17 other implications. For example, they can be used
18 by innovator and, for that matter, generic firms to
19 justify a substantial formulation change so it is
20 not just in the context of approving a generic.

21 [Slide]

22 This really speaks to the crux of the
23 issue with highly variable drugs in that it
24 portrays the number of subjects that you would have
25 to plan on using in a two-way crossover

1 bioequivalence study given a particular
2 intra-subject CV. You can see that for very low CV
3 drugs the number of subjects required is fairly
4 small and quite manageable from a practical
5 standpoint but, as the CV increases, you can see
6 that the number of subjects required can increase
7 to quite large numbers, possibly in the hundreds.

8 [Slide]

9 Why do we possibly need alternative
10 criteria for highly variable drugs? Well, first of
11 all, we have an ethical mandate to minimize human
12 experimentation. Second of all, the prohibitive
13 size of some bioequivalence studies for some highly
14 variable drugs impacts on the availability of a
15 generic version of that drug, which may mean that
16 in the absence of a generic many Americans can't
17 afford the reference product so they may go either
18 untreated or they may be subdividing their doses
19 contrary to the prescription.

20 Also, changing criteria will reduce the
21 number of participants in the BE studies and I
22 think it can't be done without compromising the
23 safety and efficacy of the product. Also, there is
24 experience elsewhere in the world with criteria
25 other than 80-125 percent.

1 [Slide]

2 This slide shows some of the
3 bioequivalence criteria in other countries and
4 regions in the world. These are not specific to
5 highly variable drugs and in many cases they don't
6 apply necessarily to all drugs. That is why I have
7 "most drugs" or "some drugs" listed here. But,
8 certainly, there is experience with certain drugs
9 in these different regions with confidence
10 intervals that are either wider than 80-125 or, in
11 the case of Canada for many drugs there is no
12 confidence interval criterion, just a point
13 estimate criterion.

14 [Slide]

15 What types of drugs are highly variable?
16 Well, the types of drugs really cut across all
17 therapeutic classes and include both new and older
18 products. The potential savings to American
19 consumers could possibly be in the billions of
20 dollars if generics are approved. In saying this,
21 I want to be clear that the bioequivalence issues
22 for many of these drugs are not the only barriers
23 to getting a generic. In some cases there might be
24 patent issues or formulation issues as well, but
25 still the bioequivalence issues do represent some

1 sort of a barrier.

2 What are some examples? This is a very
3 brief list and the list can go on and on but just
4 to give you some kind of representative examples of
5 drugs that cut across many therapeutic areas, some
6 of which are on-patent, some off-patent, just to
7 give a flavor.

8 [Slide]

9 Another issue is that as of last year we
10 now have to meet confidence interval criteria for
11 fed bioequivalence studies. So now the variability
12 under the fed state is of concern. There is
13 generally very little information available on the
14 variability of drugs in the fed state, and we have
15 found that some drugs do show more variability
16 under fed conditions than under fasting conditions,
17 leading to the potential for bioequivalence
18 failures because they may be under-powered. What I
19 am trying to get across here is that because of the
20 lack of information on many drugs under fed
21 conditions, there may in fact be many more highly
22 variable drugs than we are led to believe.

23 [Slide]

24 Why aren't the current criteria
25 appropriate for some highly variable drugs? Well,

1 I will start off by saying that the current
2 criteria are, I believe, appropriate for drugs with
3 low to moderate variability because the
4 dose-to-dose variability that a patient would
5 experience is comparable and consistent with the
6 width of the criteria.

7 However, in the case of highly variable
8 drugs this is not true where the dose-to-dose
9 variability experienced by a patient may often be
10 much larger than the width of the criteria. I will
11 illustrate this point later on with some graphs.

12 Highly variable drugs are oftentimes wide
13 therapeutic index drugs. In other words, they have
14 shallow response curves and wide safety margins. I
15 want to qualify this statement by saying when I say
16 highly variable drugs, highly variable in a patient
17 with respect to the parameter that is variable. If
18 a patient experiences high variability, that means
19 that the drug is safe and effective despite this
20 wide variability in the patient. Therefore, I
21 believe that modifying bioequivalence criteria on
22 highly variable drugs to reduce the number of
23 participants in bioequivalence studies could be
24 accomplished while still maintaining safety and
25 efficacy assurance.

1 [Slide]

2 Different highly variable drugs may
3 require different approaches. One size may not fit
4 all. As we can see from the earlier power graphs
5 that I had plotted, obviously the number of
6 subjects required for a drug with, say, 30 percent
7 coefficient of variation is very different from the
8 number of subjects required for a drug with, say,
9 70 percent intra-subject CV. And, there are other
10 considerations that we have to take into account.

11 [Slide]

12 Probably one of the more important
13 considerations is whether the drug accumulates in a
14 patient at steady state. Let's first take the case
15 of a drug that does not experience significant
16 accumulation to steady state in a patient. These
17 are typically short half-life drugs, in other
18 words, short half-life with respect to the dosing
19 interval. Here are some examples. We could
20 possibly consider some sort of modification to the
21 criteria for both AUC and Cmax because an actual
22 patient would experience significant dose-to-dose
23 variability for both Cmax and AUC because neither
24 is smoothed out at steady state. Therefore, the
25 drug could be considered to exhibit a wide

1 dose-to-dose variation in blood levels irrespective
2 of chronic dosing.

3 The same sort of logic could potentially
4 apply to a highly variable drug that is not dosed
5 chronically. One particular application of the
6 scenario of a relatively short half-life drug that
7 does not undergo accumulation might be the case of
8 a parent drug with a short half-life and high
9 variability where there is also a metabolite that
10 needs to be measured which has a much longer
11 half-life and low variability. I could easily
12 envision the case where the confidence interval
13 criteria are somehow modified to accommodate the
14 higher variability of the parent drug but, in the
15 same compound, the current 80-125 criteria could be
16 applied to the metabolite.

17 [Slide]

18 Now let's look at the case of accumulation
19 to steady state. Typically, this is a case where a
20 drug is used chronically and with a half-life long
21 relative to the dosing interval so there is some
22 accumulation going on. Here are a few examples.

23 In this case, because the accumulation
24 process will tend to reduce the fluctuation in AUC
25 and Cmax, both at steady state, actually in

1 essence, the drug to a patient may not really be
2 highly variable because the variability may be
3 small at steady state. However, the Cmax and AUC I
4 think need to be looked at in a different light.
5 At steady state the test/reference ratio for two
6 drugs, assuming linear accumulation, will be about
7 the same as the test/reference ratio that we see in
8 a single dose study because the accumulation
9 process preserves that test/reference ratio.

10 However, for Cmax, generally speaking, the
11 test/reference ratio that we see at single dose
12 conditions will be the most extreme and the
13 test/reference ratio observed upon accumulation to
14 steady state will go closer and closer to unity,
15 one. So, that is why I think we potentially need
16 to consider these two cases differently in the case
17 of a drug that accumulates.

18 [Slide]

19 The other possibility with drugs subject
20 to accumulation is to actually conduct the steady
21 state study but this has all sorts of practical
22 limitations for some drugs, including toxicity.

23 [Slide]

24 What I have tried to do in this graph is
25 to get some sense of the magnitude of day-to-day

1 fluctuations in a pharmacokinetic parameter--I have
2 plotted this as if it were Cmax but it could
3 equally apply to AUC--in the case of a drug that
4 does not undergo accumulation.

5 What is plotted here, in orange, is
6 simulated data representing the sequential
7 day-to-day Cmax's that might be seen in a given
8 patient taking a single drug over the course of 30
9 days where the drug has a true mean of 100 percent.
10 In fact, the sample mean here for this set of 30
11 data points is 100 and is the geometric mean, and
12 the CV of this data set is 10 percent. So, you can
13 see that the drug is fairly well controlled within
14 a fairly narrow range. Just as a yardstick for
15 variability, I have plotted the bioequivalence
16 limits, the 80 percent limit and the 125 percent
17 limit. I want to make it clear these limits do not
18 apply to individual day-to-day values, but I am
19 just plotting them here to give some sense of
20 scaling.

21 What I have plotted here, in the green, is
22 a different formulation, formulation B of the same
23 drug that has a mean here of 125. So, it is a 25
24 percent higher mean than this. CV is still 10
25 percent. So, this could be seen to represent the

1 magnitude of change that one would expect upon
2 switching a patient from one formulation to a
3 second formulation with a higher mean. You can see
4 that there is some degree of overlap between the
5 second formulation and the first but, just
6 eyeballing this, it is not too hard to see that
7 there is visually some discernible shift in the
8 overall levels.

9 [Slide]

10 Let's see what the case looks like for a
11 drug with 30 percent intra-subject CV. You can see
12 here that there are many more excursions on a
13 single formulation outside the range of 80-125
14 percent. Overall, there is much more overlap
15 between formulation B and formulation A despite the
16 fact that these two formulations differ by 25
17 percent.

18 [Slide]

19 Let's increase the variability one notch
20 further to 50 percent CV, and we can see even more
21 day-to-day excursions in Cmax for a patient on a
22 given formulation, many of them outside 80-125.
23 You can see now that the overlap between
24 formulation B and formulation A, again a 25 percent
25 difference here, is almost not discernible at all

1 to the eye.

2 [Slide]

3 Finally, let's turn it up one notch
4 further to 70 percent intra-subject CV. With a
5 drug that is this variable you end up, while on a
6 single formulation with no switch involved, with a
7 range of Cmax values that could be as far as a
8 5-10-fold range day-to-day. So, there are wide
9 swings in the Cmax's achieved for a given subject.

10 In light of this, suppose that this is a
11 reference drug that is already approved by the
12 agency and known to be safe and effective, that
13 safety and efficacy is true in spite of the wide
14 variability from day-to-day so, therefore, the drug
15 cannot have a narrow therapeutic index and must
16 necessarily have a relatively wide therapeutic
17 index if it is safe and effective despite such wide
18 variation.

19 Also, you can see that the switch-over
20 product, formulation B, again a 25 percent higher
21 mean, is virtually indistinguishable now from the
22 range of blood levels that you see with formulation
23 A.

24 I think that the criteria, which are still
25 plotted here, 80-125 percent, need to be

1 commensurate with the degree of overlap that we are
2 trying to achieve between formulations. Even
3 though these are the criteria, I would like to
4 point out that in order to pass the criteria the
5 actual observed mean in a bioequivalence study
6 generally has to be in a very narrow range, maybe 5
7 or 10 percent deviant from 100. Outside of that,
8 your chances of passing a bioequivalence study on a
9 very variable drug are very, very poor.

10 [Slide]

11 There are certain special considerations
12 that we need to take into account in the discussion
13 of highly variable drugs, one of which is where
14 parallel studies are conducted for long half-life
15 drugs.

16 Oftentimes you can't do a crossover study
17 because the wash-out period would be too long.
18 Powering parallel studies depends on between
19 subject variability rather than within subject
20 variability. Between subject variability is often
21 large, necessitating large bioequivalence studies
22 just as with highly variable drugs. However, the
23 high between subject variability does not
24 necessarily imply high within subject variability.
25 Instead, it could be due to inter-individual

1 differences in absorption, metabolism, etc. So,
2 these drugs, from a clinical perspective, may not
3 really be highly variable but we are still faced
4 with the powering problems in terms of conducting
5 bio studies. In these cases, generally speaking,
6 multiple dose studies are not feasible, and we
7 might consider some sort of alternative criteria
8 for such studies.

9 [Slide]

10 A second issue that arises and is directly
11 related to the issue of highly variable drugs is
12 the issue of pooling data from multiple dosing
13 groups. Because of the large number of subjects
14 often required for highly variable drugs,
15 oftentimes you have to split up dosing into
16 multiple dosing groups.

17 Currently, the FDA requires a statistical
18 test for the poolability of the data from these
19 multiple dosing groups and the test is a measure of
20 the significance of the group by treatment
21 interaction terms in the analysis of variance. If
22 this interaction term is statistically significant,
23 then you are not permitted to pool the data from
24 the multiple dosing groups. The consequence of
25 this is that each group is then evaluated on its

1 own merit and, because each group is generally
2 considerably smaller than the total pool of
3 subjects, each group will be grossly under-powered
4 to achieve bioequivalence and, therefore, if you do
5 have a statistically significant interaction term,
6 overall you are likely to have failed the criteria.

7 This procedure results in discarding and
8 having to repeat about 5 percent of studies based
9 on random chance alone, even if there is no genuine
10 underlying effect. The concern here I think is
11 that even if there were some sort of underlying
12 explanation for the statistical significance of the
13 interaction term, for example differences in
14 demographics among the dosing groups, I believe
15 that there is no reason not to use the data from
16 all the dosing groups because had they been dosed
17 together in a single group it would be perfectly
18 usable and we wouldn't be having this discussion.

19 [Slide]

20 Conclusions--while the current
21 bioequivalence acceptance criteria I believe are
22 appropriate for drugs with ordinary variability, I
23 believe that they may not be appropriate for some
24 highly variable drugs.

25 Current bioequivalence acceptance criteria

1 make it difficult or impossible to develop generics
2 in some cases, which has the public health issue of
3 effectively denying treatment to many patients
4 because of affordability issues.

5 I believe that practical, scientifically
6 sound alternative bioequivalence acceptance
7 criteria could be implemented for highly variable
8 drugs to reduce the bioequivalence study size while
9 still maintaining assurance of safety and efficacy.

10 Different approaches may be needed for
11 different types of drugs depending on accumulation
12 following multiple dosing, and also depending on
13 the variability of the drug. And, other related
14 situations, i.e., the issue of parallel studies and
15 multiple dosing groups should also be considered in
16 conjunction with any changes to acceptance criteria
17 for highly variable drugs. Thank you.

18 DR. KIBBE: Does anybody on the panel have
19 questions for our presenter to clarify information?
20 Nozer?

21 DR. SINGPURWALLA: Certainly, I do. I
22 have four questions and five comments. Do I have
23 time?

24 DR. KIBBE: You have until everybody
25 leaves to go to the airport!

1 DR. SINGPURWALLA: The first question is a
2 question of clarification. What is Cmax? when
3 somebody puts C and a max I think of the maximum.

4 MR. DILIBERTI: That represents the
5 maximum because concentration achieved within a
6 given patient or subject over the course--

7 DR. SINGPURWALLA: So, it is maximum blood
8 concentration?

9 MR. DILIBERTI: Yes, it is maximum blood
10 concentration.

11 DR. SINGPURWALLA: Thank you. What is
12 AUC?

13 MR. DILIBERTI: Area under the curve,
14 which is generally taken to be a measure of the
15 extent of absorption.

16 DR. SINGPURWALLA: The third question is
17 why did you take natural logs?

18 MR. DILIBERTI: It is conventional in the
19 analysis of bioequivalence data to do a log
20 transformation. This is already established as
21 standard--

22 DR. SINGPURWALLA: Log transformation of
23 the whole data or just the maximum?

24 MR. DILIBERTI: You would log transform
25 each of the individual Cmax's and then follow that

1 by appropriate analysis of variance. The same log
2 transformation also applies to the individual AUCs
3 prior to analysis of variance.

4 DR. SINGPURWALLA: Well, I can see doing a
5 log transformation of all the data to get
6 approximate normality if the distribution is log
7 normal.

8 MR. DILIBERTI: Yes, that is true.

9 DR. SINGPURWALLA: Just taking the log of
10 the maximum--I don't know. By geometric mean, you
11 mean product divided by--what do you exactly mean?

12 MR. DILIBERTI: The geometric mean is what
13 results from the log transformation. You do the
14 log transformation and conduct analysis of
15 variance. From the analysis of variance you get a
16 least-squares mean on a log transformed variable.
17 When you back-transform that by exponentiating it
18 you end up with, in essence, a geometric mean.

19 DR. SINGPURWALLA: Okay. Now we will go
20 to comments. As somebody who is new to all this
21 and doesn't know, the thought that first comes to
22 my mind is that this HVD, highly variable drug,
23 should really be looked at as a bivariate problem.
24 You have two variables. One variable is the extent
25 of absorption and the other variable is the rate of

1 absorption. So, I would look at it as a surface
2 because the following is possible, suppose you have
3 a drug which has a low variability with respect to
4 absorption but high variability with respect to
5 extent of absorption, how do you classify it? So,
6 what we need is a better measure of classifying a
7 highly variable drug which is a bivariate measure.
8 That is the first comment.

9 You proposed, I think, abolishing the
10 confidence limit notion.

11 MR. DILIBERTI: No, I didn't. I am not
12 here to propose solutions to the problem; I am just
13 here to really identify what the concerns and
14 problems are.

15 DR. SINGPURWALLA: Okay, but do you have
16 any sense of what is an alternative?

17 MR. DILIBERTI: Various alternatives have
18 been proposed, including reference scaling or some
19 fixed point scaling that is different from 80-125--

20 DR. SINGPURWALLA: But you are not putting
21 those forward?

22 MR. DILIBERTI: I am not really here to
23 discuss that.

24 DR. SINGPURWALLA: So, your basic focus is
25 criticizing what is there but without an

1 alternative in mind?

2 MR. DILIBERTI: Right, I think many of the
3 later speakers will address the issue of potential
4 solutions.

5 DR. SINGPURWALLA: Now, in these charts
6 that you showed, how did you choose the particular
7 patient whose charts you were showing?

8 MR. DILIBERTI: It is simulated data. It
9 is log normally distributed random independent
10 variables. It is not patient data. I am sorry, I
11 thought that that was clear. It is entirely a
12 computer simulation just to give some sense of the
13 relative magnitude of the variability.

14 DR. SINGPURWALLA: Well, I didn't get that
15 message. I thought that was a real patient--

16 MR. DILIBERTI: No, no, no.

17 DR. SINGPURWALLA: --those data you were
18 showing.

19 MR. DILIBERTI: No.

20 DR. SINGPURWALLA: But you don't need to
21 show it because if it is simulated we can
22 appreciate it. The last point is when you talked
23 about pooling the data between two groups, how is a
24 group defined? What constitutes a group?

25 MR. DILIBERTI: By the day on which dosing

1 occurs. For example, it may be impractical to dose
2 100 patients or subjects in a clinic all on the
3 same day. So, you may have to dose half of them
4 today and maybe the other half several weeks from
5 today.

6 DR. SINGPURWALLA: So the groups are
7 random depending on who shows up.

8 MR. DILIBERTI: Essentially, yes.

9 DR. SINGPURWALLA: Suppose one were to
10 think about forming these groups based on some
11 other, you know biological or--defining a group in
12 a certain way, conceivably you could justify
13 pooling. This is completely random.

14 MR. DILIBERTI: Right, and I believe that
15 the way that the groups are conventionally arranged
16 in a typical bioequivalence study pooling may be
17 justified even if you do have a statistically
18 significant interaction term.

19 DR. SINGPURWALLA: See, what I am afraid
20 of is that if you did this on some other day and
21 you had the same policy of pooling at random you
22 may see a completely different result in the sense
23 that the point you are making may not be made.
24 Well, thank you.

25 MR. DILIBERTI: Thank you.

1 DR. KIBBE: Anybody else? Go ahead.

2 DR. SELASSIE: You mentioned that
3 potential savings to patients are in the billions
4 of dollars if generics are approved. Can you tell
5 me or do you have an idea of what percentage would
6 actually be the lack of savings due to the fact
7 that there are no generics for each of these as
8 opposed to other patent issues?

9 MR. DILIBERTI: That is very difficult to
10 assess because, for example, in looking at patents
11 you need to look even beyond the "Orange Book."
12 Some of these formulations have patents that are
13 not listed in the "Orange Book." So, to compile
14 data like that would be a Herculean task. However,
15 I do know from personal experience that the
16 difficulties in meeting bioequivalence criteria do,
17 in fact, pose a very real barrier to the
18 development of some generics.

19 DR. MEYER: If I could give an example, if
20 your wife is on premarine you know you insurance
21 co-pays \$20.00, because there is no generic
22 currently available because of bioequivalence
23 issues, instead of \$5.00.

24 MR. DILIBERTI: Right.

25 DR. MEYER: Since my light is on I will

1 just add that I do agree with you about pooling
2 data together. A clinical trial, after all, has a
3 patient come in to a doctor's office; they take a
4 measurement. A week later another patient comes in
5 and now you have two groups, and you don't analyze
6 those separately. So, unless there is really some
7 reason to think that two groups of 50 can't be put
8 together to make one group of 100, I think it is
9 silly not to put them together.

10 DR. KIBBE: Paul?

11 DR. FACKLER: If I could just make a
12 couple of comments, one addressing the issue of AUC
13 and Cmax, there are very few drugs where I think
14 Cmax is not highly variable but AUC is. I would
15 say that from our experience it is the other way
16 around.

17 DR. SINGPURWALLA: I am sorry, I missed
18 that. You are saying that the two are correlated.

19 DR. FACKLER: I am saying that there are
20 very few examples of drugs that are highly variable
21 on AUC but not highly variable at Cmax. Generally
22 it is the other way around, AUC is not as variable
23 as Cmax.

24 DR. SINGPURWALLA: So, it makes my point
25 that you may have a bivariate situation.

1 DR. FACKLER: Yes, absolutely.

2 DR. SINGPURWALLA: Thanks.

3 DR. FACKLER: One of the things I wanted
4 to ask Charlie was on the simulated data you
5 represented 80 percent and 125 percent. I am
6 wondering did you happen to calculate the
7 confidence intervals for the simulated data sets to
8 show where the 90 percent confidence intervals
9 would have resulted? Because I am certain they are
10 far beyond 80-125.

11 MR. DILIBERTI: That is right. No, I did
12 not go through that calculation.

13 DR. FACKLER: The last point I wanted to
14 make was that on the graph of the number of
15 subjects needed to get to 80 percent power versus
16 the variability, it is important to recognize that
17 80 percent power means that one out of five studies
18 under those conditions will fail to show
19 bioequivalence, or only four out of five will. So,
20 even if a product is tested against itself with,
21 for instance, 30 percent variability, using the
22 number of subjects in that particular graph one out
23 of five studies will fail to show that the product
24 against itself is bioequivalent.

25 DR. KIBBE: Shall we move along? I think,

1 Gordon, you are up.

2 Highly Variable Drugs: Sources of Variability

3 DR. AMIDON: I am going to talk about
4 sources of variability and emphasize mechanisms of
5 absorption and focus on bioequivalence from an
6 absorption point of view. It is the approach I
7 have been taking for the past 10 to 15 years.

8 [Slide]

9 If you think about bioequivalence where we
10 are comparing drug products, then the question of
11 bioequivalence is really a dissolution question.
12 Right, the same drug? So, we should be looking at
13 mechanism and dissolution and processes that are
14 controlling absorption and develop our tests around
15 that mechanism, what is controlling the process.

16 Of course, plasma levels are the gold
17 standard. Our business is to ensure that plasma
18 levels match the innovator product used in the
19 clinical testing. That is the criterion, no
20 question about that; no argument about that. The
21 question is what test.

22 [Slide]

23 So, I want to show some of the factors.
24 We tend to focus on bioequivalence from a plasma
25 level point of view over here. We focus on the

1 plasma which is the gold standard. But if
2 absorption is controlled by the dissolution
3 process, dissolution controls the presentation of
4 drug along the gastrointestinal tract and,
5 therefore, controls the rate and extent of
6 absorption. If the rate and extent of absorption
7 is the same, then the plasma levels will be the
8 same. So, in the question of bioequivalence then
9 the real scientific issue is how do we set a
10 dissolution standard? My position may be a little
11 extreme because no one seems to want to think about
12 that very much but that is the reality of the
13 science.

14 [Slide]

15 So, I think if you have two drug products
16 that present the same concentration profile along
17 the gastrointestinal tract, they will have the same
18 rate and extent of absorption and systemic
19 availability. You may want to think about that,
20 the same rate and extent of absorption implies the
21 same systemic availability. So, we need to focus
22 on product.

23 [Slide]

24 Some of the processes in the
25 gastrointestinal tract that can lead to the

1 variability--and I will just illustrate some of the
2 processes here--would be the gastric emptying,
3 intestinal transit, luminal concentration both of
4 pH and surfactants, phospholipids, presence or
5 absence of food. When you think about it, there
6 are a lot of sources of variability just in the
7 gastrointestinal tract.

8 [Slide]

9 Systemic availability--what should our
10 testing ensure? It is the gold standard, no
11 question about it. But the question then is what
12 is the best test? What is the best test to ensure
13 plasma levels? And, when plasma levels are
14 difficult to measure or, in the case of highly
15 variable drugs where it requires a lot of subjects,
16 then I think it really requires us to think what is
17 the source of that variability and then what type
18 of test might we set.

19 I would argue that if two highly variable
20 drug products dissolve the same way in the
21 gastrointestinal tract they will be bioequivalent.
22 It might require 100 subjects to show that. I
23 think that is unnecessary. I think you just do it
24 with a dissolution test and the answer will be far
25 simpler.

1 [Slide]

2 So, what are some of the physicochemical
3 factors? Clearly, particle size and distribution;
4 wetting and solid-liquid contact; and, of course,
5 in some cases chemical instability such as prodrugs
6 and esterases and peptidases in the
7 gastrointestinal tract can lead to highly variable
8 absorption and, hence, systemic availability.

9 [Slide]

10 I just put one graph in here showing the
11 dependence here of dissolution time, ranging up to
12 30 hours, and gastrointestinal transit time as a
13 function of particle size. I can't manipulate this
14 in this presentation but the dissolution time
15 increases dramatically as the drug solubility
16 decreases. Particle size becomes a critical factor
17 for low solubility drugs. Of course, everyone
18 realizes that but it is not particle size that we
19 put into the formulation, it is the particle size
20 that comes out of the formulation in the
21 gastrointestinal tract. So, those process
22 variables are important.

23 [Slide]

24 Some of the factors in the
25 gastrointestinal tract then are gastric emptying,

1 intestinal transit, position dependent permeability
2 along the gastrointestinal tract--duodenum,
3 jejunum, ileum and colon and, of course, intestinal
4 mucosal cell metabolism, and in particular CYP3A4
5 which is highly expressed and differentially
6 expressed along the gastrointestinal tract, and
7 potentially PGP expression along the
8 gastrointestinal tract.

9 [Slide]

10 To give you an example of variability in
11 gastric emptying rates, we can just look at the
12 light blue because that is administered with 200
13 ml, the approximate glass of water that we use. We
14 used 200 ml here because we did this before we got
15 involved in drug regulatory standards and realized
16 that a glass of water was the U.S. standard; not
17 the standard in Japan. We are trying to figure
18 that out, what is a glass of water in Japan. So,
19 with 200 ml you can see that the variability in
20 gastric emptying. Depending on when you dose in
21 the fasting state, it ranges from 5 minutes to
22 about 22 minutes. There is about a 4-fold
23 variation in gastric emptying rate depending on
24 when you administer to a particular subject. This
25 is because of the different contractual activities

1 in the fasted state, shown here as phase 1, 2, 3
2 and 4.

3 [Slide]

4 Clearly, intestinal transit--again, this
5 is a movie but I can't show it with this
6 presentation--transit through the gastrointestinal
7 tract where the drug is released in the duodenum.
8 It has a very short transit time, maybe 10, 15
9 minutes through the duodenum, jejunum, ileum and
10 colon. The dissolution rate, particularly of a low
11 permeability drug where the permeability appears to
12 be the rate-determining step to absorption, the
13 permeability profile along the gastrointestinal
14 tract is very important.

15 [Slide]

16 There are about 10 L of fluid processed in
17 the gastrointestinal tract per day, actually
18 depending on which book you read, 8 to 10. Of the
19 10 L that are processed, only about 2 L are
20 actually ingested as external. The other 8 L are
21 ourselves. We are continually secreting and
22 reabsorbing not only fluids but cells and proteins
23 and other ions that are secreted into the intestine
24 so there is a tremendous amount of variability and,
25 of course, food has a large impact on that as well.

1 So, that is a major factor that can be involved in
2 the variability and dissolution and absorption in
3 the gastrointestinal tract.

4 [Slide]

5 I show here just ranitidine, a low
6 permeability drug. This is animal data. I don't
7 have human data and, in fact, it is very hard to
8 get human data although there is some data
9 available. The duodenum, jejunum, ileum--there is
10 a significant difference in permeability. So, you
11 can envision a slowly dissolving ranitidine
12 product--I don't know if there are any, but
13 releasing in the ileum would have very poor
14 absorption. So, dissolution for a low permeability
15 drug is probably more important because, in
16 general, the permeability in the upper part of the
17 gastrointestinal tract is more important or higher,
18 I should say.

19 You know, we used to use language like
20 "rapidly but incompletely absorbed." You would see
21 that in the literature after analysis of
22 pharmacokinetic data and I would say how can that
23 be? It doesn't make sense to me. If it is rapid
24 it should be well absorbed. Right? Clearly, there
25 has to be position-dependent permeability and the

1 absorption rate must decrease dramatically at some
2 point very quickly after the drug is administered.
3 Presumably, that is the result of drug getting into
4 the ileum or distal in the small intestine where
5 there is lower absorption.

6 [Slide]

7 PGP--this is some immunoquantitation
8 results on CYP3A4 showing the variation in the
9 duodenum, ileum and colon, much less in the colon
10 so that there is less metabolism, particularly if
11 there is a controlled release formulation releasing
12 drug in the colon and, of course, much more in the
13 liver. I don't know, maybe Leslie is going to say
14 more about the metabolism source of variability,
15 maybe not. You are shaking your head, no.

16 [Slide]

17 I am going to propose that we classify the
18 drugs, highly variable drugs using BCS. Here is
19 what I think we would see. We need to actually
20 look at particular drugs. In fact, I would like to
21 see a list of drugs perhaps based on the
22 variability of reference products, whatever we
23 could find today, develop a list of highly variable
24 drugs or that we think might be highly variable,
25 and then look at their properties and decide what

1 are the likely sources of variability.

2 Anyway, I know there are certain so-called
3 highly variable drugs that are Class I drugs. They
4 have to be low dose, low solubility drugs but they
5 are soluble enough to dissolve in a glass of water.
6 That is our criteria at the present time. So, if
7 those drug products dissolve rapidly--if they do; I
8 don't know if they do, we should look at that and
9 it is over; there is no issue. It is all biologic
10 variability; nothing to do with the product
11 variability. Again, that is a hypothesis.

12 Probably the majority of the drugs that
13 are highly variable are in Class II where there is
14 low solubility, potentially Class IV for some
15 higher molecular weight compounds. There, the
16 solubility-dissolution metabolism interaction can
17 be difficult to separate and that is where we would
18 need to look more carefully at the drug products to
19 determine whether it is the solubility and
20 dissolution variability or whether it is a
21 metabolism variability that is leading to the high
22 variability in plasma levels.

23 [Slide]

24 So, I think that the BCS classification
25 can help focus on the source of the high

1 variability. Then, in the case of rapid
2 dissolution of Class I and Class III drugs a
3 dissolution standard may be enough. There may not
4 be too many highly variable drugs because I think
5 the majority would be the low solubility Class II
6 or Class IV drugs and there I think metabolism
7 and/or dissolution can be the source of
8 variability. In the case of metabolism, the
9 metabolism variation would be due to the
10 variability and dissolution and presentation along
11 the gastrointestinal tract. So, again, it comes
12 back to a dissolution issues.

13 In fact, I would propose that we look more
14 carefully at the highly variable drugs, the sources
15 of variability, again asking the critical question
16 what is the best test? What is the best test? I
17 will go back to the original implementation of BCS
18 in the case of high solubility, high permeability,
19 rapidly dissolving drugs. Plasma levels are
20 telling us nothing about the product differences.
21 It is only telling us about gastric emptying
22 differences at the time of administration of
23 patients or subjects. So, again, focusing on
24 dissolution and classification I think can help us
25 unravel and simplify some--maybe not all. Maybe

1 not all of the highly variable drugs can be
2 simplified this way but I think some of them can be
3 simplified this way. For those drugs that are
4 complicated, we just say they are complicated.
5 Take a drug like premarine. You have already
6 mentioned that, Marvin. I think that premarine is
7 a complicated drug. That is life; that is the way
8 it is. It is too complicated for us to unravel
9 today because of the way we regulate drugs and
10 approve drugs. So. I am happy to answer any
11 questions by the committee.

12 DR. KIBBE: Questions, folks? Jurgen?

13 DR. VENITZ: I agree with you, I am very
14 much in favor of identifying sources of variability
15 and what you are presenting are obvious sources of
16 variability, and it always bothers me when we talk
17 about highly variable drugs and they are defined
18 phenologically. All we are doing is a clinical
19 study. We are measuring Cmax and AUC and we find
20 that they vary a lot, and that is the end of it,
21 and now let's change criteria to see whether they
22 can fit bioequivalence. So, I agree with you on
23 that.

24 What I won't agree with you, at least not
25 fully, is that it is all a dissolution issue. I

1 think you are ignoring, in my mind at least, the
2 effects that excipients may have that could be very
3 different between formulations so that may not have
4 an impact on dissolution but may have an impact on
5 pH, may have an impact on permeability and may have
6 an impact on GI metabolism. Now, I don't know
7 whether that is a significant problem or not but I
8 think it is more than dissolution that you are
9 looking at. It doesn't preclude what you are
10 recommending, which is basically do dissolution
11 tests and find out if that is an issue and then see
12 how that matches your in vivo data. That is just a
13 comment.

14 DR. AMIDON: If we extend the dissolution
15 to dissolution of the excipient, that is, the
16 dissolution of the excipient and the drug, then I
17 think we would be okay; I think my statement would
18 be okay.

19 DR. VENITZ: But if you have products that
20 have different excipients, that is my point.

21 DR. AMIDON: Yes, okay.

22 DR. VENITZ: As you said, life is
23 complicated. Sometimes it works; sometimes it
24 doesn't.

25 DR. AMIDON: Right. So, that is the

1 function of what is the source of the variability.

2 DR. VENITZ: Yes.

3 DR. KIBBE: Ajaz?

4 DR. HUSSAIN: I worked with Gordon for
5 many years on developing the BCS guideline, and so
6 forth, and we actually did examine that very
7 question of excipients and their impact not only on
8 the dissolution process but on permeability and
9 metabolism and it is a serious issue and I think we
10 learn more about transport every day. Therefore,
11 clearly, I think when Gordon mentioned dissolution,
12 we have discussed that so many times and we always
13 include that as a source of variability and that
14 has to be considered.

15 But, Gordon, I wanted to push you in a
16 different direction. One of the hesitations as we
17 developed the BCS guidance was the reliability of
18 the in vitro dissolution test. We were not
19 confident that the current test really was good
20 enough to extend it to the slower releasing
21 products. So, that was the reason we crafted
22 rapidly dissolving and said dissolution is not rate
23 limiting and, therefore, we can rely on the current
24 dissolution test to do that.

25 I think as we move forward here, I think

1 what we have done with the PAT initiative is to
2 sort of say, all right, let's really ask the
3 question what are the criteria variables, what are
4 the root causes of this. So, go back to the basics
5 as to particle size, and so forth, and if you
6 really understand those relationships then you have
7 a better link between your formulation and your
8 excipients; you have your process directed to the
9 clinical relevance. So, that is the opportunity
10 that technology is offering us to do that without
11 having to do an artificial in vitro test where
12 questions keep continuing and increasing with
13 respect to the relevance of that in vitro test.

14 DR. AMIDON: I certainly obviously agree,
15 Ajaz. We have talked about these issues for many
16 years. I did use the word in vivo dissolution.
17 There is a big step from in vitro to in vivo. I
18 don't think it is magic; it is just complicated and
19 I think we can figure that out. I think we can
20 determine for any particular drug what might be a
21 good representative dissolution test, and I might
22 call that a bioequivalence dissolution test rather
23 than a QC, quality control, dissolution test. But
24 you are absolutely right. The issue is really in
25 vivo dissolution and how do we capture that in some

1 in vitro methodology. I don't think we have
2 thought about that very hard at all. I am not sure
3 why. We use the term dissolution very generically
4 when it should be much more specific.

5 DR. KIBBE: Les wants to comment and then
6 Nozer. Can you make a comment, Les, because you
7 are not part of the committee?

8 DR. BENET: They said as a visitor I can.
9 I wanted to comment on BCS and what Jurgen brought
10 up in terms of the excipients. When we initiated
11 BCS I was very strong concerning the potential for
12 excipients on Class I drugs and we have written the
13 rules to make sure that these excipients don't have
14 an effect. In fact, I now recognize that with
15 Class I drugs that is not a problem, that the
16 excipients won't be a problem in terms of affecting
17 at least the transporters. But they will be a
18 problem with Class III drugs.

19 So, so far I have been very opposed to
20 moving the Class III drugs because I can make a
21 Class III formulation that will pass dissolution,
22 any dissolution, and fail. The reason is that
23 Class III drugs need uptake transporters to get
24 absorbed and, therefore, I can block an uptake
25 transporter in the gut with a substance that has no

1 dissolution criteria. So, I still think we are a
2 little early in translating this dissolution
3 criteria beyond Class I, but I think we were
4 correct in Class I and the extra safeguards we put
5 in actually really turn out not to be necessary.

6 DR. SINGPURWALLA: I like this concept of
7 looking at the causes of variability. I see this
8 as a first step towards going to a Bayesian
9 alternative for the existing methodology that was
10 criticized by the first speaker. But I do have a
11 question perhaps both for you and also for the
12 first speaker. Has anybody looked at the
13 reliability of the testing instrument itself?
14 Because if the testing instrument itself shows a
15 large variability--if the instrument itself shows a
16 large variability then you don't know whether the
17 variability is coming from the instrument or from
18 the particular drug or the combination of the
19 instrument, the drug and the patient.

20 DR. KIBBE: Anybody? Who wants to handle
21 that?

22 DR. VENITZ: I think by instrument what
23 you mean is the human being used in those studies.
24 Are you talking about dissolution or are you
25 talking about in vivo?

1 DR. SINGPURWALLA: Both.

2 DR. VENITZ: Well, then let's talk about
3 in vivo and I will leave it up to you to talk about
4 dissolution. What you are looking at is the Cmax's
5 and the areas under the curves. They do not only
6 depend upon absorption and dissolution; they depend
7 on everything that happens after the drug gets in
8 the body, which is something we are not interested
9 in. If that contributes significantly to the
10 variability, then you are looking at primarily
11 variability and disposition which determines why we
12 have a highly variable drug, not because there is
13 variability in absorption. So, your instrument
14 would be a very noisy instrument I think, to use
15 your lingo.

16 DR. SINGPURWALLA: Right. You have an
17 instrument by which you measure these things, like
18 a thermometer. If your thermometer is bad--

19 DR. VENITZ: I am saying that for some
20 drugs it could well be that you have a very noisy
21 instrument and the noise is not related to what you
22 are trying to measure.

23 DR. SINGPURWALLA: Exactly.

24 DR. KIBBE: Let me just take the
25 prerogative of the chair for half a second and then

1 I will let you speak. It is very difficult for us
2 to understand the real noise level of the
3 instrument. The instrument is the bioequivalency
4 test itself and the agency gets submissions with
5 bioequivalency tests that are passed. The question
6 is how many were done that failed before the one
7 that passed, and what was done to make that work?

8 I think if you go back and we got a bunch
9 of data together, which we can't but it would be
10 interesting to look at, we would find that the
11 instrument is very crude and the reason we live
12 with it is that it is close to the clinical
13 therapeutic outcomes that we really want to measure
14 in terms of steps away from that outcome. What
15 Gordon is recommending is that we even eliminate
16 the human from our decision-making process, which
17 brings us further away from the ultimate goal which
18 is to know that it therapeutically equivalent, and
19 we have to be sure that our predictor is going to
20 hold true. Those are the problems I think that we
21 all have been struggling with for 25 years.

22 DR. HUSSAIN: Now I have three comments.
23 With respect to the instrument variability, I think
24 it is a very important question. In the case of
25 bioequivalence testing we try to minimize that and

1 try to make it more precise and more accurate by
2 doing a crossover study. We test the two products
3 in the same patient in a crossover fashion. So,
4 that is our attempt to minimize that. The other
5 attempt that we had to minimize is to get a group
6 of more similar individuals but we wanted to move
7 away from that in the general population because
8 the crossover is a way to minimize that. I also
9 pointed out with respect to variability the
10 dissolution test. I think as we think about that,
11 we need to address that.

12 But the point I think, going back to the
13 key question, is what are the important questions
14 here? Dr. Kibbe's comment was, in a sense,
15 bioequivalence. For therapeutic equivalence our
16 approach is very simple. First you need to be
17 pharmaceutically equivalent and then, if there is a
18 need, you do a bio study. For example, for
19 pharmaceutical equivalence for solutions you don't
20 need a bio study. So pharmaceutical equivalence,
21 bioequivalence and then therapeutic
22 equivalence--those come together to define that. I
23 could sort of generalize what Gordon has said, in a
24 sense if we understand our formulations, if we
25 understand our processes, if we understand the

1 mechanisms, pharmaceutical equivalence essentially
2 is defining therapeutic equivalence.

3 DR. AMIDON: To come back to your question
4 about the dissolution apparatus, there is a range
5 of dissolution apparatus in the USP that are used
6 internationally, and you can study many of the
7 variables that change in vivo by pH and surfactants
8 in those apparatus. The apparatus themselves have
9 been proven perhaps historically to be very
10 reliable, although you could argue maybe today that
11 we could design a better apparatus but that is very
12 complicated because these things are used in many
13 companies internationally with defined procedures
14 that are approved by the regulatory agencies and
15 making change in an apparatus is a very complex
16 process.

17 But, yes, we can study the various
18 variables in vivo and I think that a dissolution
19 test that included changes in pH and surfactant to
20 reflect what is happening in vivo is something we
21 should do. We don't do that; we just do fixed pH
22 and follow the dissolution as a function of time.
23 So, I don't think we use our apparatus very
24 insightfully actually.

25 DR. KIBBE: I would argue that the way we

1 use dissolution is reliable but insensitive, and we
2 need to do a lot more to be able to make that
3 conversion. Anybody else?

4 DR. MEYER: Gordon, I listened to the PAT
5 stuff all day yesterday and what I got out of it is
6 that it is applicable to this so the idea of why do
7 we have variability--right now we are proposing to
8 potentially change our release specifications
9 because our product is too variable and that is not
10 acceptable in the manufacturing arena. You go back
11 and figure out why it is too variable. I wonder
12 how much data is really available on if I gave
13 myself a rapidly absorbed drug once for the next
14 three weeks, what would my profiles look like? I
15 don't know that there is a lot of data that shows
16 reproducibility in a subject, unless it was the old
17 multiple dose studies where the drug was
18 essentially eliminated in 24 hours.

19 So, I think we need some more information.
20 I don't know, maybe the agency does this, but when
21 the innovator firms do special populations and they
22 find the elderly are different than the young, do
23 they have to then go further and explain is that
24 gastrointestinal pH, is it transit, is it
25 metabolism, what is the reason for it. Because I

1 think then we can get some background information
2 on source of variability.

3 Just to bounce off an idea which is
4 undoubtedly ludicrous, do we need in a sense to
5 prescreen some subjects so we have a calibrated man
6 or, if you will, a USP man or woman that is then
7 allowed into the study so if they have less
8 variability they get into our study? Could we do
9 that? One thing that really troubles me is the
10 current policy, and I understand why it is and I
11 think I support it, of having different mechanisms
12 of release tested against each other in a
13 bioequivalence study, an oral study versus a
14 particular dosage form. Intestinal transit can
15 have a profound difference on those two so if you
16 have a uniform man, that uniform man may show them
17 to be equal but if you throw in a vegetarian, that
18 vegetarian might show the oral tablet is excreted
19 in four hours and the other person may take much
20 longer. So, just some support really for the idea
21 of knowing where the problems are; can we reduce
22 variability somehow; are subjects legitimately--is
23 that a viable approach?

24 DR. AMIDON: I don't know, I am not sure I
25 would want to take on preselecting subjects because

1 what criteria are you going to use? Normal in what
2 sense?

3 DR. MEYER: I am thinking more in terms
4 of, say, rapid metabolism or poor metabolism. We
5 do that now somewhat routinely.

6 DR. AMIDON: Right.

7 DR. MEYER: So, we might give a
8 panel--CROs now, they use the same subjects over
9 and over again anyway. Let's characterize them
10 first before they are allowed into subsequent
11 studies.

12 DR. KIBBE: Paul, go ahead.

13 DR. FACKLER: If I can just comment on
14 that, we used to do bioequivalence studies in males
15 only and restricted their ages from 18 to 45, I
16 believe. The agency has recently requested that BE
17 studies be done in a larger group of people, more
18 representative of the American population so we now
19 include females and we include the elderly, and it
20 just makes the variability problem that much worse.
21 I mean, I agree completely that ideally if we would
22 get 15 people all exactly the same way, all exactly
23 with the same physical habits, generally with the
24 same diet, it would make BE studies easier to pass
25 because we have reduced the variability in the

1 subjects. But the agency has been going, at least
2 recently, in the opposite direction, making these
3 products in particular less likely to pass against
4 themselves again.

5 DR. KIBBE: It is my impression, and I am
6 sure the FDA people will correct me, that they are
7 trying to get two answers using one study, and that
8 is, are the two formulations behaving the same,
9 should be their behavior independent of the
10 subjects studied, and are there variabilities
11 between product-subject interactions that might be
12 significant in special populations. I think it is
13 really hard to do that in one study, and that is
14 one of the problems you are running into. What I
15 think Gordon is suggesting is if we understood the
16 variables we might not have to use that blunt a
17 tool to estimate what will happen in the average
18 patient.

19 I would love to see us be able to do that.
20 There was a wonderful report done--Les will
21 remember because he is almost as old as I am--by
22 the agency that looked at dissolution and tried to
23 correlate it with bioequivalency data that they had
24 almost twenty years ago and there was absolutely no
25 way that dissolution predicted any of the results

1 that they got on those studies. So, it is more
2 complicated than it first appears.

3 DR. AMIDON: I got involved in this
4 process about that time, and my position is you
5 just did the wrong test. Okay? That is the
6 problem. So, it is a matter of refining the
7 dissolution test to make it more relevant to the
8 variables that we need to control to ensure
9 bioequivalence. We haven't done enough of that.

10 DR. KIBBE: Ajaz, you have a comment?

11 DR. HUSSAIN: The key aspect I think is
12 that we need to keep the focus on asking the right
13 questions and if a bioequivalence study is only
14 for, you know, males 18 to 45, is that the right
15 question from the public health aspect because the
16 product is going to be used in all populations?
17 So, you really have to go and look at the
18 fundamentals of what is a bioequivalence study. If
19 it is just confidence interval criteria, then that
20 is one aspect.

21 DR. SINGPURWALLA: Why not have a separate
22 set of drugs for different categories of people?
23 Like, you know, you have cholesterol drugs 20 mg,
24 10 mg and you specify your milligrams based on the
25 population.

1 DR. HUSSAIN: That is a major aspect of
2 dose finding and then labeling that goes into the
3 new drug development process itself. The
4 bioequivalence essentially has been a quality
5 assurance approach to making sure that a
6 pharmaceutically equal product has an in vivo rate
7 and extent of absorption similar to the innovator.
8 That is one of the main reasons for doing the bio
9 study, to make sure that your assumptions and your
10 in vitro methods are more reliable or at least
11 conform from that perspective.

12 DR. KIBBE: Thank you. Unless someone
13 else has a comment we will let you off the hook for
14 a few minutes, and go to Dr. Benet who will
15 enlighten us.

16 Clinical Implications of Highly Variable Drugs

17 DR. BENET: I am older!

18 [Laughter]

19 Thank you. It is a pleasure to be here.
20 I think the last two times I have appeared before
21 this committee I stayed in my office but it is nice
22 to be here in person, and I thank you for the
23 opportunity.

24

25 We have been discussing at an

1 international level, I was reminded as I heard
2 this, for 15 years--we held our first sort of
3 consensus conference in 1989 to try to develop
4 standards for bioequivalence and we are still at
5 it.

6 [Slide]

7 This was said by the first speaker but
8 this is a slide that is now maybe 12 years old, or
9 at least parts of it. The current U.S. Procrustean
10 bioequivalence guidelines: the manufacturer of the
11 test product must show using two one-sided tests
12 that a 90 percent confidence interval for the ratio
13 of the mean response--usually the area under the
14 curve and Cmax--of its product to that of the
15 reference product is within the limits of 0.8 and
16 1.25 using log transformed data. It is
17 Procrustean, and those of you who don't remember
18 your mythology, the Procrustes himself was a robber
19 that took people when they came through his gate
20 and put them on his bed, the Procrustean bed. If
21 they were too long he cut off their feet. If they
22 were too short he stretched them out until they fit
23 the bed. And, that is exactly what we have,
24 Procrustean guidelines that say all drugs must fit
25 the same criteria no matter what the issues are.

1 Now, BCS, biopharmaceutical classification
2 system, is non-Procrustean. It is an advance and
3 the obvious answer, Arthur, to why a study failed
4 in looking at dissolution is that we didn't
5 understand the flawed classifications. So, the
6 only time dissolution is going to have any
7 relevance to bioequivalence or bioavailability is
8 for Class I and Class III drugs. Since we looked
9 at all drugs about 20 years ago, we were obviously
10 going to fail. So, we are making some advances.
11 But I strongly believe and have suggested over a
12 number of years that there need to be other
13 non-Procrustean advances and that is what I will
14 talk about today.

15 [Slide]

16 What are we trying to solve? What are the
17 bioequivalence issues and what concerns patients
18 and clinicians so that they have confidence in the
19 generic drugs that are approved by the regulatory
20 agencies so that they feel there are no questions
21 related to their therapeutic efficacy?

22 It doesn't help to tell them--and that is
23 a true fact, it doesn't help to tell them that
24 there has never been a drug that passed the U.S.
25 FDA bioequivalence issues that ever caused any

1 therapeutic problems in a prospective study. That
2 doesn't help them because they always say, well, it
3 is the next drug and they have a lot of emphasis
4 out there from people who would like them to
5 question the bioequivalence criteria. So, this is
6 always in my mind, that one of the major issues
7 that we face is not necessarily scientific but it
8 is creating an environment where the American
9 public has confidence in the regulations that we
10 use and the drugs that we say can go on the market.

11 But what we have done and what our
12 concerns are now with therapeutic index drugs, NTI,
13 we need to have practitioners have assurance that
14 transferring a patient from one drug product to
15 another yields comparable safety and efficacy, and
16 a few years ago we termed that switchability and we
17 developed or tried to develop a number of
18 statistical criteria to approach that. The issues
19 we are facing today are for a wide therapeutic
20 index, highly variable drugs which do not have to
21 study an excessive number of patients to prove that
22 two equivalent products meet the preset one size
23 fits all statistical criteria. So, these are the
24 issues I want to address and ask the committee to
25 take cognizance of.

1 [Slide]

2 Now, it was not obvious a few years ago
3 but it is very obvious today that if you have a
4 narrow therapeutic index drug it is very easy to
5 pass the bioequivalence criteria, and that is
6 because narrow therapeutic index drugs, by
7 definition, must have small intra-subject
8 variability. If this were not true for narrow
9 therapeutic index drugs, patients would routinely
10 experience cycles of toxicity and lack of efficacy,
11 and therapeutic monitoring would be useless. So,
12 in fact, it is not an issue. Narrow therapeutic
13 drugs we take care of and we do very well from a
14 scientific issue. We might not have the
15 confidence, and I will come back and address that.

16 [Slide]

17 Let's look at some narrow therapeutic
18 index drugs. They have high inter-subject
19 variability and they have low intra-subject
20 variability. That is why we don't have to worry;
21 when we get the patient to the right place, they
22 stay there. The question was are they all Class I,
23 Class II. Theophylline is a Class I drug. So,
24 there are drugs on this list that are Class I drugs
25 although most of them are Class II drugs.

1 Getting back to the reliability of the
2 instrument, I would just like to make a comment.
3 Look at the warfarin sodium intra-subject
4 variability. The clinical measure that the
5 clinician uses to judge the status of the patient
6 in terms of his blood thinning capability, the INH
7 measurement, is significantly more variable. So,
8 in fact, what the clinician does in testing if the
9 drug is working is more variable than the patient
10 is going to experience from dose to dose in terms
11 of the criteria for this particular drug. So,
12 these are interesting questions.

13 [Slide]

14 Now, we tried to address this
15 switchability issue over a long period of time with
16 the concept called individual bioequivalence, and I
17 chaired the expert panel for about three years and
18 tried to address this issue. The ideas about
19 individual bioequivalence were that we were going
20 to get these promises, we would address the correct
21 question, switchability in a patient. We would
22 consider the potential for subject by formulation
23 interaction. There would be incentive for less
24 variable test products. Scaling would be based on
25 variability of the reference product both for

1 highly variable drugs and for certain
2 agency-defined narrow therapeutic range drugs.
3 And, we would encourage the use of subjects more
4 representative of the general population.

5 In fact, none of that worked and we gave
6 up on it. So, did it address the correct question?
7 Well, the question was, was there even a question
8 and was there any necessity for this at all, and
9 there is no evidence that the present regulations
10 are inadequate and that we need to be more rigorous
11 in our definition related to switchability.

12 [Slide]

13 Consider that the subject by formulation
14 interaction turned out to be an unintelligible
15 parameter from both the agency and the exterior
16 scientific community.

17 Incentive for less variable test products,
18 yes, but that could be solved by average
19 bioequivalence scaling and that is what at least I
20 am here to talk about today.

21 Scaling based on variability of the
22 reference product both for highly variable drugs
23 and for certain agency-defined narrow therapeutic
24 index drugs, again average bioequivalence with
25 scaling could solve this issue.

1 Encourage the use of subjects more
2 representative of the general population, that was
3 a good hope but it completely failed in terms of
4 how people designed their study. So, it didn't
5 work.

6 [Slide]

7 I recognized in Lawrence's introduction
8 that the FDA doesn't have a definition for highly
9 variable drugs. This is the consensus definition
10 that came out of a number of international
11 workshops, highly variable drugs should be those
12 when the intra-subject variability is equal or
13 greater than 30 percent. The idea is that for wide
14 therapeutic index highly variable drugs we should
15 not have to study an excessive number of patients
16 to prove that two equivalent products meet this
17 preset one size fits all statistical criteria.

18 This is because, by definition, again
19 highly variable approved drugs must have a wide
20 therapeutic index, otherwise there would have been
21 significant safety issues and lack of efficacy
22 during Phase III testing. In fact, highly variable
23 drugs fall out; don't get to the market. They fall
24 out in Phase II because the company can't prove
25 that they work and they can't prove that they are

1 safe. So, we don't have highly variable narrow
2 therapeutic index drugs. We only have drugs that,
3 with this tremendous variability that we
4 potentially saw in the first speaker's slide, don't
5 have any problems. And, those individual patients
6 having very high levels one time, low levels the
7 next time, high areas under the curve one time, low
8 areas under the curve the next time get through.
9 In fact, for those highly variable drugs we don't
10 need to worry about the genetic differences in
11 their enzymes. It has already been shown that,
12 yes, there are tremendous differences. Somebody is
13 going to have very high levels because they lack
14 the enzyme; somebody is going to have very low
15 levels but still they are safe and effective
16 because they are wide therapeutic index drugs.

17 [Slide]

18 But it makes it very difficult, as was
19 also pointed out by the first speaker, to get them
20 to be bioequivalent and here is my champion or what
21 I think is the champion from the data that I have
22 seen, and this is progesterone which I believe is
23 the poster drug for highly variable variability. A
24 repeat measures study of the innovator's product
25 was carried out in 12 healthy post-menopausal

1 females and it yielded intra-subject variability in
2 an AUC of 61 percent for the coefficient of
3 variation and intra-subject coefficient of
4 variation for Cmax of 98 percent.

5 If you did the calculations, it came out
6 that you needed 300 women just to meet the
7 statistical criteria and, in fact, this was not a
8 study that a generic company, or at least the
9 company interested in this, could afford to carry
10 out because, for sure, we know that the way we
11 design the studies there is a chance, even if you
12 had the right numbers, that one out of ten or one
13 out of five studies would fail just on statistical
14 chance and you have carried out a study with 300
15 people in it to prove that this highly variable
16 drug is bioequivalent. This is the issue that we
17 are asking you to talk about today, and can we
18 solve this problem so that we don't have highly
19 variable, very safe, wide therapeutic index drugs
20 for which we can't prove bioequivalence because of
21 the inherent variability of the innovator product.

22 [Slide]

23 I appeared before this committee three and
24 a half years ago to give the recommendations of the
25 FDA expert panel on individual bioequivalence, and

1 these are some of the recommendations. One that I
2 didn't put on here is that all generic drug studies
3 must be submitted to the agency, and I am very
4 pleased that that has happened and congratulations
5 to the agency.

6 Our recommendations at that time were that
7 sponsors may see bioequivalence approval using
8 either average bioequivalence or individual
9 bioequivalence, and we recommended that the subject
10 by formulation parameter be deleted since no one
11 knew what to do with it and we couldn't justify it
12 statistically.

13 We asked that scaling for average
14 bioequivalence be considered, that the agency and
15 the statistical group go into this and it be
16 something to be followed up and presented to this
17 advisory committee at some time in the future.

18 We recommended at that time that if an IBE
19 study, individual bioequivalence study, was carried
20 out and the test product fails you could not then
21 reanalyze with average bioequivalence because in
22 those days we said you had to pick one or the
23 other.

24 Here is something that we recommended that
25 I want to bring up again today because this has to

1 do with confidence. We recommended the point
2 estimate criteria be added, and we added this not
3 on any scientific basis that we are going to rule
4 out products, we said that these criteria are
5 always met today and what we have is a conception
6 or a view outside that it would be possible to have
7 products that differ by 25 percent, and that we
8 would be well served if we would say let's put a
9 point estimate criterion in addition to our
10 criteria--AUCs of at least plus/minus 15 for point
11 estimate criteria and Cmax plus/minus 20 percent no
12 matter what you do, and if you have narrow
13 therapeutic index drugs make it even smaller, make
14 the point estimate plus/minus 10 percent for AUC
15 and plus/minus 15 percent for Cmax.

16 [Slide]

17 So, what I am suggesting here today and
18 what I am recommending to the committee to do is
19 ask the agency to develop methodology, and we are
20 going to hear some, to allow approval based on
21 weighting of average bioequivalence analytical for
22 highly variable drugs so that we can bring some
23 drugs to the market that can't be studied because
24 of the progesterone example. Also, that the point
25 estimate criteria be added to the criteria because,

1 in fact, all products will pass these criteria at
2 the present time and we won't be harmed, or we will
3 increase the confidence of those that say, you
4 know, you could have two products that differ by 50
5 percent because look at what the FDA criteria say.

6 Now, the FDA criteria, as they used to be
7 written two years ago, were easily misinterpreted
8 but that also changed two years ago and now the
9 criteria are written in a way that no clinician can
10 understand them in the first place so they won't be
11 misinterpreted.

12 [Laughter]

13 They still say exactly the same thing but
14 they can't be misinterpreted to say you could have
15 two products that differ by 50 percent. So, these
16 are my recommendations. Thank you for listening to
17 me.

18 DR. KIBBE: Questions for Dr. Benet?

19 DR. SINGPURWALLA: I have a comment not
20 just to you but to everyone else. This example of
21 highly variable drugs shows, to me, how the drug
22 industry is buried under the tombstone of
23 frequentist methods. Such methods ignore clinical
24 and biopharmaceutical knowledge, and it is bogged
25 down by its own weight.

1 DR. BENET: I disagree.

2 DR. SINGPURWALLA: Why?

3 DR. BENET: I think you are coming to this
4 fresh and that is good, but what we are interested
5 in is safety and efficacy, and in all cases
6 measures of safety and efficacy are more variable
7 than any pharmacokinetic measure. What we are
8 really interested in, what the agency is interested
9 in is safety and efficacy.

10 DR. SINGPURWALLA: Who said that Bayesian
11 methods do not incorporate high variability? It is
12 these confidence intervals and these confidence
13 limits, and the comment you make is a failure to
14 understand Bayesian methods.

15 DR. BENET: I understand Bayesian methods.

16 DR. SINGPURWALLA: No, you don't; you
17 wouldn't say this.

18 DR. BENET: Well, I welcome the
19 committee's spending the time discussing this with
20 you and if you adjourn I get to go home.

21 [Laughter]

22 DR. MEYER: I think I agree with
23 everything you have said and it embarrasses me no
24 end to say that!

25 [Laughter]

1 Is there still going to be a perceived
2 problem when you have, let's say, a Cmax point
3 estimate of plus/minus 15 percent? Isn't that
4 going to solicit illustrations of, well, look, my
5 Cmax was 115 units and their Cmax was 85 and the
6 high and low can be switched in the marketplace?

7 DR. BENET: I think we are never going to
8 get around that. There are always going to be
9 people who will take the present situation and use
10 it to their marketing advantage. So, I don't think
11 we can get around that. You know, we have the same
12 issues today. I am not sure that everyone on the
13 committee is aware that in terms of BCS Class I,
14 where you don't have to do a clinical study--I
15 don't know of a generic company that has used that
16 for exactly the reason you are bringing up, Marvin.
17 They would be afraid that someone will go out there
18 and say this product has never been tested in
19 humans; it was approved on the basis of a
20 dissolution. You have confidence in this product
21 so that people that use BCS Class I at the present
22 time are the innovators who use it when they have a
23 SUPAC change or something like that. So, I think
24 we are always going to face that, and I think what
25 we need to do is just try to do the best job that

1 we can in making it happen.

2 DR. KIBBE: Let me just ask about an
3 application of one of your recommendations to your
4 own example. If you use methodology that is
5 developed as a weighted average, how would that
6 play out with progesterone? In other words, what
7 kind of numbers would we start to work with?

8 DR. BENET: I mean, I do agree with
9 weighting to the variability of the innovator
10 product. In other words, that would be the term in
11 the denominator that you would weight. But there
12 are different statistical issues that have to be
13 addressed that I can't do so we need the expert
14 statisticians to tell us how to approach that. But
15 that is what I want. I would want a weighting on
16 the variability of the innovator product in terms
17 of the coefficient of variation for Cmax as one
18 criterion and for AUC as another criterion.

19 DR. KIBBE: I have always found
20 intellectually attractive the concept of three ways
21 where we could look at variability and then compare
22 it to the generic. Is that going to help us get to
23 the numbers that we need to make these kinds of
24 decisions?

25 DR. BENET: Well, there is going to have

1 to be some measure of intra-subject variability.
2 We need to know that, and I have requested the
3 agency for many years to make this a requirement
4 for new drugs, that a measure of intra-subject
5 variability in humans or even in patients be
6 included in the approval process and be included in
7 the package insert. So, we do have to have that
8 measure some place.

9 I am very encouraged, even though the
10 agency does not require that, that we are starting
11 to see with many new products, when you look at
12 their package insert, measures of intra-subject
13 variability included because it is important
14 criteria and value that clinicians want to know.
15 What is the inherent pharmacokinetic variability so
16 that then I can say is the pharmacodynamic
17 variability more than this inherent pharmacokinetic
18 variability. If they don't know the inherent
19 pharmacokinetic variability, then they have a tough
20 time making any decision about whether the change
21 in efficacy is related to pharmacokinetics or to
22 real variability. So, somebody has to do this,
23 Arthur, and I think that has to come out of what
24 you recommend.

25 DR. MEYER: Les, you put a little bit less

1 weight on Cmax than you do on AUC; there is a less
2 stringent requirement. Is that because Cmax is
3 more variable because we don't measure it very
4 precisely, or is it because Cmax is less important
5 than AUC? And, I would quarrel that we don't have
6 enough data for the latter conclusion.

7 DR. BENET: Well, in some cases we do but,
8 as was initially discussed, it is confounded. As
9 we all know, Cmax is a very confounded measure and
10 the agency and many academics have spent years and
11 years in trying to develop a new measure. None of
12 them turned out to be any better. So, it is very
13 confounded and, as was stated, is always more
14 highly variable than AUC. I know of no case.

15 DR. MEYER: But it is the only measure we
16 have that has any component of rate in it.

17 DR. BENET: That is correct, but it is
18 more variable.

19 DR. VENITZ: Les, I agree with your
20 additional recommendation to put constraints on the
21 point estimates. You mentioned one of the reasons
22 being that the public needs to be reassured that,
23 indeed, no matter whether it is unintelligible
24 regulation or not, we do have generics that are
25 bioequivalent.

1 What I am personally not certain about is
2 whether I agree with the reference scaling--and,
3 again, we are going to have some more presentations
4 on that--because you are now, in my mind,
5 aggregating variance and mean differences, and I am
6 not sure whether one can offset the other. In
7 other words, if you have a large mean difference,
8 can that be offset by differences in variance?
9 When we had the discussion last time with IBE,
10 surprisingly there were drugs out there in the
11 database that the FDA provided us with that passed
12 IBE but wouldn't have passed ABE, which I think was
13 counter-intuitive for most of us, at least on the
14 committee, in terms that we expected IBE to be much
15 more conservative than ABE and it didn't turn out
16 that way. So, I still personally withhold judgment
17 on the reference scaling but I am very much in
18 favor of putting in additional constraints.

19 DR. BENET: Let me just answer that. I
20 think having the additional constraints solves part
21 of the problem.

22 DR. VENITZ: Yes, that was the reason why
23 I think the committee at that time went along with
24 that because we were worried about the IBE not
25 being conservative enough. Right now you are

1 basically breaking drugs down into two categories,
2 NTIs and non-NTIs, in terms of the criteria that
3 you are going to use or that you are proposing to
4 be used for BE assessment.

5 DR. BENET: Yes.

6 DR. VENITZ: Can you think of additional
7 criteria along the lines that we heard Gordon talk
8 about, that if we understand where the variability
9 comes from we might use different criteria? In
10 other words, is NTI the only thing that we have in
11 some decision tree that decides which way we are
12 going to go?

13 DR. BENET: As I said, the NTI statement
14 there has nothing to do with science because it is
15 easy to prove bioequivalence of NTI drugs. It just
16 has to do with confidence. So, that is why I made
17 it lower, because it is easy to pass.

18 I definitely believe that as we progress
19 we are going to have different criteria, and I
20 think BCS has a real potential for it. I have a
21 big list, my BCS list, and I looked to see what
22 drugs were there and that is why I made sure that
23 theophylline was a Class I drug. I think as we
24 progress--and I presented to the agency last
25 November my newest concepts in terms of using BCS

1 or some sort of variant of BCS to actually predict
2 drug disposition, and I think we are going to
3 progress a lot in the next few years.

4 DR. KIBBE: Nozer?

5 DR. SINGPURWALLA: Well, just a general
6 comment. I was pleased to hear you acknowledge
7 that newcomers can identify things like
8 confounding, but I also think that newcomers can
9 look at an old problem and come up with new methods
10 of addressing that. Therefore, I urge you to pay
11 more attention to alternate methods and not get
12 committed to an old, archaic notion of confidence
13 intervals. These have been criticized in the
14 literature. And, what we see here is repeated use
15 of confidence limits, and the difficulty that
16 confidence limits poses both to the FDA and also to
17 the drug industry in getting their drugs approved.
18 So, I am going to urge you to start paying more
19 attention to alternatives and don't dismiss it.

20 DR. BENET: I don't dismiss it, and my
21 colleague, Dr. Scheiner, has spent a lot of time
22 informing the committee and the agency of these
23 approaches and the Bayesian approach, and I think
24 we are all well aware of it and do recognize it.
25 It is important to have fresh eyes and fresh views

1 of these kinds of issues, but it is also important
2 to recognize that the agency's criteria are safety
3 and efficacy, and when we have criteria that have
4 never failed it is tough to say that we move beyond
5 that criteria to untested criteria in terms of this
6 particular issue. So, that is why the agency must
7 be very careful in the changes that they make.

8 DR. KIBBE: Thank you, Les. We have one
9 more speaker before the break. Dr. Endrenyi,
10 welcome.

11 Bioequivalence Methods for Highly Variable Drugs

12 DR. ENDRENYI: Thank you.

13 [Slide]

14 This presentation was put together with
15 Laszlo Tothfalusi and I would like to acknowledge
16 that.

17 [Slide]

18 I would like to raise a number of
19 questions which I believe that this committee will
20 have to make recommendations about eventually that,
21 certainly, the agency ought to consider. I would
22 like to go through the first part fairly quickly
23 because much of that has already been considered.
24 So, we have the usual criterion of comparing two
25 formulations and the confidence limits for the

1 ratio of geometric means should be between 0.8 and
2 1.25. This has already been stated.

3 [Slide]

4 It has also been stated that for highly
5 variable drugs this presents a problem because with
6 large variations it is very easy to hit that 0.8 to
7 1.25 and, therefore, many subjects may be needed in
8 order to satisfy that.

9 [Slide]

10 For the purpose of this presentation but
11 not necessarily as the final word at all, the
12 coefficient of variation has been considered
13 exceeding 30 percent for highly variable drugs.

14 [Slide]

15 This slide would simply ask is there an
16 issue and this has already been asked and the
17 answer was probably yes. In this case, two
18 formulations of isoptin are considered in the same
19 subject repeatedly, and two different occasions
20 different relationships between the two
21 formulations were obtained. So, it looks as though
22 the drug is not really bioequivalent with itself
23 and that is a concern, but this has already been
24 demonstrated by Dr. DiLiberti.

25 [Slide]

1 This is perhaps more recent. This was
2 obtained from Diane Potvin, from MDS, who
3 demonstrated that, indeed, things look reasonable
4 as long as the intra-individual CV is up to about
5 70 percent but beyond that it is very difficult to
6 satisfy the criteria. There are many, many studies
7 submitted that failed.

8 [Slide]

9 Then she went on, very kindly, to look at
10 details of these highly variable drugs. From this,
11 one could conclude that there is a relationship
12 between the coefficient of variation and failure
13 rate, higher failure rate with higher coefficient
14 of variation. Mind you, these are all submitted
15 studies so this analysis is still biased because
16 the company submitted them in the hope that they
17 would pass, so these are not all studies at all.

18 The second conclusion is that, indeed,
19 AUCs fail less frequently than Cmax's but they
20 still fail with a high frequency. So, the
21 variation of AUCs should not be dismissed.

22 [Slide]

23 Study condition--perhaps I would omit this
24 almost entirely because it is considering single
25 dosing versus steady state. In the U.S. this is a

1 non-issue because U.S. goes by single
2 administration even though it has been demonstrated
3 and we know that frequently in steady state we get
4 lower variation--not frequently but not always.

5 [Slide]

6 This is a study showing that and in the
7 U.S. I think this is largely at the moment
8 irrelevant.

9 [Slide]

10 Study designs, which one to choose? A 2 X
11 2 traditional or replicate design? It need not be
12 a 4-period replicate design; it could be 3.

13 [Slide]

14 Now, the advantage of replicate designs
15 includes that one gets clear estimates of
16 within-subject variations. Particularly the
17 concern would be to get a clear estimate of
18 within-subject variation for the reference product.
19 I would note that this design is favored by K.K.
20 Midha who has worked long years and is certainly
21 one of the foremost experts on the bioequivalence
22 of highly variable drugs and drug products. So,
23 his voice ought to be respected.

24 Secondly, on the other hand, my concern is
25 that one can have a pooled criterion which could

1 have better properties, pooled criterion related to
2 the test and reference products together.

3 There are issues that these replicate
4 design studies can be evaluated by various
5 procedures, and a question is whether these
6 procedures would give the same results and,
7 therefore, would agencies be able to check how
8 those results would be calculated and were
9 calculated.

10 Another question arises, namely, is a test
11 comparing the variations of test and reference
12 products useful; is it needed? Or, perhaps is an
13 estimate of these variations simply sufficient or
14 is that needed?

15 [Slide]

16 Turning to the 2 X 2 crossovers, they are
17 simple; simple to execute, simple to evaluate. An
18 advantage is that there are many studies on file
19 and they could be evaluated retrospectively.

20 Another comment is that the ratio of
21 within-subject variabilities could be estimated.
22 There are procedures that would permit this even
23 from 2 X 2 crossover studies. For example, the
24 procedure suggested here by Guilbaud and Gould is
25 to have for each subject the sum of the test and

1 reference response, AUC or Cmax in this case, and
2 then the difference of the two; plot them against
3 each other, have a linear regression and evaluate
4 the slope, and then apply the slope in that fashion
5 which gives the ratio of the estimated variances.
6 So, it would be possible to evaluate this ratio
7 from 2 X 2 crossovers. However, features of this
8 procedure have not been studied and they ought to
9 be evaluated.

10 [Slide]

11 Now, various possible methods of
12 evaluation, the usual procedure is unscaled average
13 bioequivalence with a criterion of 0.8 to 1.25 for
14 the ratio of geometric means, the GMR. It is also
15 possible to apply unscaled average bioequivalence
16 with expanded bioequivalence limits. One way of
17 doing it is to present these bioequivalence limits.
18 It has been shown that some jurisdictions do this.
19 For example, the ratio of GMR could be between 0.75
20 and 1.33 or 0.7 to 1.43. This is one possibility
21 which is practiced in some areas, or to expand the
22 bioequivalence limits flexibly depending on the
23 estimated variation. I shall talk more about these
24 procedures.

25 Another approach is the scaled average

1 bioequivalence and, again, I shall refer to this
2 and shall talk about this, and I also should
3 mention scaled individual bioequivalence for
4 comparisons only.

5 [Slide]

6 To talk about unscaled average
7 bioequivalence--these scissors are supposed to be
8 less than or equal signs so instead of scissors, it
9 is less than or equal--the unscaled average, as we
10 have seen--this is a bit more formalized but, as
11 you see here, the ratio of geometric means should
12 be between, say, 0.8 or 1.25 or 0.75 and 1.33.
13 This is the same statement as saying that the
14 logarithmic bioequivalence limits should be plus
15 and minus and in between is the difference of the
16 logarithmic means, and that is a useful way to look
17 at it. Now, the procedure is simple but as the 0.8
18 and 1.25 limits were arbitrary so would be any
19 other criteria.

20 But another concern is that whatever way
21 it would be decided, if this is the way to go, then
22 0.75 to 1.33 is a partial solution because it may
23 help drugs with, say, 30, 40 percent intra-subject,
24 intra-individual variation but not those which have
25 higher variations and 50, 60 percent would still be

1 the cut off.

2 [Slide]

3 Another approach would be to expand the
4 limits in proportion to the estimated variation.
5 This has been suggested by Boddy and coworkers.
6 So, here there is a proportionality factor, and the
7 other factor is the estimated standard deviation,
8 intra-subject variation. This procedure has the
9 advantage that the usual testing procedure can be
10 applied with some proviso. The statistical power
11 is independent of the variation and the statistical
12 power is higher, much higher than the unscaled
13 average bioequivalence with the usual criterion so
14 we need fewer subjects.

15 On the other hand, the criterion is that
16 bioequivalence limits, as shown there, are really
17 random variables because they include the estimated
18 standard deviation, estimated intra-subject
19 variation. So, the limit itself is a variable.
20 Therefore, the two one-sided test procedure is not
21 quite correct, however, it is becoming
22 approximately correct with large samples.

23 [Slide]

24 Scaled average bioequivalence is very
25 similar to the previous one except that the S from

1 the bioequivalence limits, here, came over to the
2 measure that we apply. So, it is formally very
3 similar and we have developed and have recommended
4 procedures for setting the bioequivalence limits.

5 Again, the advantages are that the
6 statistical power is independent of the variation
7 and with the same sample size is much higher than
8 the unscaled average bioequivalence. I am going to
9 demonstrate this. There is a sensible
10 interpretation. The first interpretation is very
11 similar to that applied with individual
12 bioequivalence, namely, the expected change to
13 switching is being compared with the expected
14 difference between replicate administrations and
15 one can make sense of that.

16 A second interpretation is that the
17 standardized effect size is being applied which is
18 a clinical interpretation. There are procedures to
19 evaluate confidence limits. If it is a 2 X 2
20 crossover, then non-central t-test can be applied,
21 or there is a procedure recommended by Hyslop and
22 her coworkers which is somewhat more involved but
23 still reasonable I think.

24 [Slide]

25 This is a demonstration comparing the

1 procedures and effectiveness of various approaches.
2 They include the scaled individual bioequivalence,
3 scaled average bioequivalence and unscaled average
4 bioequivalence. You see the probability of
5 acceptance. These are results of simulations. It
6 amounts to the probability of acceptance at various
7 distances between the two means. The first thing
8 you can see is that for individual bioequivalence
9 the range is very wide. Ranges are much narrower
10 with scaled average bioequivalence. So, this wide
11 range raised the concern of Dr. Benet. The second
12 observation is that scaled average bioequivalence
13 is, indeed, much more powerful than unscaled
14 average bioequivalence. So, we again need fewer
15 people.

16 [Slide]

17 What is the limiting variation for highly
18 variable drugs? This is obviously a subject of
19 regulatory decision, as are the others. The
20 procedure could be that we apply unscaled average
21 bioequivalence if the variation is less than the
22 cut-off measure and use some kind of a different
23 procedure appropriate for highly variable drugs if
24 the variation is higher.

25 Perhaps I should go down here. This is

1 the same kind of mixed model that was suggested for
2 individual bioequivalence but, just as Dr. Benet
3 suggested, it is not reasonable that a sponsor
4 should play both ways. The sponsor should declare
5 the intention of using one procedure or the other
6 in the protocol.

7 I wouldn't necessarily dismiss these other
8 possibilities. For example, K. Midha recommends 25
9 percent. The outcome of those probabilities that
10 you have seen on the previous slide depend on how
11 you set these limiting variations. Obviously, 30
12 percent is stricter than 25 percent. In all cases
13 you and the agency will ask what is the practically
14 reasonable criterion that one can live with, the
15 agency can live with and the industry can live
16 with, and the public can live with. So, don't
17 necessarily set everything on the 30 percent; do
18 consider what the effect of, say, 25 percent would
19 be.

20 [Slide]

21 Now, this method of the secondary
22 criterion has arisen in connection with the
23 features of individual bioequivalence. So, we talk
24 about two approaches, that of individual
25 bioequivalence and today we are talking about

1 highly variable drugs. There are two very
2 different concerns.

3 First of all, we have already seen that
4 for highly variable drugs the potential variation
5 is smaller than with individual bioequivalence. In
6 the case of individual bioequivalence the
7 deviations arose because the regulatory criterion
8 was changed. A much more liberal regulatory
9 criterion was introduced whereas in the case of
10 highly variable drugs it is a natural change of the
11 variability between the two means. You know this
12 very well. With the usual kind of drug the
13 variation between the means just fluctuates
14 slightly. Most of the differences are probably
15 between the two means and are within the range of
16 10 percent. But with highly variable drugs those
17 means also fluctuate much more. So, to impose a
18 constraint of 10-15 percent on this natural
19 variation means that the natural fluctuation is
20 altered so the sources of the concern are very
21 different. Whereas in the case of individual
22 bioequivalence you have to deal with the criterion,
23 here you have to deal with the natural variation.

24 [Slide]

25 So, I would like to raise some caution.

1 In addition, the imposition of the secondary
2 criterion has serious consequences. I present this
3 from my life earlier when I dealt with individual
4 bioequivalence because we had the results then; I
5 don't have many results for average bioequivalence.
6 But, again, here you have the results for
7 individual bioequivalence. This is the probability
8 curve for the constrained criterion alone and this
9 is then the application of the combined criterion.

10 The combined criterion is expected and
11 does always run below the two separate criteria.
12 But when the GMR criterion is highly constricting,
13 as in this case, then the combined criterion is
14 really a GMR criterion essentially and has nothing
15 to do, or very little to do with the bioequivalence
16 criterion. So, if you were to consider the
17 secondary criterion, then this slide suggests to do
18 it with great caution and after serious
19 consideration.

20 [Slide]

21 Here are the questions again which I have
22 raised for the committee's consideration and for
23 the agency's consideration. They certainly suggest
24 that many of these issues require further
25 consideration and further investigation.

1 Originally I wanted to end with this loose and
2 compliant mode, however, I looked at the questions
3 being raised and, since after this I may have to
4 shut up, I would like to call attention to question
5 2(b) in which the application of scaling is
6 combined with the application of this secondary
7 criterion. I would like to call your attention to
8 the fact that these are two separate questions.
9 Both of them ought to be studied further but, to my
10 mind, the restriction criterion is much more
11 controversial and requires thorough exploration for
12 its need as well as for its application. So, I
13 would recommend a separation of those questions.

14 Also, I have a question about reference
15 scaling. I would certainly like to be an advocate
16 for scaling, but whether the scaling ought to be
17 reference scaling I would like again to be a
18 subject for study. Thank you.

19 DR. KIBBE: Thank you. Questions?
20 Jurgen?

21 DR. VENITZ: I have a question about your
22 first simulation slide where you compare the IBE to
23 the ABE and scaled ABE. My question basically is
24 that you are assuming for the purposes of
25 simulation that the COVs for both test and

1 reference are the same, 40 percent. Is that
2 correct?

3 DR. ENDRENYI: Yes.

4 DR. VENITZ: What would happen if you had
5 differences in COVs between test and reference? In
6 other words, let's assume that the test product has
7 much less intra-individual variability than the
8 reference, how would that affect your curves?

9 DR. ENDRENYI: It does affect the curves,
10 but mainly the curve of the individual
11 bioequivalence. It affects little the average
12 bioequivalence curve.

13 DR. VENITZ: What about the scaled average
14 BE?

15 DR. ENDRENYI: The same. But that is an
16 artifact in a way because here we consider the
17 scaling by reference product so we didn't
18 have--these were 4-period studies. Your question
19 is relevant if you consider the 2-period studies.

20 DR. VENITZ: Right, right.

21 DR. ENDRENYI: Which we haven't done, but
22 that is an interesting question. It would be worth
23 investigating.

24 DR. VENITZ: So, the answer that you are
25 using then is the reference variation.

1 DR. ENDRENYI: That is right.

2 DR. VENITZ: So, you are assuming that you
3 know but you wouldn't necessary do a 2 X 2--

4 DR. ENDRENYI: No, the estimated
5 reference.

6 DR. VENITZ: So, you could get that from a
7 2 X 2 design?

8 DR. ENDRENYI: Well, it is a different
9 interpretation. Yes, we could but it has to be
10 validated whether it works or not. We haven't done
11 that.

12 DR. KIBBE: Anybody else? Ajaz, do I see
13 you leaning forward? No? Go ahead.

14 DR. SINGPURWALLA: I just have a technical
15 comment. Somewhere in your slides you had a
16 restricted maximum likelihood. Right?

17 DR. ENDRENYI: Yes, as a possible
18 procedure.

19 DR. SINGPURWALLA: As a possible
20 procedure?

21 DR. ENDRENYI: Yes.

22 DR. SINGPURWALLA: Well, this is a
23 technical comment, the maximum likelihood is
24 advocated because of its asymptotic properties in
25 the sense that it converges to the center. You

1 know, you get the central limit theorem. When you
2 restrict your maximum there is no assurance that
3 you converge, the central limit theorem.
4 Therefore, the value of that process cannot be
5 really evaluated. I don't know what impact all
6 that has on the proposals you have made but I just
7 want to caution you.

8 DR. ENDRENYI: You are absolutely right,
9 but the point I think was that in the case of
10 replicate design probably the procedure of
11 evaluation would have to be defined very clearly
12 and very strictly, otherwise one can go in all
13 different directions and that will be another task
14 if the agency goes that way.

15 DR. KIBBE: Go ahead.

16 DR. BENET: Just a quick follow-up on
17 Laszlo's comment, I think it would be worthwhile if
18 the agency went back and looked at the content
19 uniformity criteria and published two sets of data.
20 I think it would be worthwhile to go back and look
21 at the bioequivalence data and look and see how
22 often it falls within certain criteria. You have a
23 big database and it would be nice to see what those
24 numbers were, and I think that would be useful for
25 the committee on the secondary criteria.

1 DR. YU: Actually, you will see that in
2 the last talk. Sam is going to talk about data.

3 DR. KIBBE: It is always good to have data
4 when we are having a discussion. No one else?
5 Marv?

6 DR. MEYER: This is probably a
7 statistically ignorant question but under the
8 scaled condition, however you want to scale it, is
9 it possible to have a product with a scale
10 confidence limit that was, say, 60-90? If so, then
11 let's say the ratio would be somewhere around 75
12 percent and that wouldn't be acceptable. So,
13 without the point estimate constraint you have a
14 potential for allowing 60-90 approved and 120-140
15 to be approved.

16 DR. ENDRENYI: No--

17 DR. MEYER: Two different studies?

18 DR. ENDRENYI: In two different
19 studies--within each study it should be one and I
20 wouldn't envision between study variation and I
21 don't--I doubt it very much.

22 DR. MEYER: Even if the test product only
23 released 70 percent of its dose and the innovator
24 released 100 percent of its dose the true ratio
25 would be 0.7 and you wouldn't know that; you would

1 be looking for 1.0. It is not possible?

2 DR. ENDRENYI: No, I think if it is
3 inter-study variation, then with the low variation
4 drugs each of them would be between 0.8 and 1.25
5 but the two in comparison with each other could be
6 quite different. That is equally possible but it
7 is not likely. If it is the same reference
8 product, then it is not possible.

9 DR. KIBBE: I see no other questions.
10 Thank you very much. We will take our break now.
11 We will be back at 10:52.

12 [Brief recess]

13 DR. KIBBE: We have open public hearing at
14 one o'clock but there are no presentations to be
15 made at that time so what we will be able to do is
16 modify our schedule to try to get everything done
17 and get back on schedule. I know there is a lot of
18 interest in what we are talking about so we might
19 allow our speakers a little extra time and some
20 questions and answers to go a little further. I
21 see our next speaker is at the podium, ready to go,
22 Barbara Davit.

23 Bioequivalence of Highly Variable
24 Drugs Case Studies

25 DR. DAVIT: I am pleased to be able to

1 respond to one of the questions that Les raised, in
2 that we do have a survey of some of the data that
3 has been submitted to the Division of
4 Bioequivalence.

5 [Slide]

6 When Dale and I were talking about putting
7 this presentation together for the advisory
8 committee, one of the things we thought we would
9 consider is looking at what has been submitted to
10 the Division of Bioequivalence and to answer the
11 question of whether highly variability is a
12 significant issue in these bioequivalence studies
13 in ANDA submissions.

14 By looking at these data and focusing on
15 some case studies, we thought also we could maybe
16 answer the questions in a limited number of cases
17 of what is contributing to the variability or what
18 are some of the sources of this variability.

19 [Slide]

20 So, what we were trying to do is see if
21 there is a significant problem with highly variable
22 drugs, and I would like to mention, first of all,
23 that this obviously represents a biased sample
24 because we receive predominantly studies that have
25 passed the 90 percent confidence interval criteria.

1 So we obviously don't see the big picture like
2 people from industry would be seeing. We don't see
3 what percentage that is of the total number of
4 drugs in a company's pipeline for example.

5 But of the submissions we saw, which are
6 passing studies, what percentage were for highly
7 variable drugs? Did these studies involve
8 enrolling a large number of subjects because that
9 has been one of the issues that has been raised
10 today, the large number of subjects that might be
11 necessary to show bioequivalence for these generic
12 products of highly variable drugs? Also, how
13 narrow and wide are these 90 percent confidence
14 intervals? That goes along with how many subjects
15 are necessary for a passing study.

16 [Slide]

17 We collected data from all the
18 bioequivalence studies that were submitted to the
19 Division of Bioequivalence in 2003. We used the
20 root mean square error as an estimate of
21 intra-subject variability. I realize this is just
22 a rough estimate and it is not a pure estimate of
23 the intra-subject variability but, unfortunately,
24 most of the studies that we had to look at were
25 two-way crossover studies so the best estimate that

1 we could get of the intra-subject variability was
2 the root mean square error.

3 We defined a highly variable drug as one
4 with a root mean square error which is greater than
5 0.3, representing 30 percent intra-subject
6 variability. The data that I am going to present
7 is only solid oral dosage forms, and I would like
8 to point out that all the studies that I am going
9 to be presenting passed our 90 percent confidence
10 interval criteria, but that is because for the most
11 part we don't receive submissions of studies where
12 the product did not pass bioequivalence criteria.

13 [Slide]

14 First of all from 2003, this was a total
15 of 212 in vivo bioequivalence studies. Of these
16 212, looking at only those studies in which the
17 root mean square error of AUC or Cmax was greater
18 than 0.3, in 15.5 percent of these studies, AUC or
19 Cmax, was greater than 0.3. In other words, in
20 about 15 percent of our studies the drug would
21 qualify as having highly variable characteristics.
22 Most of this was due to Cmax and this has been
23 discussed today. So, in about 13 percent of the
24 total only Cmax was highly variable. There were no
25 studies in which only AUC was highly variable. But

1 there were 5 studies in which both AUC and Cmax
2 were highly variable, and this was 2.5 percent of
3 the total.

4 [Slide]

5 This goes along with the previous slide
6 and it just shows the number of studies in which we
7 saw a root mean square error of a particular value
8 for Cmax. There is an error in this particular
9 slide in your handout but this is the correct
10 slide. Really, obviously, for most of the Cmax
11 values the root mean square error is below 0.3. I
12 have a line here representing 0.3. I think I said
13 earlier that 15 percent of all the studies, 15.5
14 percent of all the studies that came in had a root
15 mean square error for Cmax of greater than 0.3.

16 [Slide]

17 This is for AUC. Of course, the AUC is a
18 lot less variable than Cmax. Really, for the most
19 part the root mean square errors were hovering
20 around 0.1 to 0.15, so quite a bit less variability
21 in AUC than Cmax.

22 [Slide]

23 One of the questions that we wanted to ask
24 was what is contributing to this variability.
25 Since for a lot of products we look at

1 bioequivalence studies in fasted subjects as well
2 as fed subjects, we wanted to see what impact was
3 having on variability. I mentioned 33 studies.
4 This represented a total of 24 of the ANDAs that
5 were submitted and reviewed in 2003. Of these,
6 both AUC or Cmax were highly variable in both the
7 fed and fasted studies. In 8 of these the
8 pharmacokinetic parameters were highly variable in
9 only the fed study, and for 7 the PK parameters
10 were highly variable in only the fasted study. But
11 this is a little bit skewed too because we have
12 submissions, for whatever reason, which contain
13 only a fed study and submissions that contain only
14 a fasted study--not a lot but it does happen.

15 [Slide]

16 This shows some of our data. I think
17 these are all the Cmax values from the 212 studies
18 I was talking about in which Cmax was variable in
19 only the fed study and not the fasting study. So,
20 this would suggest, of course, that we are seeing
21 variability because of food effects. I am not
22 giving the names of the drugs but I have
23 illustrated them by class.

24 There is a variety of reasons I think for
25 the variability. Some of these are prodrugs. We

1 have a number of angiotensin converting enzyme
2 inhibitors and most of these are prodrugs.
3 Generally the parent is present at low
4 concentrations so this could contribute to the
5 variability. A number of these drugs also are
6 highly metabolized and this would contribute to the
7 variability. But, in this case, obviously there
8 was a food effect. The variability was observed in
9 the fed state, not in the fasting state. In these
10 studies too the number of subjects ranged from
11 about 27 to 51 I guess, so all over the place in
12 terms of numbers of subjects.

13 [Slide]

14 It is pretty unusual to only see a highly
15 variable Cmax in the fasting study and not the fed
16 study, and this occurred in only two cases last
17 year. These were both angiotensin converting
18 enzyme inhibitors, both prodrugs. For one of them
19 the bioequivalence was based on measuring the
20 parent. For the other one the company could not
21 measure the parent despite I guess a number of
22 attempts. This is actually true for pretty much
23 everyone who has worked with this particular drug.
24 So, the bioequivalence here is only based on the
25 metabolite. But that is quite rare. In the vast

1 majority of submissions that we have the
2 bioequivalence is based on the parent.

3 [Slide]

4 This table shows the Cmax data where Cmax
5 was highly variably in both fed and fasted studies.
6 So, for this drug product obviously there will be
7 highly variable regardless of whether it is the fed
8 study or the fasted BE study. This was six drug
9 products, various drug classes, various reasons for
10 variability; some prodrugs, some highly metabolized
11 drugs; some drugs that undergo extensive first-pass
12 metabolism. The number of subjects varied from I
13 guess 18 to 57.

14 [Slide]

15 Finally, this table is for two-way
16 crossover studies and shows the data for which both
17 AUC and Cmax were highly variable, and this was for
18 four drug products. For the one that I have shown
19 in yellow, for this particular product both AUC and
20 Cmax met the highly variable criteria in both the
21 fed and the fasting state. For the other drugs
22 there was high variability in the fed but not
23 necessarily the fasted, or Cmax and not necessarily
24 AUC. So, this was four drugs that fell in this
25 class. The number of subjects that the companies

1 used varied from 26 to 62.

2 [Slide]

3 In trying to explore some of the sources
4 for this variability, we wanted to compare the
5 intra-subject variability for the test versus the
6 reference product. We don't see very many
7 replicate design studies anymore. In this
8 particular class of drugs we only had two
9 submissions last year so these are the data from
10 the two submissions.

11 These data are a good sign because what
12 they show is that the variability, based on the
13 root mean square error, was comparable for the test
14 and the reference product for both of these drug
15 products. That is obviously what we are looking
16 for because we want to see people achieve a generic
17 product that is the same as the reference product.
18 So, in this case I would say the variability was
19 comparable, test versus reference.

20 One study used 33 subjects. The other,
21 this would obviously fall into a category where it
22 necessitated a lot of subjects because this was not
23 only 77 subjects, it was also a replicate design so
24 it meant that each of these 77 subjects received
25 the drug product four times, on four occasions.

1 So, this was quite an extensive study.

2 [Slide]

3 Another question we wanted to ask was are
4 there ever cases in which the pharmacokinetic
5 variability is a function of the drug product as
6 opposed to the drug substance. We found two
7 instances last year, two different drug products
8 and I will call them drug C and drug D. This was
9 the same RLD for both studies for drug C and the
10 same RLD for both studies with drug D. Drug C was
11 an extended release tablet. Drug D was an
12 immediate release tablet.

13 We will look at drug C first. In one
14 study, conducted by one applicant, using I guess 33
15 subjects in the fasted and 35 subjects in the fed,
16 this product would not qualify as a highly variable
17 drug. Notice root mean square errors of 0.18,
18 0.11, 0.21, 0.24. However, for the same reference
19 product, in other words it is the same product,
20 different formulation, another company, 0.31, 0.38,
21 0.25, 0.34.

22 This could be due to a number of reasons.
23 I looked at the data and, obviously, the extended
24 release dosage forms are more complex than the
25 immediate release dosage forms and the two

1 formulations were quite different. So, there could
2 have been, you know, differences in variability due
3 to the formulation. Also, the bioequivalence
4 studies were done at different sites. I looked at
5 the assays. They were both LCMS assays. I didn't
6 get the specifics of the extraction methods but I
7 noticed that the two studies had different limits
8 of quantitation and there were different doses in
9 the two studies. I am not sure how much of a
10 factor this was. This was an extended release
11 product for which I believe there were three
12 different strengths. One company submitted a study
13 on the highest strength and I think used two times
14 15 mg, which was 30. The other company did studies
15 on 5 mg and used 4 times 5 mg, which was 20. So,
16 different doses in the two studies. So, there are
17 all these factors that could be contributing to the
18 variability. At least, those are the factors I
19 could think of.

20 Drug D--this was an interesting issue.
21 Once again, in the hands of one sponsor, one
22 applicant, we saw root mean square errors of 0.16,
23 0.25, 0.13 and 0.2; the other applicant, 0.38,
24 0.55, 0.22 and 0.24. This was an immediate release
25 product and I noticed that the formulations of

1 these two were qualitatively identical;
2 quantitatively there were some differences.

3 These were done at two different sites and
4 in this particular application the bioanalytical
5 methods were done at a CRO that we have had some
6 issues with in the past. They seemed to be having
7 problems with some of their data. So, it could
8 have been a contributing factor here.

9 I would like to stress that of all the
10 applications that we saw last year, these were the
11 only four in which we saw that there was a
12 difference which was possibly due to drug
13 formulation or possibly due to where the studies
14 were done that was contributing to the high
15 variability.

16 [Slide]

17 Then we thought we would look at how many
18 study subjects are usually enrolled in these
19 studies. Once again, I emphasize that this is
20 really a biased sample because we only see the
21 studies that have passed. We don't know how many
22 tries this represents. We don't know how many
23 studies were done where the company just couldn't
24 get the study to pass the confidence interval
25 criteria so these are just the passed studies.

1 I was expecting to see a much bigger
2 increase in the number of subjects as we went above
3 0.3 and we really didn't. This could probably be
4 in part because, as you know, the root mean square
5 error is not really a true estimate of variability;
6 it is just a rough estimate. But in general, I
7 guess of all the studies that came in last year,
8 that came in and were reviewed, there were only 14
9 that enrolled more than 50 subjects, and for those
10 that met our high variability criteria there were
11 only 5 that enrolled more than 50 subjects. I
12 think there are about 14 with root mean square
13 errors greater than 0.3 that enrolled more than 40
14 subjects. But in some cases we are seeing high
15 numbers of subjects but this particular graph shows
16 that it is possible for companies to do a study
17 with under 40 subjects with a drug that is
18 considered highly variable and still pass
19 confidence interval criteria.

20 [Slide]

21 Then I wanted to see what would happen if
22 we plotted the width of the confidence interval
23 versus the number of subjects, and this was done
24 for Cmax. These are the 33 bioequivalent studies
25 in which the root mean square error of the Cmax was

1 greater than 0.3. Really not a big surprise. As
2 the number of subjects increased the width of the
3 confidence interval became narrower, suggesting, as
4 has been mentioned this morning, that with a higher
5 number of subjects it is much easier to meet the
6 confidence interval criteria because the confidence
7 interval of the product becomes narrower.

8 [Slide]

9 These are the data for AUC. We have data
10 from a combination of fed and fasted studies. I
11 would like to point out the two at the top. These
12 are fed bioequivalence studies and they don't meet
13 our present confidence interval criteria, but these
14 studies were submitted before the new food guidance
15 was put into effect, which was in January. If a
16 study was submitted before January of 2003, we were
17 evaluating the study based on our old criteria for
18 fed bioequivalence studies, meaning that only the
19 point estimate had to fall within the limits of 0.8
20 to 1.25. That is why, if you look at the
21 confidence intervals, if these studies had been
22 done later these would not have met our criteria
23 but they met our criteria at the time.

24 Once again, you can see a trend where, as
25 the number of subjects increases, the confidence

1 interval narrows. I suspect that these two
2 products, with more study subjects, probably would
3 have been able to squeeze into the 0.8 to 1.25
4 confidence interval.

5 [Slide]

6 In conclusion, I would just like to sum up
7 that these are observations from the data that we
8 looked at from 2003, and 15.5 percent of all the
9 bioequivalence studies that were submitted and
10 reviewed last year were for drugs that met the
11 highly variable criteria. Cmax was more variable
12 than AUC. In general, higher pharmacokinetic
13 variability occurred in the fed bioequivalence
14 studies. The two replicate design studies that we
15 were able to look at showed comparable
16 pharmacokinetic variability for the generic and the
17 RLD product.

18 [Slide]

19 In two cases for two drug products the
20 variability was associated with the formulation or
21 other factors in conducting the bioequivalence
22 studies. In general, the width of the 90 percent
23 confidence interval narrowed as the number of
24 subjects increased. Of the 212 passing
25 bioequivalence studies, only 14 enrolled more than

1 50 subjects. Of the 33 passing bioequivalence
2 studies of highly variable drugs, only 5 enrolled
3 more than 50 subjects.

4 [Slide]

5 I would like to acknowledge the members of
6 our working group at the FDA. This is a group of
7 individuals who have been discussing the highly
8 variable drug issues and what types of
9 presentations to put together for the advisory
10 committee meeting today. I would like to give a
11 special thanks to Devvrat Patel, one of our
12 reviewers in the Division of Bioequivalence, who
13 collected all the data that I showed you today. I
14 would also like to thank all of our reviewers for
15 their hard work in putting the reviews together
16 from which Dev was able to collect these data.
17 Thank you for your attention.

18 DR. KIBBE: Questions, folks? Go ahead,
19 Jurgen.

20 DR. VENITZ: Just one clarification. This
21 was an interesting presentation, Barbara but just
22 one clarification, the root mean square error that
23 you calculated, is that the pooled intra-individual
24 variability across test and reference?

25 DR. DAVIT: Yes, it is.

1 DR. VENITZ: Then if you go back to your
2 slide number 14, this is where you look at the
3 effect the drug product may have and you compare
4 the extended release and the immediate release. In
5 case number one, I guess manufacturer number one,
6 it looks like it is a low variability drug and for
7 manufacturer number two is a high variability drug.

8 DR. DAVIT: Right.

9 DR. VENITZ: Could that indicate that the
10 test product for manufacturer two actually has a
11 higher variability and the reference drug still has
12 the same, whatever variability, it has?

13 DR. DAVIT: Oh, absolutely. I mean, yes,
14 there is no way to tell.

15 DR. VENITZ: So, this might then
16 contradict one of the statements that you made
17 later on because you are saying that test and
18 reference in the replicate design studies--

19 DR. DAVIT: For those two products.

20 DR. VENITZ: Right, for those two products
21 it could well be that the test product has higher
22 variability than the reference product.

23 DR. DAVIT: For this product, yes, it is a
24 possibility.

25 DR. VENITZ: But all the replicate design

1 studies that you looked at--

2 DR. DAVIT: Which was only two.

3 DR. VENITZ: Right, you found for those
4 two at least that test and reference had the same
5 intra-individual variability.

6 DR. DAVIT: Yes.

7 DR. VENITZ: How does that compare to the
8 overall experience, going back beyond your survey?
9 Do you have any idea? Because I know they talked
10 about this in 2001 the last time we met.

11 DR. DAVIT: You know, that is a really
12 good question and we didn't have the time for this
13 presentation. We were only able to collect data
14 from last year. We do have a lot of replicate
15 design data from 2000, 2001 and I guess some from
16 2002 and I think we would like to expand this study
17 and go back a number of years because we would have
18 more replicate design studies to compare test and
19 reference variability. Yes, this is all we have,
20 unfortunately.

21 DR. BENET: First of all, what you
22 presented is very interesting but it wasn't what I
23 asked for. So, let me make clear what I think the
24 committee could use. There is an issue about the
25 point estimate. In 1999 Commissioner Heaney

1 published in JAMA an article where she looked at
2 all the drugs approved in '97, showed the content
3 uniformity and the Cmax and AUC with the means and
4 the standard deviations. What I am asking you to
5 do is to go back and give the committee information
6 on the point estimates. Where are the point
7 estimates on all those studies? How much
8 variability? Are you going to do that?

9 DR. YU: That is actually going to be
10 presented by the next speaker.

11 DR. BENET: You set me up. Barbara said
12 she was answering my question! Many of you saw the
13 MDS abstract at the AAPS in November of 2002. If
14 you didn't, I have two slides here that I talk
15 about all the time. MDS looked at 800 fasting
16 studies in terms of approval or non-approval. Of
17 course, you can have a highly variable drug that 12
18 people pass because sometimes statistics work.

19 I think the most interesting piece of data
20 from that is that they looked at the number of
21 subjects enrolled and how many studies failed.
22 When they looked at 49-60 subjects enrolled in a
23 study, 68 percent of the studies failed. When they
24 looked at greater than 60 subjects 12 percent of
25 the studies failed.

1 Now, why is that? It has nothing to do
2 with statistics. It has nothing to do with going
3 back and saying how are you going to run the study.
4 It has to do with generic companies CEOs, and I
5 have seen it many times. The scientists say to the
6 company "we have run the preliminary study. We ran
7 six. We need 96 people to make sure that we meet
8 the confidence intervals," and the president says,
9 "96 people? Do you know how much that costs? I am
10 feeling lucky, run 24." And, that is exactly what
11 happens. If the 24 they get it. If the 24 doesn't
12 pass, they either give up or they run another
13 study. So, you can't conclude anything from the
14 data that you are seeing here in terms of
15 variability and the ability to pass. I want to
16 warn you on that. I think it is really important
17 to realize that until you start to see all the
18 data, which you will now, you really can't make
19 comments about whether highly variable drugs can
20 pass or whether you could have a progesterone study
21 that passed based on 50 subjects. You could
22 easily; you just have to be lucky and lots of
23 people are lucky.

24 DR. DAVIT: Oh, I agree. I thought the
25 exact same thing when I looked at all these studies

1 with the number of subjects and number 24 and 25
2 came up again and again and I wondered if it was
3 something like that.

4 DR. KIBBE: I just have a question about
5 the data. You had 212 studies you analyzed but
6 that wasn't for 212 different compounds--

7 DR. DAVIT: Right.

8 DR. KIBBE: --there were multiple
9 submissions for the same compound.

10 DR. DAVIT: Right.

11 DR. KIBBE: So, the question that I come
12 back to is on that early slide where you showed
13 five studies had AUC and Cmax problems, which
14 represented 2.5 percent. How many drugs was that?

15 DR. DAVIT: Oh, that was five different
16 drugs.

17 DR. KIBBE: Five different drugs, not just
18 five studies by different companies?

19 DR. DAVIT: Right, it was five different
20 drugs.

21 DR. KIBBE: That isn't the same though for
22 the 33 with AUC or Cmax?

23 DR. DAVIT: Correct.

24 DR. KIBBE: There would be cases where you
25 had studies where there were multiple studies

1 showing the same drug having variability in each
2 one of the studies?

3 DR. DAVIT: Right. I actually had a slide
4 like that at one point and I took it out. But the
5 answer to your question is yes.

6 DR. DELUCA: I noticed that your data is
7 just for the approved drugs.

8 DR. DAVIT: Yes.

9 DR. DELUCA: But I had a question. Maybe
10 Les--with the data he just mentioned because he has
11 data there of approved and non-approved, you have
12 212 here, is there a feel in relation to how many
13 drugs were not approved that did not meet the
14 specs? Maybe the industry or the data that Les has
15 might be able to give an estimate of what that
16 might be.

17 DR. BENET: Well, this is something that
18 Laszlo said. I mean, the MDS data obviously--you
19 know, what they showed was that if you had CVs less
20 than 30 percent, only like one-quarter of the
21 studies failed. If you had CVs greater than 30
22 percent, 62 percent failed. And, Laszlo was giving
23 you the data for greater than 35 percent and all of
24 them failed. But, again, these could have easily
25 been under-powered. I think most of these are

1 under-powered in terms of the studies that MDS ran
2 but it is 800 studies. I mean, they got data from
3 800 studies.

4 DR. SINGPURWALLA: I want to respond to
5 Dr. Benet again before he goes away.

6 [Laughter]

7 Now, you raised this dichotomy of the
8 surprise that the test passed and then it failed,
9 or something like that. I am not sure exactly how
10 you said it. But there is a procedure in
11 statistics called sequential analysis which I am
12 sure you are aware of. The government, not the FDA
13 but the Department of Defense uses this procedure
14 for acceptance sampling of products, whatever
15 product they are interested in. The whole idea
16 behind that is you test one item at a time and you
17 make a decision either to accept or to reject. If
18 you cannot make a decision to accept or to reject,
19 you take another sample. You keep taking a sample
20 until you make a decision, let's say, to accept.
21 The government then buys tons and tons of
22 transistors or whatever it is based on this nice,
23 little sequential test, codified and put out as
24 military standard 414, version C, which is how I
25 last remember it.

1 Now, if you use that particular procedure
2 and let's say the procedure says accept and, for
3 fun, you don't accept and go on testing more, guess
4 what happens. The procedure leads to rejection.
5 So, an early acceptance could be a bad thing had no
6 tested more. It seems that the same phenomenon is
7 happening here. The culprit there again is this
8 concept of type 1 and type 2 errors that come into
9 play. These procedures have been discussed and
10 shown to be incoherent. I suspect similar things
11 are happening here. Thank you.

12 DR. KIBBE: Paul?

13 DR. FACKLER: I have a couple of comments.
14 Ordinarily I agree with Les but I think he might
15 have over-simplified the generic industry.
16 Admittedly, a study with 96 subjects costs a lot of
17 money and there is a statistical probability that
18 with a highly variable drug you will pass with 10
19 or 12 subjects. Decisions are made based on a lot
20 of factors. Part of it is the probability of
21 passing. Part of it is the economics of what a
22 product might bring back to a generic company. I
23 will leave it at that.

24 As far as the analysis that the FDA has
25 done, the generic industry has been asked to submit

1 failed studies and I believe it is almost part of
2 the Federal Register now that those are required.
3 But those are failed studies on products that are
4 submitted to FDA. There are a number of products
5 that the generic industry works on that never come
6 to FDA because the BE studies haven't been able to
7 be passed. So, MDS have a larger data bank of
8 studies than FDA but, of course, it is confidential
9 information and MDS can't really share all of the
10 details about that with FDA.

11 A couple of other comments, the one slide
12 that showed the two products that had differing
13 root mean squares, you suggested it might be
14 formulation differences that caused the difference
15 in the variabilities. I am not sure that you can
16 draw that conclusion. You did qualify it by saying
17 that there could be other reasons for those errors.
18 It could be as simple as different populations of
19 patients or subjects in these cases. We have seen
20 examples where doing a highly variable product by
21 one CRO can give a dramatically different
22 variability than another CRO just because of the
23 variability of the subject population that the CROs
24 are able to gather. A CRO in the inner city is
25 going to have a dramatically different patient

1 population than a CRO in the country in the
2 northern part of a very isolated corner of the
3 United States.

4 Then, I wanted to make the same comment
5 about the slide that showed only five studies with
6 more than 50 subjects. The studies with 50, 60,
7 70, 80 and 90 subjects often fail and FDA never
8 becomes aware of those. Those projects are often
9 dropped after two or three failures because there
10 doesn't seem to be a way to meet the 0.8 to 1.25
11 confidence intervals.

12 I would suggest, if the resources are
13 there, the FDA go back and look at all those
14 replicate design studies that were submitted two
15 and three years ago when we were looking at IBE as
16 a possibility and scaled bioequivalence. I think
17 you will find that the variability between test
18 product and test product is really not different
19 than the variability you see between the reference
20 product in those replicate design studies.

21 DR. KIBBE: Anybody else? Ajaz?

22 DR. HUSSAIN: I think I just want to put
23 some issues back, important issues back on the
24 table. I think one of the reasons we wanted Gordon
25 Amidon to come and speak here I think was to focus

1 on what the root causes of variability are.
2 Because often we have these discussions, and so
3 forth, and we get so bogged down in the numbers and
4 the statistics that we forget what the real
5 questions are that we were really asking. So, I
6 just want to remind us.

7 DR. KIBBE: Anybody else? No? Thank you.
8 Now Dr. Sam Haidar.

9 FDA Perspectives

10 DR. HAIDAR: Good morning, everyone.

11 [Slide]

12 For my talk I will present regulatory
13 perspectives on the issue of bioequivalence of
14 highly variable drugs.

15 [Slide]

16 We are interested in this issue because it
17 has several potential benefits, including reduction
18 in regulatory burden and easier market access for
19 drugs which are safe and effective but also highly
20 variable.

21 [Slide]

22 Initially I would like to present a quick
23 overview of the regulatory requirements if
24 different agencies including the FDA. For example,
25 Health Canada, CPMP in Europe and the FDA

1 equivalent in Japan.

2 [Slide]

3 The FDA criteria for bioequivalence has
4 been more precisely defined earlier so I will just
5 repeat that we have 80-125 percent limits on the 90
6 percent confidence interval for both AUC and Cmax.
7 These criteria are applied to drugs of low and high
8 variability.

9 [Slide]

10 In contrast, Health Canada has the same
11 criterion on AUC, the 80-125, however, no
12 confidence interval criteria for Cmax. They just
13 have a constraint on the point estimate test to
14 fall between 80 and 125. In June of last year,
15 these criteria were judged flexible enough to
16 handle highly variable drugs by an expert advisory
17 committee meeting.

18 [Slide]

19 In Europe they have the same limits on the
20 confidence interval for AUC and Cmax, however, they
21 do make an exception in certain cases with regard
22 to Cmax where wider limits are acceptable and they
23 cite the 75-133 as an example.

24 [Slide]

25 In Japan also they have the 80-125 percent

1 limits for AUC and Cmax, however, in cases of
2 failure they do allow for add-on studies.

3 [Slide]

4 From this, we conclude that major
5 regulatory agencies do have some flexibility in
6 their regulations to handle special cases of the
7 highly variable drugs. To evaluate the performance
8 of the FDA criteria a survey was taken of ANDA
9 submissions between 1996 and 2001. I will present
10 the point estimate distribution for Cmax and AUC.

11 [Slide]

12 This is the point estimate distribution
13 for AUC and we have the percent of total studies
14 submitted. We can see that there is a clustering
15 around the ratio of 1.0 and with a closer look we
16 saw that for 95 percent of the studies--the in vivo
17 bioequivalence studies, 95 percent were within
18 plus/minus 10 percent.

19 [Slide]

20 For Cmax, which is a more variable
21 parameter, it is expressed with a wider
22 distribution. However, we also see a clustering
23 around the ratio of 1.0. In the case of Cmax, 85
24 percent of the studies were within plus/minus 10
25 percent.

1 [Slide]

2 From this data set we created a subset
3 that included highly variable drugs and highly
4 variable drug products. We see a somewhat similar
5 distribution, also clustering around a ratio of 1.0
6 for the AUC.

7 [Slide]

8 The same is true for Cmax but also to a
9 lesser extent as expressed by the greater
10 distribution.

11 [Slide]

12 From this we conclude that although the
13 FDA criteria allow for a mean difference of
14 plus/minus 20 percent, the vast majority of the
15 submissions were within plus/minus 10 percent.
16 This was also observed for highly variable drugs.

17 [Slide]

18 In dealing with the special case of highly
19 variable drugs there are several options, including
20 a scaling approach based on intra-subject
21 variability in Cmax and AUC, or direct expansion of
22 the regulatory limits.

23 [Slide]

24 For scaling approaches, they would result
25 in a reduction in sample size. The limits are not

1 fixed but they are defined as a function of the
2 variability. There may also be a need for a point
3 estimate constraint.

4 [Slide]

5 In contrast, direct expansion of the
6 limits, which may be applied only to C_{max} or C_{max}
7 and AUC, the limits are fixed, for example 70 to
8 143, for drugs which are considered highly variable
9 or are classified as highly variable. There may
10 also be a need for a point estimate constraint in
11 this approach as well.

12 A major concern with this method for drugs
13 which are borderline around the 30 percent cut-off
14 is how do we classify those drugs, and who does it?
15 Because, obviously, there are major commercial
16 advantages with a drug being classified as highly
17 variable under those circumstances.

18 [Slide]

19 A study conducted by Walter Hauck, which
20 was supported by the FDA when the food effect
21 guidance was under development--they wanted to look
22 at the impact of expanded limits around C_{max} on
23 study design since fed studies in general tend to
24 be more highly variable. The interval test
25 evaluated was 70-143 around C_{max}. The outcome was

1 60 percent reduction in sample size on average. A
2 concern was expressed in that study that Cmax
3 ratios of up to 128 percent still passed using this
4 limit.

5 [Slide]

6 Finally, if a decision is made to modify
7 the regulations to accommodate highly variable
8 drugs, we feel like either approach would result in
9 a significant reduction in sample size although an
10 additional regulatory criterion might be needed
11 constraining the point estimate. However, based on
12 our previous experience, it is very likely that a
13 clustering around a ratio of 1.0 would still be
14 observed although, in theory, it could fluctuate to
15 a greater extent.

16 [Slide]

17 Now Dr. Dale Conner would chair the
18 question and answer session.

19 Bioequivalence of Highly Variable Drugs Q&A

20 DR. CONNER: Good morning. I was asked to
21 simply not have a presentation but come up and
22 field questions. You know, anything on this topic
23 is fair game I think, although you can try and get
24 some other ones in if you like. However, I decided
25 to start it off to get the ball rolling by making a

1 few remarks. First off, I can truly say when I sit
2 through a lot of advisory committee topics and
3 discussions I am not extremely stimulated by them.
4 I hate to admit that but sometimes some of the
5 topics to me, personally, are not very exciting.
6 This one however I found extremely exciting from
7 beginning to end, and perhaps that is just because
8 it is bioequivalence; it is what I do all the time
9 and it is a problem that has been discussed for a
10 long time and, due to the experts and this
11 committee, we are finally starting to make some
12 progress towards doing something about it.

13 So, I would like to thank both the
14 committee and all the excellent speakers who really
15 gave us quite a lot to think about and discuss.
16 Because there were so many issues, I sat there with
17 my little list of points that I was going to make
18 which, hopefully, wouldn't have taken very long but
19 it started to expand at a very alarming rate with
20 each of the speakers and the excellent points they
21 were making. I consider it kind of a scaling
22 effect that my points were expanding with the
23 variability and quality of the speakers. So, I
24 will try and keep it to a minimum and perhaps be a
25 little Procrustean in cutting off both ends.

1 These comments, these points I am making
2 are my own take on it so one shouldn't necessarily
3 interpret this as FDA policy or even FDA thinking,
4 but I tend to deal with these types of questions on
5 a day-to-day basis in a practical sense and it
6 really seems to me, and what should have come out
7 of this if you get down to the real issue, what we
8 are looking at here for the most part is an
9 economic issue. In other words, from the drug
10 company's point of view it is really economics.
11 These studies cost too much.

12 When I want to develop this drug--if I am
13 a generic company and I want to develop this drug
14 and, as has been stated before, my statistician
15 comes back and say you have to do 120 subjects, I
16 am sure that the bean counters at the firm are very
17 alarmed and saying, "my God, I'm used to paying for
18 a 24-subject trial and you've just told me it's
19 five times as expensive." For a small company that
20 could mean three or four other products that I
21 don't have the funds to develop. So, they have to
22 make a choice. Is this product worth all that
23 money to spend or should I do four or five other
24 ones and forget about this?

25 It doesn't necessarily mean those products

1 aren't going to be developed by someone and be on
2 the market but it will decrease the players. Only
3 those with deep pockets will be able to develop it.
4 So, of course, in the marketplace you will have
5 very much lower competition, which is not good for
6 the consumer.

7 So, there is a variety of economic
8 considerations that this brings into play and if we
9 consistent somehow, using scientifically valid,
10 good regulatory methods, alleviate some of that,
11 that would be good. The FDA has a motivation as
12 well. As has been mentioned, we have a mandate to
13 eliminate or decrease unnecessary or excessive
14 human testing so we have a motivation as well. Our
15 motivation isn't strictly economic but we don't
16 want to expose normal subjects or patients in these
17 trials anymore than we have to because although
18 most of these trials are very low risk, they are
19 not no risk. So, it is up to us to develop
20 scientifically valid ways to determine
21 bioequivalence with confidence, yet efficiently
22 with the least number of subjects we can get away
23 with. That is our motivation.

24 So, you could simply say from the firm's
25 point of view because of this criterion, because of

1 this inflexible criterion I am having to do an
2 unreasonable or excessive number of subjects. Now,
3 what is that? I mean, how do you define
4 "unreasonable" or "excessive?" I am sure that some
5 people say that anything above 24 is unreasonable
6 and if I asked everybody in this room what is an
7 unreasonable number of subjects, what is the
8 maximum number of subject you think you should have
9 to do in any bioequivalence study for any product,
10 I would probably get as many answers as there are
11 people in this room.

12 So, one of the ways you could start is
13 simply empirically saying, okay, I am going to set
14 a number of samples that I don't want to go above,
15 no matter what. A very simple way would be to work
16 your way backward from that number. Say, 60 was
17 the highest you ever wanted to do, work your way
18 back saying, well, this is the variability I am
19 looking at. This is the allowable true mean
20 difference. This is the power I want. Work your
21 way back and through simulation you get a set of
22 criteria that would achieve that goal, static
23 criteria. You could do something like that.

24 Static criteria, I think as the last
25 couple of talks have outlined, in this case has

1 some problems because you have boundary conditions,
2 things where a drug product in one CRO's hands is
3 highly variable. You know, you go to another CRO
4 and it is not highly variable. So, who gets the
5 benefit of your highly variable technique? When
6 you look at creating a method to deal with this you
7 don't want to reward highly variable. You don't
8 want sponsors, whether they intend to or not, to
9 force themselves into the highly variable state
10 just to get the benefit of whatever techniques you
11 are dealing with. So, you want to adequately deal
12 with the problem without, whether unintentionally,
13 encouraging bad or highly variable formulations.

14 What was mentioned by Les in the
15 individual bioequivalence, that was an attempt to
16 promise the fact that system would encourage firms
17 to make lower variability products that still
18 matched and fit within the accepted criteria,
19 therapeutic criteria that were established in the
20 NDA. Even if we are not able to do that, we
21 certainly don't want to do the opposite. We
22 certainly don't want to unintentionally encourage
23 people to make their products or do their studies
24 in a more variable manner just to get a benefit and
25 an easier pass. So, just keep that in mind when

1 you are considering anything. You don't want to be
2 counterproductive. You know, help people in one
3 way and then be counterproductive in another way
4 and, therefore, decrease the quality of the generic
5 and maybe even the innovator products that we are
6 putting out. So, that is something that always has
7 to be kept in mind.

8 The topic that also worries me--I again
9 said in these scientific discussions--I will make
10 another admission, a portion of my mind is always
11 on the scientific discussions and a portion of my
12 mind is, you know, on the practical sense of how
13 the heck am I going to implement this. Because the
14 scientists in industry, the firms and the review
15 staff at the FDA are the ones that are going to
16 have to live with this, are going to have to find a
17 way to implement these techniques, to make them
18 work, and a lot of times the little details that we
19 don't talk about in rooms like this are the things
20 that kill you, that make this an almost unworkable
21 system.

22 For example, we can all agree and discuss
23 that we like 30 percent as the cut-off but, again,
24 now do you determine that 30 percent? Is it
25 determined before you do any studies, from pilot

1 studies? Is it determined from the literature? Is
2 it determined from the NDA? What happens when the
3 entire literature and available information says
4 that something is 28 percent and somebody does a
5 study and it is 34 on that one product? Every
6 other product that is done, similar product, is
7 still 29, what do you do in that case? Or the
8 opposite? You know, every other study has been 32,
9 33, 34. It is considered a highly variable drug.
10 Somehow you do one study, have one formulation and
11 it is 28. What do you do then? So, that really is
12 a very practical thing. These things that are on
13 the borderline could have the benefit or the remedy
14 applied to them or not applied to them depending
15 how their data comes out. It is probably an
16 advantage for a proper scaling method rather than
17 simply increasing the study confidence intervals.

18 With that said, I will field the questions
19 if there are any.

20 DR. KIBBE: Shall we start? Les wants to
21 ask a question.

22 DR. CONNER: Les gets very antsy unless he
23 talks about every ten minutes.

24 DR. KIBBE: If you do all the paperwork,
25 Les, you can sit at the table.

1 DR. BENET: That is exactly why I am not
2 sitting at the table.

3 [Laughter]

4 Dale, going back to Sam's data and just
5 following up exactly what you said, you have some
6 products that passed where the point estimate on
7 Cmax was 1.2 and they were supposed to be in the
8 highly variable group. Have you gone back and
9 looked at that data? Was it a huge number of
10 subjects or was there no variability on that study?

11 DR. CONNER: Usually with that type there
12 are only like one or two instances. I mean, we
13 have done all sorts of periods and done that data,
14 and I actually like that way of presenting it
15 rather than the Heaney article--

16 DR. BENET: I like that way too.

17 DR. CONNER: --and subsequent article
18 which just gave point estimates. I always expect,
19 you know, that I am going to see that are out at
20 1.8 or 1.9 or, you know, kind of close to the edge
21 but not quite there, and I always react with horror
22 when I see that particular data point. When we
23 really go in--I am not really sure; I would
24 actually have to direct it to Sam to put that
25 together because it doesn't make sense to me that

1 something could have a point estimate that far out
2 and be highly variable unless they used a lot of
3 subjects--I mean a lot. So, I will direct it to
4 him but, on its face, it doesn't seem to make sense
5 because I have seen that type of data presented in
6 other ways and when I looked into it, it was a low
7 variability product. It was something that just
8 squeaked by, had low variability and they used
9 sufficient subjects so even the alarmingly close
10 point estimate was still okay by our criteria.

11 DR. KIBBE: We are recording the activity
12 so you have to talk into a microphone.

13 DR. HAIDAR: I will have to go back and
14 look at that study but based on what I have seen
15 there were maybe one or two studies that were above
16 1.20, and the reasons could be large number of
17 subjects or just purely by chance.

18 DR. BENET: I agree they passed but I
19 think it would be instructive to go back and look
20 at those boundary conditions and see what are the
21 characteristics of those studies. I am sort of
22 thinking back to the generic drug scandal, you
23 know, where we saw some unbelievably low standard
24 deviations that nobody else ever saw at any other
25 time and I just think we ought to look at that data

1 carefully.

2 DR. CONNER: Sometimes you can get low
3 standard deviations when you study the same drug
4 against the same drug.

5 DR. FACKLER: Can I address that point?

6 DR. KIBBE: Please, go ahead.

7 DR. FACKLER: Confidence intervals weren't
8 required for fed studies prior to 2002.

9 DR. DAVIT: I was just going to say the
10 same thing.

11 DR. FACKLER: A lot of the fed studies
12 from '96 to 2002 only needed a point estimate to
13 pass so 1.20 was perfectly within FDA's
14 acceptability criteria.

15 DR. BENET: I am aware of that too but you
16 didn't separate them out, Sam? Those were both fed
17 and fasted conditions?

18 DR. DAVIT: It is everything.

19 DR. BENET: I think we need to separate
20 them out.

21 DR. HAIDAR: They were not separated.
22 This was our initial look.

23 DR. DAVIT: I would like to say too that
24 probably we didn't start seeing consistently fed
25 studies that passed confidence interval criteria

1 until about six months ago. So, before that all
2 the fed studies were point estimate criteria.

3 DR. CONNER: So, we are doing an unusual
4 analysis. We are taking that data and calculating
5 confidence intervals but it was never designed or
6 powered to do that. So, in a way we are being
7 unfair to the data although, I mean, it is still
8 useful to look at it but, you know, to expect it to
9 pass confidence intervals when that was never the
10 intent and the statisticians that designed them
11 never powered it that way.

12 DR. BENET: Right, I can understand that.
13 If it was really true, then my recommendation would
14 be impossible so that is why I want to see data
15 that looks at that. I think you do too, or the
16 committee should too.

17 DR. CONNER: It is also important to
18 remember that point estimates--you know, people
19 like to look at them because they are easy and they
20 seem to be the mean but you have to really look at
21 them very carefully because they say the statistics
22 work that isn't the true mean of the product. That
23 is simply an estimate of the center of the data of
24 your small sample of the universe. So, although it
25 is interesting to look at them and they can be a

1 good indicator, you have to be very careful when
2 you look at point estimates because it is not the
3 true mean.

4 DR. KIBBE: Ajaz?

5 DR. HUSSAIN: I think Dale mentioned
6 something which I think is important and I want to
7 sort of repeat that because I think the whole
8 aspect of bioequivalence is to confirm that two
9 pharmaceutically equal products would behave as we
10 would expect them to behave. And, I think we keep
11 missing that discussion and I think this discussion
12 also will not get to that but I want to keep
13 pounding on that. If there are differences in the
14 variability of the product in terms of rate and
15 extent of absorption, that is the concern. That is
16 a regulatory decision that has to be evaluated,
17 whether a high level of variability compared to a
18 lower level of variability in the innovator product
19 is acceptable or not.

20 But the key aspect here is differences in
21 the two products of the same drug. The drug is the
22 same here. The formulation is different. That is
23 the focus of our entire discussion and, again, we
24 get into the discussion on numbers and so forth but
25 we never ask the question--since generally drug

1 approval is evaluation of the chemistry
2 manufacturing controls and then there is the
3 bioequivalence study which is one study. If we
4 remember the clay feet of the bioequivalence
5 argument that Prof. Levy has always argued, the
6 connection never gets discussed and somehow we have
7 to rethink that process.

8 DR. KIBBE: Nozer?

9 DR. SINGPURWALLA: First I would like to
10 comment on vocabulary. I prefer that you use the
11 word within-subject versus between subject instead
12 of this inter- and intra-, whatever it is.

13 DR. CONNER: I agree. I always get mixed
14 up by that too.

15 DR. SINGPURWALLA: I think that is a minor
16 comment. But the significant comment is in the
17 handout questions on your last slide.

18 DR. CONNER: Those aren't really my
19 questions. Those are the questions for the
20 committee.

21 DR. SINGPURWALLA: Right, but are we ready
22 to talk about these?

23 DR. KIBBE: We are ready if you are.

24 DR. SINGPURWALLA: Right, I am. Now, this
25 whole morning's presentation, which I agree with

1 you was not boring but very interesting, makes one
2 point clear, that this problem of bioequivalence
3 and highly variable products calls for an
4 application of risk-based decision-making. The FDA
5 should serve as a benevolent decision-maker and
6 formulate the problem as one of decision-making
7 under uncertainty, keeping in mind the interests of
8 the population, of the subjects; keeping in mind
9 the interests of the drug companies or the
10 pharmaceutical companies and balancing and trading
11 off those risks.

12 You can retain the technology of scaling.
13 You can retain the investigation of causes of
14 variability. There is nothing in the framework
15 that denies those things. But what is really
16 needed is a change of mind set and a shift in the
17 paradigm. You have to get away from the notion of
18 confidence intervals which have, I am told, just
19 been introduced two or three years ago, and move on
20 into a paradigm of decision-making under certainty,
21 bringing in utilities, bringing in those kinds of
22 considerations into this problem, otherwise you are
23 just spinning your head against the wheel. That is
24 my comment.

25 DR. KIBBE: Anybody? Marvin?

1 DR. MEYER: If we go with the static
2 change, 70-143 for example, that smacks a bit of
3 being arbitrary which is a problem to defend and,
4 without a point estimate, allows, according to
5 Walter Hauck, 128 percent to pass. That can be
6 taken care of by a point estimate such as Les
7 suggested. So, the arbitrariness of that bothers
8 me.

9 But if we go to what I think is more
10 scientific-based, based on the variability of the
11 reference, albeit necessary to do a replicate at
12 least on the reference, then you have a situation
13 where you have confidence limits varying by study,
14 by sponsor, by whatever else and then the
15 marketplace becomes chaotic because I am sure you
16 will have people arguing, well, our confidence
17 limits are narrower than their confidence limits
18 and we have, therefore, a better product. Of
19 course, then you will send out a letter and say you
20 can't say that. So, I don't know--I guess I would
21 favor the scale because it has some elements of
22 being tied to real data, and then somehow figure
23 out--I think Les said don't worry about what people
24 think in some sense. So, if the confidence limit
25 ranged within two sponsors, maybe it isn't going to

1 be a big issue once people understand what you did.

2 Those are just some comments really.

3 DR. CONNER: Over the years I have been in
4 many meetings, internal and external, where we have
5 discussed widening or tightening the confidence
6 interval depending on the topic and the drug under
7 discussion. The tendency that always disturbs me,
8 and still disturbs me to this day, is people say,
9 oh well, it is more variable so we should widen the
10 confidence intervals; let's do 70, let's do
11 plus/minus 30. You query where did you get this
12 number. Well, it is wider. Well, how do you
13 support that? What makes you think that is wide
14 enough to deal with the problem? Maybe you have
15 gone too far. Or tightening the confidence
16 interval limits, static limits are the same. I
17 mean, what makes you think that is tight enough to
18 deal with the perceived problem? People tend to
19 just jump to the next--you know, they say it is
20 going to be wider and they go to the next five or
21 ten. But we rarely ever have anyone come in and
22 support that with data. Maybe it is just because
23 the data is hard to come by but it disturbs me to
24 this day that most of these discussions are not
25 supported by any kind of scientific support that

1 this change is truly going to be able to perceive
2 the problem.

3 You know there are decisions and there are
4 problems with scaling methods, especially if you do
5 mixed scaling where you have a transition point
6 where it goes from a constant or static limit to a
7 scaled limit, which we saw proposed in individual
8 bioequivalence. There are some boundary problems
9 around that transition point. Again, you know,
10 which side do I fit? Where do I get a better deal?
11 That type of situation. But I don't really think
12 it is as big a problem. You know several different
13 sponsors might have slightly different limits
14 because those limits are determined by their own
15 data, their study, their data. If, say, one CRO is
16 a little more sloppy--I don't mean necessarily
17 negatively, and their variability of doing their
18 study is a little bit higher, that scaling would
19 account for that because you would have that across
20 the board for both reference and test. I mean,
21 scaling does have some properties that if it is
22 properly done it is probably a little more elegant
23 way to deal with this problem. Still, you have to
24 do it properly and you have to think it through
25 very carefully. You can't just jump into a method

1 without careful study.

2 DR. KIBBE: Jurgen?

3 DR. VENITZ: I am trying to get us to
4 start working on question number one, and it has to
5 do with the comment that I made earlier. Gordon
6 talked about mechanisms. We heard Ajaz talking
7 about the need to understand where the variability
8 comes from, and that really is something that I
9 personally am missing. And, I won't even get into
10 my pet peeve about what is the clinical relevance
11 of all of this.

12 But if I can identify, and I think I am in
13 agreement with Nozer that we have to use risk-based
14 assessment. Well, risk to me means I have to
15 understand where are the key variability sources
16 that I am impacting on. What if the variability is
17 primarily driven by systemic metabolism, then the
18 area under the curve and Cmax do not reflect
19 primarily product performance. They reflect
20 something else which presumably is not affected by
21 changing products. So, is there any way that you
22 can incorporate that in some kind of algorithm,
23 some kind of decision tree where you decide what
24 rules you are going to use depending on what you
25 know about the drug? Maybe I am not as strongly

1 statistically Bayesian as you are, but I do believe
2 that the current system disregards anything that we
3 know about the product. It just says compare
4 product A to product B and roll the dice. It
5 ignores everything that we know about the
6 pharmaceutic characteristics of the drug substance
7 and what we might know about a specific product in
8 question, whether it is extended or immediate
9 release classification.

10 So, I would like for the FDA to think
11 about how you could come up with an algorithm, a
12 decision tree where you would incorporate that in
13 the early stages and then, by the time that you get
14 to the end of your tree, there are different rules
15 but those rules are then based on what you know
16 about the drug, not about something
17 arbitrary--well, in order for me to avoid a large
18 number; in order for me to pass some arbitrary
19 criteria I have to do this. To me, it is the tail
20 wagging the dog as opposed to trying to use the
21 understanding that we have and a lot of those
22 products that you are looking at have been out for
23 a long time so we know a lot about them but we
24 ignore that when it comes to the bioequivalence
25 assessment.

1 As far as scaling is concerned, the way I
2 understand it right now I am still wary about the
3 scaling and I really haven't formed an opinion yet.
4 In order to do the average bioequivalence scaling,
5 right now what you need and probably the most
6 important problem I guess is within-subject
7 variability in the reference product. How would
8 you get that? You couldn't get it from a 2 X 2
9 study design. So, whose responsibility then is it
10 to provide that information? Because it presumably
11 requires either a replicate design study or a
12 specific study just to identify the within-subject
13 variability in the innovator product. Whose
14 responsibility is that? Is the FDA going to pay
15 for all those studies?

16 DR. CONNER: Usually it is the sponsor's.

17 DR. VENITZ: Okay, so the generic company
18 has to do at least two studies or a replicate
19 design study.

20 DR. CONNER: With that approach, if that
21 is the type of scaling you designed requiring
22 replicate designs as we tried to do in the past,
23 probably a replicate design would be in order.
24 But, you know, there are a variety of things in the
25 literature and other proposals where that may or

1 may not be necessary. But if you did pick that
2 type of approach, yes, the sponsors would end up
3 probably doing some type of replicate design.

4 DR. KIBBE: Lawrence?

5 DR. YU: I want to make a comment. I
6 guess a lot of speakers, especially FDA speakers
7 from the Office of Generic Drugs, paid a lot of
8 attention this morning to the generic application.
9 Yes, it is absolutely necessary that a part of the
10 requirement for generic approval for the market.
11 But I want to remind you that we are developing an
12 FDA policy to equally apply for innovator
13 manufacturers. What I specifically mean is that I
14 think we have data to show that innovators, during
15 the drug development process, during the approval
16 process or postmarketing, will make significant
17 changes, for example in excipients, formulation and
18 manufacturing facilities, and so on and so forth.
19 They will be required to conduct a bioequivalence
20 study to make sure they are equal. Therefore, for
21 highly variable drugs it is also equally applied
22 for the innovator, not just simply the generic
23 companies. I want to make sure that is
24 understandable.

25 Secondly, in terms of if we go forward, we

1 are seeking your advice on which approach we should
2 take so that we can spend time on the right track
3 and then come back to you with recommendations on
4 what approach we should take. If the committee
5 advises us to move forward with the reference
6 scaling how do we determine within-subject
7 variability? That is an excellent question.
8 Certainly it would be very difficult to get a
9 two-way crossover study. We would have to go to
10 the three-way crossover study at least a
11 replicative design from the reference list product
12 to get the number. Thank you.

13 DR. KIBBE: Marv?

14 DR. MEYER: To kind of follow-up on
15 Jurgen's comment about what we know about the drug,
16 I think there are a couple of simple yardsticks.
17 If you can give a patient an intravenous and then
18 transfer them to IR, or if you can give them IR and
19 transfer them to CR, or back and forth, there is
20 probably no issue with Cmax there. If the product
21 is only available in one strength, 200 mg, and I
22 take it and small people take it and old people
23 take it once a day or twice a day, there is
24 probably no real issue with AUC or Cmax so you
25 could have somewhat less stringent requirements for

1 those kinds of drugs.

2 One other comment, add-in designs--it has
3 shown up here and there but we haven't really
4 addressed it and, to me, that seemed to be one
5 approach, provided that there are some constraints
6 on that and you don't just keep on adding three
7 subjects until you get it right. Add-ons have some
8 capability of eliminating excessive use of
9 subjects.

10 DR. CONNER: By add-on, I think you mean
11 sequential.

12 DR. MEYER: Yes.

13 DR. CONNER: In other words, you do the
14 first group, you look at the results, you make a
15 decision whether to go on or not.

16 DR. MEYER: Right, the point estimate
17 looks good--

18 DR. CONNER: What we refer to as add-on
19 is, you know, you plan to do 24 subjects and you
20 get a whole lot of dropouts. You haven't looked at
21 the data; you have no decision based on the results
22 but you realize you are going to come up short so
23 you get some alternates, recruit some more and put
24 them in. That is what we consider an add-on. So,
25 there is no real decision based on results.

1 Whereas a sequential design is a plan ahead of time
2 to do a certain number and generally, as has been
3 mentioned before, the true sequential design where
4 you do one sample at a time is really not very
5 practical in these types of studies. It would take
6 you years maybe to do the right trial.

7 So, what we are talking about is a partial
8 sequential where you do groups. If you were going
9 to plan an overall 36, you were going to do 12 at a
10 time or 18 at a time, look at the results, make a
11 decision--you know, a correct statistical penalty
12 for that look and that decision and then go on. We
13 don't currently accept that but we are working on
14 it. In several venues, PQRI and otherwise, we have
15 some working groups looking at that very carefully
16 and the proper statistics to do on that. So, we
17 hope to have some results on that pretty soon.

18 DR. KIBBE: Ajaz?

19 DR. HUSSAIN: No, I think I just wanted to
20 say a couple of things after Jurgen's comment.
21 From what he discussed, I think there are a
22 probably a few questions which are not on the
23 screen. So, are we asking the right question also
24 is the topic and I totally agree with him in a
25 sense because we continue to use the black box

1 approach. We don't know anything about it so we
2 have to pass through this goalpost and the goalpost
3 often tends to be arbitrary to start with.

4 Then also, I think we essentially move
5 towards a check box exercise because that is easy
6 to implement, and so forth. Clearly, I think you
7 have to balance the ease of the process of doing
8 something and the scientific rigor and so forth.
9 So, I think clearly as we move forward we will be
10 looking at what are the right questions also and
11 what are the right opportunities.

12 Two things that I think will open this up
13 further and new opportunities will come is the
14 prior knowledge. For example, currently if you
15 look at an ANDA submission or even an NDA
16 submission you don't have much information to make
17 decisions with respect to formulation, process and
18 so forth, what are the critical variables. In
19 ICH-Q8 we have essentially moved forward with
20 pharmaceutical development as a basis for making
21 more scientific, mechanistic based decisions. So,
22 I think we are trying to bring that know-how into
23 the agency to do that.

24 Also, I look at submissions of all
25 studies, all bio studies done as an opportunity to

1 use all that knowledge to make more rational
2 decisions and set more appropriate specifications,
3 and so forth. So, clearly, I think there is
4 opportunity that is opening up and what you see in
5 front of you are questions of trying to make
6 decisions in the current mode and the future might
7 be quite different.

8 DR. KIBBE: Let me just throw out some
9 thoughts from listening to everyone. We have been
10 trying to take a complicated situation and make an
11 easy rule, a simple rule. I think Jurgen hit one
12 of the points dead-on, and that is, I think we
13 really need a decision tree that looks at the
14 characteristics of the product we are dealing with
15 and the therapeutic ranges that it is effective in.
16 We have lots of data on a lot of these products in
17 terms of their therapeutic concentrations in the
18 body and how wide that can be and still get
19 reasonably safe therapeutic effects.

20 To make a rule that only responds to the
21 fact that the product is variable and doesn't have
22 a basis for why we are allowing that variability or
23 why we shouldn't allow that variability just
24 doesn't sound good to me. The thought of going
25 outside the box with some solutions to some of

1 these problems, instead of going straight to
2 another bio study and redesigning a bio study--and
3 Gordon said, you know, what is wrong with designing
4 better dissolution testing? One thing no one ever
5 said is, well, what is wrong with a different
6 animal model? You know, I have had quite a bit of
7 success with the pig. The pig is a good animal
8 model for human absorption in the GI tract and that
9 is really what we are caring about--and the
10 controls, the negative controls are always tasty.

11 [Laughter]

12 The question here is too complicated for a
13 simple answer and whether we have enough data to
14 get really a quality answer today is problematic.
15 I am intrigued by scaling but only when the
16 supportive data makes sense that we should scale to
17 allow something to happen.

18 I love three-way and four-way studies
19 because they get at what we have been trying to get
20 at for years, which is how variable is the product
21 and how variable are the people we test it on.

22 I worked for a couple of years in a
23 contract research lab. We did ten bio studies a
24 month and I will tell you that I don't think the
25 agency gets to see 40 percent of what we do, and I

1 think a lot of the companies, when they find that
2 they can't successfully formulate, they kill it and
3 none of those studies show up. You might get some
4 useful qualitative information from the contract
5 research labs by just simply asking them to tell
6 you how many studies they do that never make it to
7 the agency so you have a handle on the denominator,
8 if you will.

9 I think you have a real bear by the tail
10 here and I wish I had as much confidence in
11 Bayesian that would answer every question as my
12 colleague does, but I think really we have to
13 apply--we have to be willing for the agency not to
14 have a rule that everybody can look at in one
15 sentence and say I meet that rule or I don't meet
16 that rule. We have to be willing to say good
17 science supports my product, good science doesn't
18 support my product, and the agency can make a
19 decision based on a whole set of criteria.

20 The last thing is that there is lots of
21 information I would love to learn about the
22 process, supporting Gordon's idea of really
23 understanding the variables and understanding what
24 is going on so I can make better decisions and I am
25 stuck with the single question of who is going to

1 pay for that, and I don't see everybody rushing to
2 the forefront to throw millions of dollars for
3 understanding it when what they really want to do
4 is get the product on the market.

5 DR. MEYER: A really quick follow-up to
6 Art, maybe there is a way for the innovator firms
7 to have a little expansion of exclusivity if they
8 seek answers to some of the questions you would
9 really like to know about mechanisms. It wouldn't
10 cost you a cent. It would cost the American public
11 a little bit but the return might be good.

12 DR. SINGPURWALLA: Well, I was very
13 pleased to hear Art talk about using decision trees
14 but was a little concerned when he said he doesn't
15 have that much faith in Bayesian methods. Well,
16 the two are isomorphic, my friend.

17 DR. KIBBE: Pat?

18 DR. DELUCA: Just a comment, it seems to
19 me that the innovator wants a drug approved so it
20 should be incumbent upon the innovator to seek
21 answers to why there is that high variability. I
22 don't know if we need to give any more exclusivity,
23 I think it ought to be incumbent upon them to do
24 this and to see whether that high variability is a
25 formulation or a physical property, as Gordon had

1 outlined.

2 DR. MEYER: Pat, when I was talking about
3 exclusivity I was talking about something already
4 approved, much like the pediatric carrot--if you do
5 pediatric studies you get an extra six months; if
6 you do mechanism studies you get another three
7 months, or whatever. And, if you are making a
8 million a day that is a pretty good incentive.

9 DR. KIBBE: I don't know where the
10 incentive is for the company. If I am an innovator
11 with an approved product on the market which has a
12 lot of variability but is still approved and it is
13 clinically effective, and it has been sold and now
14 I am producing X billion dollars worth of product
15 every year, do I really want to carefully define
16 that product so someone else can copy it? Or, do I
17 want to keep claiming that the trace elements in it
18 that come from the natural source are so important
19 if they have to be assays so that I don't have to
20 have the problem? I mean, I think Marvin is right,
21 you have to have an incentive for them to get that
22 data for you.

23 DR. HUSSAIN: I just want to point out
24 what Dr. Benet and Jurgen also pointed out, that I
25 think as you go through an approval decision to

1 approve a new drug product, the basis of it is
2 safety and efficacy and the risk/benefit decision
3 that is made. Often when you go through that
4 process what results is that it is a safe and
5 efficacious product.

6 Now, PK variability, yes, we can measure
7 it. We know it is there and it may not have any
8 bearing on that and that is what Dr. Benet started
9 discussing, and so forth. So, keep in mind--Jurgen
10 keeps raising his hand again--what is the clinical
11 relevance. If we can measure it and it is highly
12 variable, if it is not relevant we should probably
13 not be measuring it.

14 DR. KIBBE: Paul, go ahead.

15 DR. FACKLER: I was just going to make a
16 comment along the same lines. We are aware of some
17 NDAs that have been approved without the BE studies
18 having to meet the traditional confidence intervals
19 on both Cmax and AUC--

20 DR. HUSSAIN: Yes, it is done all the
21 time. It is a clinical decision; it is not a PK
22 decision.

23 DR. FACKLER: Right, where the Division
24 can say, you know, the Cmax isn't that relevant to
25 this therapeutic endpoint and, of course, then the

1 generic industry still has to meet Cmax even when
2 reference versus reference can't possibly pass it.
3 So, I think it is a real problem for the generic
4 industry. Lawrence is right, these rules apply to
5 new drugs but the divisions have the authority I
6 suppose to waive a particular data requirement.

7 You know, as far as granting extra
8 exclusivity to find the variability in a new drug,
9 I don't think the generic industry is opposed to
10 doing replicate design studies, doing four-way
11 studies, to define the variability of the reference
12 product and I am guessing that the Division of
13 Bioequivalence would be interested to know the
14 variability in the test product they are
15 considering. So, I am sure there are lots of ways
16 of getting the information one needs to make a
17 decision about whether a product is highly
18 variable. I just wanted to point out that there
19 are products approved for which there is no way for
20 a generic product to gain approval without
21 reference scaling, wider goalposts, whatever the
22 committee decides to recommend. There needs to be
23 a process for a certain fraction of products that
24 are on the market today in the U.S.

25 DR. KIBBE: And I would hope that the FDA

1 staffers will go away and give us a decision tree
2 with some understanding of the therapeutic outcomes
3 and the risk/benefit of that product, how narrow
4 the therapeutic range has to be, how variable it
5 is, and then the goalposts can move based on a
6 decision tree and not have us reestablish another
7 set that are just ticked. I think we have lived
8 quite well with 80-125 but it was still picked.
9 Someone came up and put that down.

10 The other point I just wanted to emphasize
11 is what Les said about how this plays out in the
12 public and among healthcare professionals, and his
13 concept of adding the point determination with what
14 would appear to be to them a narrower range or
15 allowable range might be something also to look
16 into.

17 DR. YU: I guess we will have to look into
18 long-term solutions, the short-term solutions,
19 long-term objectives and short-term objectives. I
20 think mechanisms understanding of the causes of
21 variability and having some kind of decision tree
22 which you imagine is a great idea. I think that is
23 long-term we ought to be looking for. We ought to
24 be looking at moving in this direction. We also
25 have to balance the short-term objectives. If we

1 have not seen what the decision tree will look
2 like, how to implement them right now basically the
3 policy for the short-term is 80-125 percent
4 confidence interval, and you have already heard
5 that some drugs may be difficult to meet, maybe
6 never to be put on the market. So, I guess this is
7 a question put to the committee we will have to
8 discuss. In other words, we are waiting to also
9 develop the great idea of long-term objective
10 decision trees and then put basically, given this
11 short-term period for the next decade, you will not
12 have those products. So, that is a decision for
13 which we are seeking your advice. Thank you.

14 DR. CONNER: One comment, I mean, you
15 mentioned that we should come forward with a number
16 of sets of data, including the therapeutic range of
17 the product. Now, having been involved in at least
18 one working group where we were looking at NTI
19 drugs and saying, well, can we have a definition,
20 you know, that can always be supported for a given
21 drug or new drug to say what its therapeutic window
22 or therapeutic range is, we spent I think about
23 four or five years and realized we couldn't do it
24 because the data, even in an NDA, does not really
25 tell the true therapeutic range. I mean, they have

1 some indicators that if I go up above a certain
2 point, you know, I don't get anymore efficacy and I
3 start to get something with disturbing side effects
4 but, you know, they usually don't have a full
5 characterization of therapeutic range. Plus, the
6 definition of what indicators I look for and, you
7 know, do I take a ratio and do I look at this
8 versus that, you know, for any given drug it is
9 just not there. Even if you had infinite amount of
10 data, it is very hard to decide what I should look
11 at and what I should use. So, it is easy to say I
12 want to know about the therapeutic range but the
13 data to determine it so that everyone will agree on
14 it, and determine it with certainty just isn't
15 there. We spent a long time really trying to do
16 that and finally gave up, unfortunately. We are
17 still interested in the topic but, you know, we
18 realize that it is a lot harder to do than most
19 people realize.

20 DR. KIBBE: Marvin?

21 DR. MEYER: Since it is in the interest of
22 everyone who is trying to sell a highly variable
23 drug, it would seem to me that a number of
24 companies would give a release to MDS, if asked, to
25 just have a disguised set of data--you don't even

1 have to say class of drugs.

2 I kind of favor number two, reference
3 scaling with a test of a reference stipulation, but
4 I would be interested in seeing the 66 percent of
5 studies that failed and what would you have to do
6 to your limits in order to get them to pass and
7 work with real data. Right now it is somewhat
8 hypothetical.

9 DR. CONNER: Well, that could be done and
10 it would provide more evidence and information
11 about the immediate problem but I don't think that
12 partial knowledge of those would get at the root
13 cause, which is what some members have said they
14 want to see. I mean, you really have to know what
15 the nature of those drug substances is and how they
16 are formulated. You have to know a lot of factors
17 and relate that to what you saw in trying to get at
18 the problem and its root causes.

19 DR. MEYER: Yes, that is a laudable goal
20 but I thought we wanted an answer while we are
21 still alive.

22 [Laughter]

23 DR. CONNER: I expect you will be around
24 for quite a while.

25 DR. KIBBE: Gary?

1 DR. BUEHLER: We do want an answer while
2 you are still alive, Marvin. This is a big issue
3 for us. I am one that always says that we have to
4 bring difficult issues to the advisory committee
5 and, you know, we bring sort of soft balls to you.
6 I really made the point that this is a very
7 difficult scientific issue. It is an economic
8 issue. As was brought up today, clearly it is an
9 economic issue but the Office of Generic Drugs is
10 an economically driven office that makes scientific
11 decisions and makes these economic decisions in a
12 scientific way. We have products out there that we
13 can't get generics of, and that was made evident by
14 Dr. Fackler, from Teva, and he knows that probably
15 better than I do. But generic drugs are a big
16 political issue. They are a big, passionate issue
17 with the American public today. People see
18 generics as an answer to the high cost of
19 prescription drugs today.

20 So, what we are bringing to you today--I
21 am not saying that a decision tree is not a good
22 idea; I think it is a great idea, but I agree with
23 my colleagues from the Office of Generic Drugs that
24 a decision tree can be an awfully long process to
25 put together and to gather the data from all the

1 various drugs, and I am not sure I have the staff
2 to be able to do that within the next millennium or
3 so. So, what we would like from you today is some
4 direction as to which way to go. If you would be
5 able to provide that to us somehow, we would
6 appreciate that.

7 DR. KIBBE: I think we have heard from Dr.
8 Meyer that he prefers scaling. How many of us
9 think that that is an option for situations that
10 seem to be highly variable and need an evaluation
11 outside of the current rules?

12 DR. SINGPURWALLA: I am sorry, I think one
13 has to put things sometimes rather bluntly. I feel
14 that those questions that you have asked are the
15 wrong questions, or there should be additional
16 questions, namely, what are the alternatives? The
17 decision tree, as Jurgen puts it, is a very good
18 alternative.

19 The second point is the scaling. The
20 scaling has been talked, and talked, and talked
21 about. There is a simple reason why you do the
22 scaling. The scaling is a transformation which
23 tries to bring the variability down. If the
24 scaling does not bring the variability down no
25 statistician will do it. Its main purpose is

1 two-fold, to make everything look approximately
2 normal and, in the process, bring the variability
3 down. So, the scaling is a technical exercise
4 which nobody should question or criticize whenever
5 it is appropriate and it is not a debatable issue.

6 The issue that is really debatable is are
7 those the right kind of questions and do we want to
8 pursue that line of questioning. What I would like
9 to do, if Mr. Chairman would allow me sometime
10 later in the afternoon, is to ask everyone around
11 the table what do they mean by confidence limits;
12 what is its interpretation; and how is it
13 understood. And, I will make a bet that fifty
14 percent will get the answer wrong, at least. Thank
15 you.

16 DR. KIBBE: It is against federal
17 regulation to gamble in Washington, D.C.

18 [Laughter]

19 There will be no betting going on!
20 Jurgen, what do you think?

21 DR. VENITZ: I think it is time for lunch.

22 DR. KIBBE: No, no, no one is going to
23 lunch until we answer his question. What do we do
24 in the short term?

25 DR. VENITZ: Well, in the short term I

1 don't think there is anything wrong with reference
2 scaling the way I understand it. I had some
3 question about how you are going to get the
4 estimate for your within-subject reference
5 variability but if that is part of a replicate
6 design study or separate study, I think I can live
7 with that. I still think, as I said before with
8 Les, you should have additional constraints on the
9 point estimates, and it might just be for public
10 consumption so everybody on the outside that is the
11 recipient of whatever we come up with today feels
12 comfortable, yes, the rules are not being bent to
13 make bad products look good or, you know, highly
14 variable drugs look good. But other than that, I
15 can live with this as a band aid. I do think you
16 should start working on the long-term strategy,
17 which comes back to the decision aspects.

18 DR. KIBBE: Gordon, what do you think?

19 DR. AMIDON: I would agree with the
20 scaling. Again, the question of how you get the
21 reference scaling, I think the last point on the
22 reference scaling is a good starting point to look
23 at in trying to make that in a concrete decision
24 rule. I still think that the mechanism, you know,
25 what is going on with highly variable drugs, where

1 the problems are, that is the real long-term
2 solution, understanding what the problem is and the
3 FDA should in some way put some resources into
4 that, and I think Marvin Meyer's suggestion is an
5 excellent one. You know, just provide some
6 incentive for industry to fund research into what
7 is happening with these drugs.

8 DR. KIBBE: Judy?

9 DR. BOEHLERT: I have thought about this a
10 lot and I also would agree with using the scaling
11 factors but I think you are still going to be in a
12 position of having to make decisions and having
13 some kind of decision tree, even if it is not
14 formal because Dr. Davit presented data this
15 morning that showed that the same product with two
16 different laboratories had different values. So,
17 you are going to be in that situation where one
18 manufacturer uses scaling and the other one doesn't
19 so you are going to have to make some decisions
20 around those issues. It is not so straightforward,
21 particularly when you get around that 30 percent
22 number.

23 DR. BUEHLER: Making decisions is "an
24 understood" for me so I can accept that. But I
25 echo Jurgen. We have to make sure that whatever we

1 decide will provide a good scientific method so
2 that the generic products that go on the market as
3 a result of this are unequivocally bioequivalent
4 and, of course, safe and effective.

5 DR. KIBBE: My colleague who hardly ever
6 speaks?

7 DR. SELASSIE: I agree with what Jurgen
8 said because I think that there needs to be a
9 mechanistic basis as to what type of scaling
10 factors you use, and it seems to me that that is
11 really important and we need to understand the
12 physicochemical parameters that are involved in
13 dissolution and it seems like that is missing and
14 is arbitrary in trying to set some scaling factor,
15 and we are not taking those types of phenomena into
16 consideration. So, I think a decision tree in the
17 long-term would be a good idea but I guess in the
18 interim you can use something like reference
19 scaling.

20 DR. KIBBE: Marc?

21 DR. SWADENER: I think it is a little bit
22 naive to think that all of us here, at every stage
23 along the line, don't use a decision tree of some
24 sort. Formalizing it to the stage that people are
25 talking about here is a little diplomatic but we

1 all have a decision tree that we use. Whether I
2 came here to this meeting or not, I used one.
3 Whether it was formalized or not is a different
4 question.

5 I do know enough about statistics to know
6 you can't believe them all the time. You have to
7 be very, very careful about them. So, I think I
8 would encourage looking at a decision tree not as
9 the short term as it will take time, and do the
10 best you can. I do agree with Jurgen, you really
11 have to look at what are the real fundamental
12 questions you are dealing with too. With my
13 representation on this committee, the public just
14 needs to know that what they are getting is safe
15 and will do what it says it will do. Now, that is
16 a very simple approach but they don't know all this
17 stuff and they are relying on you to do the best
18 you can. I don't see that you are not doing that.

19 DR. KIBBE: Dr. Koch?

20 DR. KOCH: Yes, I would agree with the
21 summary that you came up with and certainly stay
22 with the reference scaling short term but something
23 has to be put together to address the decision tree
24 approach.

25 DR. COONEY: I think that the change in

1 limits is not acceptable because it is very
2 arbitrary and if there are options I think the use
3 of reference scaling makes fundamental sense.
4 Furthermore, a decision to do that is a decision
5 down the path of a decision tree so it is a logical
6 step to take and I want to underscore the
7 importance of continuing to gather the data and
8 establish the criteria around which these
9 individual decisions are made and you will be in a
10 better position to do this going forward.

11 DR. KIBBE: Pat?

12 DR. DELUCA: I am certainly an advocate of
13 getting those drugs that are safe and effective to
14 the market. Certainly, the public would benefit
15 from those. I guess I favor in the short term--I
16 think in the long term something more substantial
17 has to be done with regards to decision making, but
18 reference scaling I think is very important here.
19 Again, I don't like the arbitrary nature of
20 widening the limits but if that is something that
21 can be approached in the short term, then I would
22 be for it.

23 I still think that we need to encourage
24 the innovator to finance for the high variability
25 that exists. Whether it is offering incentives in

1 some way, so be it but certainly an incentive if
2 they can reduce the variability. It seems to me
3 they gain something when the generic has to go into
4 the reference scaling, they have improved the
5 product so I think that is also an incentive to do
6 it.

7 DR. KIBBE: Our industry representatives
8 have a comment one way or the other? I would just
9 like to wrap up and go to lunch but with one
10 comment. I think if we go ahead and make a change
11 in the way we approve highly variable drugs, then I
12 think we ought to consider seriously also Les'
13 other point which is to come up with something that
14 is going to reassure the public that the changes we
15 are making are not getting drugs that can vary by
16 50 percent on the marketplace but, rather, that
17 they really are tighter than that so they
18 understand it better. So, I would end with that.
19 With that said and no one else waving frantically
20 to get my attention, we will break for lunch and we
21 will be back at 1:40.

22 [Whereupon, at 12:40 p.m. the proceedings
23 were recessed for lunch, to resume at 1:40 p.m.]

1 A F T E R N O O N P R O C E E D I N G S

2 [Because the Chairman reconvened the
3 proceedings before 1:40 p.m., part of the text is missing.

4 There were no speakers who wished to speak
5 during the open public hearing but there was a public
6 submission from Zeb Horowitz, M.D.]

7 Bioinequivalence: Concept and Definition

8 [Slide]

9 DR. YU: ...bioavailability is rate and
10 extent of absorption and it is the site of drug
11 action. So, normally you give a drug to a healthy
12 volunteer or patient and measure the plasma
13 concentration against time, as shown in this
14 figure. Then you get a Cmax here; you get the AUC,
15 AUC is area under the curve. Cmax is a surrogate
16 for the rate of drug absorption; AUC is basically
17 for the extent of absorption so this is defined as
18 bioavailability.

19 [Slide]

20 Bioequivalence basically is defined as the
21 absence of a significant difference in the rate and
22 extent to which the active ingredient or active
23 moiety in the pharmaceutical equivalents or

1 pharmaceutical alternatives become available at the
2 site of drug action when administered at the same
3 molar dose under similar conditions in the
4 appropriately designed study. So, this basically
5 is the Federal Register Notice definition. So,
6 bioequivalence basically means the absence of a
7 significant difference in the rate and extent of
8 drug absorption.

9 [Slide]

10 This morning we discussed bioequivalence
11 and we said a 90 percent confidence interval for
12 the extent or AUC for the rate as a surrogate or
13 Cmax 80-125 percent. Now, passing or meeting the
14 bioequivalence standards allows marketing access
15 basically as one of the standards for approval of
16 the applications. Of course, you have to meet
17 very many other requirements, especially with
18 respect to the chemistry, manufacturing controls
19 with respect to quality of the products. So,
20 demonstration of bioequivalence makes the generic
21 to be approved and the innovator basically
22 demonstrates that the marketed formulation is
23 equivalent to the clinical formulation.

24 [Slide]

25 The question is why do we define the

1 bioinequivalence concept? What are you talking
2 about here? Why do you define this? It is because
3 FDA receives studies that attempt to reverse a
4 previous finding of bioequivalence. In other
5 words, you approve a product to put on the market
6 when some manufacturer conducts a study to show or
7 fails to show the bioequivalence. Also, in the
8 public literature there are claims of
9 bioinequivalence. In reality, it is simply a
10 failure to demonstrate bioequivalence. So, there
11 is a concept you need to clarify, what is called
12 bioequivalence and what is called bioinequivalence.
13 What is the difference when you fail to demonstrate
14 bioequivalence and bioinequivalence?

15 [Slide]

16 There are many reasons, as we discussed
17 this morning, for high variability--under-powered
18 study designs, study samples, many, many reasons
19 that can make a study fail. Of course, the easiest
20 way, as we discussed this morning, is to use a
21 small number of subjects. So, it is easy to fail
22 to show bioequivalence by a small number of
23 subjects and, certainly, there will be other
24 considerations like study design, study sample,
25 data analysis. There are many, many other reasons

1 and these are just several of them.

2 [Slide]

3 What should bioequivalence mean if we
4 define a definition for bioinequivalence? As we
5 said, bioequivalence leads to market access.

6 Basically a study that demonstrates bioequivalence
7 is clear and convincing evidence of equivalence.
8 Bioinequivalence may lead to market exclusion. Of
9 course, we have to consider many, many other
10 factors too as we discussed this morning--safety,
11 efficacy, pharmacokinetics, pharmacodynamic
12 relationship and so on. But a bioequivalence study
13 demonstrated by equivalence is clear and convincing
14 evidence of potential problem for the specific
15 product.

16 [Slide]

17 So what do we specifically mean here? I
18 want to spend a little time on this slide. When
19 you conduct a study, if a study is properly
20 designed, the 90 percent confidence interval is
21 between 80 to 125 percent. Now, if this study is
22 under-powered and if this study has a small number
23 of subjects, there is a greater possibility that it
24 fails to demonstrate bioequivalence. What this
25 specifically means is simply that the manufacturer

1 or sponsor does not use enough subjects for
2 example, of course, among many other reasons, to
3 conduct a study. If the study is powered enough,
4 there is a greater possibility that you can narrow
5 the confidence interval and make this a passing,
6 successful study. Coming back to so-called
7 inequivalence is to make sure that the test product
8 has a difference more than 20 percent or, for
9 example is underneath the 80 percent or above 125
10 percent. Of course, there is also the failure to
11 demonstrate a bioequivalence study because simply
12 the top limit above 80 or, on the other side of the
13 lower limit it may be below 125.

14 I think it is in the best interest of the
15 public and us, for clarification, that we want to
16 define the bioequivalence, bioinequivalence,
17 failure to demonstrate bioequivalence and failure
18 to demonstrate bioinequivalence. This is a concept
19 that we have to be very clear about because in many
20 cases in the published literature or studies
21 submitted to the Food and Drug Administration are
22 simply that. For example, the top limit is above
23 125 percent or the lower limit is below 80 percent
24 if you use enough power and increase the subjects
25 of the studies the study will become a successful,

1 passing study instead of failure to demonstrate
2 bioequivalence.

3 Yet, because of confusion because there is
4 no clear definition with respect to bioequivalence,
5 in the end any study, whether the lower limit is
6 below 80 and upper limit is above 125, the sponsor
7 or other parties will have bioinequivalence. The
8 reality is simply to fail to demonstrate
9 bioequivalence. In other words, the true
10 difference is acceptable, however, the study is not
11 properly designed because it is under-powered, or
12 many, many other reasons where the confidence
13 interval does not meet the regulatory criteria,
14 which is 80-125 percent. At the end, the claim is
15 basically bioinequivalence and in reality, as I
16 said, is a failure to demonstrate bioequivalence.
17 So, I want to make it clear, I want to clarify the
18 concept.

19 [Slide]

20 So, the objective at FDA is to develop
21 bioinequivalence criteria that are scientifically
22 sound, statistically valid and fair to all parties
23 and, hopefully, easy to use.

24 With this introduction, I want to turn the
25 podium to Don and I am sure a lot of people know

1 him. He is the developer of the original 80-125
2 percent criteria for FDA standards. He will be
3 speaking about how to statistically establish
4 bioinequivalence.

5 DR. MEYER: A real quick question, I don't
6 quite catch the fail to demonstrate
7 bioinequivalence for the one where the right-hand
8 tail is barely across 80 but the point estimate is
9 well to the left of 80. It seems to me that still
10 is a bioinequivalent product.

11 DR. YU: This one?

12 DR. MEYER: Yes, with the point estimate
13 falling well to the left. It seems to me changing
14 the N won't help that one. It will just make the
15 confidence limits fall, totally bioinequivalent.

16 DR. YU: Yes, most likely if this study is
17 powered--to increase, for example, the power of
18 this study this product is bioinequivalent. This
19 time it is because the confidence interval above 80
20 statistically speaking, as Don can clarify, failed
21 to demonstrate whether it is truly bioinequivalent
22 or not. I think that Don is the better person to
23 answer the question.

24 Statistical Demonstrations of Bioinequivalence

25 MR. SCHUIRMANN: One clarification to what

1 Lawrence said, I did not have anything to do with
2 choosing 80-125 as the limits for bioequivalence.

3 [Laughter]

4 [Slide]

5 This presentation is joint work with
6 colleagues in the Quantitative Methods and Research
7 staff of the Office of Biostatistics and also in
8 the Office of Generic Drugs, and the bulk of the
9 presentation was put together by my colleague, Dr.
10 Qian Li, who originally was scheduled to give this
11 presentation but she just recently had a baby so
12 she is having a little deserved maternity leave.

13 [Slide]

14 We hope to go over the definition of
15 bioinequivalence, comments on claiming
16 bioinequivalence if you fail to show
17 bioequivalence, proposing a criterion to use for
18 one PK endpoint--PK is pharmacokinetic, and talk
19 briefly about strategies when you are looking at
20 three pharmacokinetic endpoints.

21 [Slide]

22 The usual definition of the bioequivalence
23 interval on the ratio of the population geometric
24 mean of the test product over the population
25 geometric mean of the reference product is that it

1 should fall within the limits of 80 percent to 125
2 percent. That is what is called the bioequivalence
3 interval. It is never correct to refer to that as
4 a confidence interval. So, it is obvious to define
5 the bioinequivalence region as just the complement.
6 If you are not in the bioequivalence interval, then
7 you are in the bioinequivalence region which
8 consists of the two disjoint regions.

9 [Slide]

10 So, the question that I first want to look
11 at is, is it appropriate to claim bioinequivalence
12 if a study fails to show bioequivalence? Two
13 products may, in fact, be bioequivalent but they
14 may not be shown to be bioequivalent by the study.
15 The primary reason for that is inadequate power.
16 There could possibly be other reasons.

17 [Slide]

18 In doing our standard testing for
19 bioequivalence, it is an application of statistical
20 hypotheses testing where we have a null hypothesis
21 that says either the ratio of geometric means is
22 too low, below 80 percent, or else it is too high,
23 above 125 percent, and we test that against the
24 alternative hypothesis that the ratio of geometric
25 means is within the interval. The way that we have

1 typically tested this statistical hypothesis is by
2 doing two one-sided statistical tests, and each of
3 those tests is carried out at the alpha equals 0.05
4 level of significance.

5 Now, it turns out that doing these two
6 one-sided tests--it is an example of what is called
7 intersection union test--is algebraically
8 equivalent to calculating a two-sided 90 percent
9 confidence interval and seeing whether it falls
10 within the equivalence interval. So, that is why
11 you hear a lot of talk about confidence intervals
12 today even though we are not using the confidence
13 interval as a confidence interval; we are using the
14 endpoints of the confidence interval as test
15 statistics. What we are doing here is statistical
16 hypothesis testing. As I said, the type 1
17 error--we have to reject both one-sided null
18 hypotheses, both H_0^1 and H_0^2 . If we
19 reject both one-sided null hypotheses, then we
20 conclude that this alternative is true, that is,
21 that we have average bioequivalence.

22 [Slide]

23 So, we need to reject the hypothesis of
24 inequivalence with high confidence and the
25 rejection region is selected to make the chance of

1 doing that incorrectly to be small, and that is the
2 level of significance which, as I said, is alpha
3 equals 0.05.

4 [Slide]

5 So, what is the error associated with
6 claiming inequivalence if you don't claim
7 equivalence? Well, if you are looking for a
8 procedure for testing to see if you have
9 inequivalence, then we need to control the error
10 wrongfully rejecting equivalence to be small. If
11 you are going to base it on the equivalence test,
12 that means you want the equivalence test to have
13 large power. However, the power for the
14 bioequivalence test, as you will in a moment, may
15 not be large overall values of the geometric mean
16 ratio in the equivalence region.

17 The testing for bioequivalence focuses on
18 controlling the type 1 error and then other aspects
19 of the test, such as high power if the alternative
20 is true, are gotten, if they can be. So, we may
21 not have adequate power to claim bioequivalence
22 even when bioequivalence is true.

23 [Slide]

24 Here is an example. If we had a product
25 and we are going to design a two-period, two

1 sequence bioequivalence trial, and we assume we
2 have within-subject variance of 0.04, that is to
3 say within-subject standard deviation of 0.2, and
4 we are willing to assume that the ratio of
5 geometric means deviates from 1 by no more than 5
6 percentage points and, if that is true, we want to
7 be at least 85 percent sure that we will reach a
8 conclusion of equivalence, you can then crank the
9 numbers and you come up with the sample size of 22
10 subjects.

11 Well, if you have 85 percent power, that
12 means you are 85 percent sure of concluding that
13 the products are equivalent. That means you could
14 have as much as a 15 percent chance of not
15 concluding that they are equivalent. So, even with
16 this design study you could have products that are
17 equivalent but you have as much as a 15 percent
18 chance, or even more, of failing to conclude that
19 they are equivalent. In fact, if the variance,
20 unbeknown to you, is higher than you thought or if
21 the geometric mean ratio deviates from 1 by more
22 than 5 percentage points, the power will be lower
23 so the chance of not concluding bioequivalence will
24 be higher. So, it should be apparent that that is
25 not a basis for concluding inequivalence.

1 [Slide]

2 The rejection region for the
3 bioequivalence test--what do I mean by rejection?
4 I mean rejecting the hypothesis of inequivalence
5 and concluding equivalence--is determined by the
6 variability associated with the point estimate of
7 the geometric mean ratio, which is illustrated here
8 on the log scale. The higher that standard
9 deviation of the estimator is, the further away
10 from the actual limits--delta-2 here is 1.25;
11 delta-1 is 0.8--the narrower that rejection region
12 will be and the lower the power will be. So, it
13 isn't enough for the point estimate to be within
14 the equivalence interval. It has to be comfortably
15 away from the edges of the equivalence interval in
16 order to conclude equivalence.

17 [Slide]

18 This is an example of power curves for the
19 test for equivalence. The blue lines correspond to
20 a standard deviation on the log scale of 0.5. The
21 red lines correspond to a standard deviation of 0.3
22 and the green lines correspond to a standard
23 deviation of 0.1. The solid lines are for a study
24 with 60 subjects. The corresponding dashed lines
25 are for a study with 30 subjects.

1 Let's take this example, a 60-subject
2 study but the standard deviation is 0.5, even if
3 you are exactly equivalent, you are identical,
4 there is a very good chance that you will not
5 conclude equivalence so that is no basis for
6 concluding inequivalence.

7 [Slide]

8 So, instead of trying to use the
9 equivalence test as a means for establishing
10 inequivalence, we need to develop a testing
11 procedure aimed specifically at inequivalence.
12 Here we have done that by reversing the hypotheses.
13 Now the null hypothesis, in statistical jargon, is
14 that the geometric mean ratio is within the
15 interval. The alternative is that it is either
16 below 80 percent or else it is above 125 percent.
17 So, once again try to test this hypothesis by doing
18 two one-sided tests, each at a level of 0.05, and
19 in the case of equivalence we had to reject both of
20 the one-sided hypotheses but in this case we have
21 to reject one or the other. So, we will reject
22 bioequivalence and conclude bioinequivalence if one
23 of these two one-sided hypotheses is rejected. It
24 says here under certain conditions and, in fact,
25 under most conditions the overall level of that

1 procedure will not be appreciably different from
2 0.05, however, we can find mathematically cases
3 where it could be higher. It could be as high as
4 0.1.

5 [Slide]

6 Before I showed you the region for
7 concluding equivalence and that is these lines,
8 here. Now the region for concluding inequivalence
9 is given by this line and this line. You have to
10 fall higher than this with the point estimate or
11 you have to fall lower than that in order to
12 conclude inequivalence. So, once more you need to
13 be comfortably away from the actual boundary before
14 you reach your conclusion.

15 [Slide]

16 This is what the power of that test looks
17 like. The color scheme and the solid and dashed
18 line scheme is the same before. These vertical
19 lines are the 0.8 to 1.25 lines and so if you are
20 in the interval, 0.8 to 1.25, the probability never
21 gets higher noticeably than 0.05. But if you are
22 outside of the interval, then you have a greater
23 chance of concluding bioinequivalence. I might add
24 that it is symmetric in the reciprocal of the
25 ratio. In other words, here is a ratio of 0.5 and

1 it has a certain high probability of concluding
2 inequivalence. To have the same probability over
3 on the other side, it would have to be equal to 2,
4 which is the reciprocal of 0.5.

5 [Slide]

6 We are going to try to control that error
7 to 0.05. It is a function of what in this slide is
8 designated sigma-T, which is the standard deviation
9 of the estimator that is used as the basis for the
10 test statistic. As that sigma-T gets larger you
11 could possibly have more than a 5 percent chance of
12 wrongfully concluding inequivalence.

13 [Slide]

14 Well, how big would the variance have to
15 be? Dr. Li ran some calculations. In this
16 example, here, N equals 10. A bioequivalence study
17 with only 10 subjects I don't think would even be
18 allowed. These studies tend to be considerably
19 larger than 10 subjects. But it just illustrates
20 the fact that even for that tiny sample size the
21 standard deviation, which is on the log scale, has
22 to be quite large before the chance of making the
23 wrong decision, that is to say concluding
24 inequivalence when, in fact, the products are
25 equivalent gets unacceptably high. If you have a

1 more reasonable number of subjects it has to be
2 astronomically high before you start running into
3 that problem.

4 There could be cases perhaps with a
5 parallel design of a highly variable drug where we
6 might have to do some adjustment to the
7 significance level, and there do exist methods in
8 the literature to do that.

9 [Slide]

10 So, that is the corresponding test to the
11 bioequivalence test for one parameter, but
12 generally we assess bioequivalence studies with
13 respect to three endpoints--I said parameter,
14 didn't I? Pharmacokineticists love to use the word
15 "parameter" to describe AUC and Cmax; statisticians
16 don't. Typically, we require for an equivalent
17 study you have to show equivalence for area under
18 the curve to the last sampling time; area under the
19 curve extrapolated to infinity; and maximum
20 observed concentration. What are we going to do
21 about bioequivalence? In concept the products
22 are bioequivalent if they are bioequivalent
23 with respect to just one of these three.

24 [Slide]

25 So, what statistical criteria shall we

1 use? We are looking at a number of strategies.
2 Strategy one is to say, well, if you conclude
3 bioequivalence with respect to just one of the
4 three pharmacokinetic endpoints, then you will
5 reach a conclusion of bioinequivalence. The things
6 in favor of that is that it is quite intuitive.
7 The arguments against it are that you now have
8 three chances--if you have a case where the
9 products are close to being inequivalent but they
10 aren't inequivalent, then you have three chances to
11 make a mistake and you may inflate the overall type
12 1 error rate.

13 [Slide]

14 So, if you are worried about that, here is
15 another strategy which says, well, you have to show
16 that it is inequivalent with respect to all three
17 of the PK endpoints. Then you can tightly control
18 the type 1 error rate. Type 1 error in this case
19 means concluding inequivalence when, in fact, the
20 products are equivalent. But the argument against
21 this strategy is that it is not going to have
22 reasonable power against alternatives of interest.

23 [Slide]

24 Another possibility would be to say, well,
25 you need to prespecify which endpoint you are going

1 to look at. In the slide here AUC was used as an
2 example. Possibly Cmax would be another choice.
3 This will control the type 1 error but if the
4 endpoint you chose is not the endpoint for which
5 the products are inequivalent, then you are not
6 going to have a reasonable chance of reaching a
7 proper conclusion.

8 [Slide]

9 Other strategies require that you show
10 equivalence for all three but you adjust the alpha
11 levels so the overall level is maintained but you
12 have more power for each individual test. A method
13 to do this which doesn't require the levels to be
14 the same for all three endpoints is currently under
15 development in QMR.

16 Other possibilities--one that occurred to
17 me is you might say, well, before you can conclude
18 that the products are inequivalent with respect to
19 AUC you have to show inequivalence for both AUC to
20 the last time point and also for AUC to infinity
21 but we will look at Cmax separately. But there
22 could be regulation complications in all of these
23 proposals.

24 [Slide]

25 So, the main focus of this presentation

1 was on power to make the right decision and what we
2 are calling error, which is making the wrong
3 decision and controlling the probability of making
4 a wrong decision. There could be other statistical
5 issues as well. Thank you.

6 DR. KIBBE: We will open it up for
7 questions from the panel. Marvin, go ahead.

8 DR. MEYER: Don, a practical example of
9 what would happen with strategy one if the type 1
10 error were inflated and the three PK endpoints are
11 now highly correlated--

12 MR. SCHUIRMANN: I apologize, Dr. Meyer, I
13 didn't bring those numbers with me--

14 DR. MEYER: Just conceptually.

15 MR. SCHUIRMANN: Suppose you had a product
16 for which the ratio of the population of the
17 geometric means was something like 124 percent for
18 all three parameters. Then, the chance that you
19 will conclude inequivalence for at least one of
20 them could be something like 15 percent, in that
21 neighborhood, depending on the sample size;
22 depending on how tightly correlated the AUC is with
23 the Cmax. I can't give you a very quick answer.
24 In some of the simulations that Dr. Li did about 15
25 percent was the highest I saw. So, it depends on

1 whether you are interested in controlling that
2 overall level or whether you are merely interested
3 the level in each individual endpoint.

4 DR. BENET: Since this would be a test to
5 take a drug approved off the market, have you
6 considered that maybe we need more than one study?
7 Have you talked about that? Have you thought about
8 that in your thinking about it?

9 MR. SCHUIRMANN: I can't speak for the
10 Center. I have not thought about that much.

11 DR. BENET: Well, I was reacting to
12 Barbara's data where you looked at the two
13 different studies with two people running it with
14 significantly different variance in the two
15 different studies, and that could be an issue here.
16 You know, I think it is a statistical issue but it
17 is also a policy issue in terms of, you know, is
18 one study going to be adequate? No matter which of
19 these terrible suggestions you pick, is one study
20 going to be adequate?

21 MR. SCHUIRMANN: On the one hand,
22 requiring two studies would bring it more in line
23 with what we require for Phase III clinical trials
24 where we want a reproducible result so you have to
25 show us more than once. On the other hand, we

1 approve generic drugs with only one bioequivalence
2 study. So, what would be the basis for requiring
3 two studies for the opposite claim?

4 DR. VENITZ: But you already have two
5 studies. Don't you have a prior study that led to
6 its approval as a bioequivalent generic and now you
7 have a study to disprove it. So, my question is
8 somewhat related to what Les is asking, how do you
9 incorporate the prior information that you have
10 from the fact that your drug got approved based on
11 a bioequivalence study? Because you now have one
12 study done God knows how long ago--

13 MR. SCHUIRMANN: Yes.

14 DR. VENITZ: --but it passed
15 bioequivalence. Now you have done a study, no
16 matter what method you use, that shows
17 bioinequivalence. Are you going to pool the
18 studies? Are you going to use Bayesian to
19 incorporate your prior information or are you
20 completely ignoring the fact that in order to get
21 approval it must have passed a bioequivalence
22 study? And this is not a question to you but to
23 everybody.

24 DR. BUEHLER: Usually when we get a
25 challenge study now we will inform the generic

1 sponsor of the generic application that their
2 bioequivalence has been challenged, and that they
3 can come back to us with additional data, usually
4 another study, which would refute the study that
5 came in. We usually review the study extensively,
6 the challenge study extensively to make sure that
7 the study was conducted properly. We review it as
8 far as it was powered correctly, etc. Then we give
9 the generic firm that was challenged the
10 opportunity to come back to us with a study or else
11 face being downgraded in the "Orange Book."

12 DR. VENITZ: But if they don't come back
13 do you ignore the fact that they must have done a
14 study in the first place that demonstrated
15 bioequivalence?

16 DR. BUEHLER: No, we don't ignore that
17 fact. That is why we leave them on the market
18 while they get the additional data to us. I mean,
19 they did submit a study to us that showed their
20 product to be bioequivalent. Now, whatever
21 happened along the way, you know, whatever water
22 flowed under the bridge between then and the time
23 when we have had the challenge study, you know,
24 sometimes it is a long time. Sometimes
25 formulations change or reference listed drugs

1 change so we give them the opportunity to come back
2 to us with another study to show that they are
3 still bioequivalent.

4 DR. KIBBE: Go ahead, Marc.

5 DR. SWADENER: Is my intuitive notion that
6 these strategies one, two and three could result in
7 failure to agree on the hypothesis that it turns
8 out that is not inequivalent doesn't necessarily
9 say that it is equivalent? Aren't there parts
10 where it is really not equivalent?

11 MR. SCHUIRMANN: We are talking about
12 studies here and there is such a thing as an
13 inconclusive study, a study that does not establish
14 that two products are equivalent and the study also
15 does not establish that the two products are not
16 equivalent.

17 DR. SWADENER: Exactly.

18 MR. SCHUIRMANN: That isn't to be confused
19 with the actual reality unknown to any human being
20 whether they are or aren't equivalent. With these
21 strategies, depending upon how stringent you make
22 it, you could very well have data that, as a
23 clinical, you look at and it worries you--"gosh,
24 these products sure differed a lot in this
25 study"--but it is not conclusive that they are

1 inequivalent. So, yes, you could have that
2 situation very easily.

3 DR. KIBBE: Marvin?

4 DR. MEYER: Gary, did I understand you to
5 say that if in the initial study the generic
6 product came in, let's say, at 80-125, just hit the
7 upper and lower limit, and then the challenge study
8 came in at 79-125 the generic would have to redo
9 their study?

10 DR. BUEHLER: No. That is part of the
11 reason for this exercise, that is, we do face
12 situations like that where we will get very
13 marginal challenge studies submitted and where a
14 reasonable person could say, gee, you if threw
15 another six patients or subjects into that study
16 and you probably would have been 80 or 81. So,
17 what we are looking for here is to try to set up
18 some guidelines as to what will be acceptable as a
19 challenge to the bioequivalence.

20 DR. KIBBE: Let me ask Jurgen's question,
21 which is when the challenge comes in is there any
22 thought to how clinically significant it is what
23 the challenge study shows? I mean, is it
24 clinically significant relative to the use of the
25 drug itself?

1 DR. BUEHLER: The challenge study is
2 reviewed and we make an assessment as to whether
3 the challenge study, as I said, was conducted
4 properly and powered properly. If the condition is
5 that we believe that more subjects, you know, would
6 have thrown it over the line we normally make the
7 generic do another study to prove their
8 bioequivalence. Now, that is a value judgment with
9 respect to what to do. Again, that is one of the
10 reasons we are here right now. We would like to
11 have a little more certainty in making this
12 decision as to when a generic has to repeat their
13 study.

14 DR. KIBBE: Gordon, go ahead.

15 DR. AMIDON: Yes, I think we are, again,
16 treating the BE test just as a simple empirical
17 test, yes or no. I think there have to be other
18 underlying reasons for why there is now a large
19 difference in the performance of the dosage form in
20 vivo, things such as dissolution. I think one
21 should look at other data and have other facts or
22 information supplied by a company saying that it
23 has attempted the bioinequivalence study that they
24 come up with something that suggests that it is
25 bioinequivalent. There should be other facts that

1 support that conclusion, in particular dissolution
2 methodology. So, you should look for more
3 information.

4 DR. YU: That is correct. Of course, when
5 we receive such challenge studies we have to make
6 sure that the study is properly designed and
7 conducted and the conclusion is valid. Secondly,
8 we look at the quality of the sample used to
9 conduct the studies. From the cGMP perspective,
10 from the quality perspective we look at the
11 dissolution of the stability and potency, and so
12 on, all the quality standard samples. Certainly,
13 we also look at the process. As I said, in
14 bioinequivalence we want evidence to show
15 inequivalence and we certainly look at many, many
16 other factors. In other words, we want to say that
17 the decision we are making is a systematic decision
18 instead of being based on one parameter.

19 DR. KIBBE: Nozer?

20 DR. SINGPURWALLA: Yes, C, subscript t,
21 and C subscript infinity--

22 MR. SCHUIRMANN: You mean AUC subscript--

23 DR. SINGPURWALLA: Yes, is that the time
24 index?

25 MR. SCHUIRMANN: It is not an estimate of

1 the time.

2 DR. SINGPURWALLA: It is some index?

3 MR. SCHUIRMANN: When you do these studies
4 you give the products to subjects and then you
5 start taking blood samples from them at specified
6 sampling times, at however many hours, and one of
7 those has to be the last one. Maybe it is 24
8 hours. It would depend on the drug product. So,
9 you can calculate the area under the blood level
10 time curve up to that last blood sampling time for
11 that subject. You have the data for each sampling
12 time and the trapezoidal rule is used to calculate
13 the area. So, that is $AUC_{sub\ t}$.

14 Now, there is a way of taking the last
15 several blood concentrations when you are in what
16 is called the terminal elimination phase, and
17 estimate the elimination rate, and to use that
18 estimated elimination rate to extrapolate that
19 calculated area to theoretical infinite time. That
20 is the $AUC_{infinity}$.

21 DR. SINGPURWALLA: I got the message that
22 $AUC_{infinity}$ is when t goes to infinity.

23 MR. SCHUIRMANN: Yes.

24 DR. SINGPURWALLA: I am not sure that this
25 question is germane, but is there a danger or a

1 pleasure, depending on which side of the fence you
2 are, that you may make a certain decision for a
3 certain time t and your decision would be reversed
4 about your hypothesis had t been something else?

5 MR. SCHUIRMANN: That is really not a
6 question that I am qualified to address. I am sure
7 there could be aspects of the profile, the blood
8 concentration over time profile where the action is
9 in a certain time interval, and if that happened to
10 be the last time you sampled--

11 DR. SINGPURWALLA: In other words, how
12 sensitive is your hypothesis?

13 MR. SCHUIRMANN: I would yield to the
14 pharmacokineticists in the room for that question.

15 DR. KIBBE: Les?

16 DR. BENET: There is definitely that
17 possibility. There was a famous brochure that the
18 Upjohn Company--so that is how long this is--put
19 out comparing two different drugs and they showed
20 equivalence making that error of picking an early
21 time point so that they actually had very
22 different--if they had gone to infinity they had
23 very different times. So, that is very critical
24 and usually what the agency will do or what anyone
25 will do, you want to know that the area under the

1 curve up to t is a very high percentage of your
2 total area under the curve infinity or you would
3 not qualify this as a reasonable study to make a
4 judgment on.

5 DR. SINGPURWALLA: I have a follow-up, a
6 word of caution, are you familiar with the filer
7 problem?

8 MR. SCHUIRMANN: Yes

9 DR. SINGPURWALLA: Do you think you would
10 be a victim of that particular problem here?

11 MR. SCHUIRMANN: There are in
12 bioequivalence assessments but usually not with
13 pharmacokinetic bioequivalence assessments. We
14 sometimes are not doing the analysis on the log
15 transformed endpoints but, instead, there are other
16 types of bioequivalence studies where we are
17 analyzing the untransformed endpoints and we do,
18 indeed, do two one-sided tests based on linear
19 inequalities like $\mu-T$ minus 1.25 times $\mu-R$ and
20 you will reject those two one-sided hypotheses if,
21 and only if the 90 percent filer's confidence
22 interval falls within the interval. So, we use
23 that method. Which aspect of the problem are you
24 referring to?

25 DR. SINGPURWALLA: Well, the filer's

1 problem is the following, that when you have two
2 normal distributions with unknown means and when
3 you take the ratio of their means, then it is
4 possible to get confidence limits which are from
5 minus infinity to plus infinity but with the
6 coverage probability less than 1.

7 MR. SCHUIRMANN: I am aware of that. If
8 your data is such that that would happen, then you
9 would not reject the two one-sided tests and you
10 would not reach a conclusion of equivalence.

11 DR. SINGPURWALLA: But then we would have
12 addressed the comment my colleague made that you
13 will have an inconclusive answer.

14 DR. SWADENER: My question really related
15 to rejecting the case that it is non-equivalent.
16 That doesn't mean that it is equivalent. Right?
17 Because there are some outliers; there are places
18 between the two.

19 MR. SCHUIRMANN: There are experimental
20 outcomes that are inconclusive. If you reject
21 equivalence, then you conclude inequivalence. If
22 you reject inequivalence, then you conclude
23 equivalence. But there are data sets for which you
24 would not reject either.

25 DR. SWADENER: But I thought you said the

1 rationale for trying to define inequivalence,
2 rejecting equivalence doesn't mean inequivalence.

3 MR. SCHUIRMANN: No, I said failing to
4 conclude equivalence doesn't necessarily mean
5 inequivalence. Perhaps that sounds like word games
6 to you but I assure you it isn't.

7 DR. SINGPURWALLA: I think you are facing
8 a statistician.

9 DR. SWADENER: No question about it,
10 right.

11 [Laughter]

12 DR. KIBBE: And a frequentist statistician
13 at that.

14 DR. SINGPURWALLA: It pains my heart!

15 MR. SCHUIRMANN: The take-home message of
16 my presentation was it is not reasonable to
17 conclude bioinequivalence if you do a
18 bioequivalence test and don't conclude
19 bioequivalence. You have to aim a test
20 specifically at seeing whether you can show
21 bioinequivalence.

22 DR. KIBBE: Thank you, Don. Ajaz wants to
23 say something and I guess, Lawrence, you want to
24 get back to the questions?

25 DR. YU: Yes.

1 DR. KIBBE: Good.

2 DR. HUSSAIN: Well, I think this
3 discussion has been focused primarily on the bio
4 topic but the principles, concepts and issues go
5 beyond that and how does this relate to that? Does
6 somebody have any thoughts on that?

7 DR. SINGPURWALLA: Actually, I do.

8 DR. KIBBE: I knew you would!

9 DR. SINGPURWALLA: Again, a problem like
10 this is a problem which should be cast in the
11 framework of decision making or, in other words, it
12 should be cast in the framework of a Bayesian
13 setup, and that is the way you address this kind of
14 a problem where you may have three decisions, three
15 actions--equivalence, inequivalence or
16 inconclusive. That could be a decision and that
17 provision could be made. Of course, it could also
18 be made in the frequentist framework. But I think
19 this is another example of decision making and it
20 should be cast in the same framework.

21 DR. KIBBE: I think the problem we are
22 facing here is the difference that we have in a
23 court of law between preponderance of evidence and
24 beyond a reasonable doubt, and we accept drugs as
25 equivalent when we have the preponderance of

1 evidence. Do we now ask for something beyond a
2 reasonable doubt to reject what we have already
3 accepted, and I think that is interesting. Paul?

4 DR. FACKLER: I just wanted to ask a
5 question, recognizing that there are thousands of
6 generic products on the market and I understand
7 that there have been challenges to those, do you
8 have any idea how many of those have turned out
9 post-approval to be inequivalent to the innovator?

10 DR. KIBBE: He wants a success rate for
11 challenges.

12 DR. BUEHLER: All right. Well, I have to
13 think. I know we have had at least one that I can
14 remember where we had a challenge and when we
15 threatened to downgrade they removed the product
16 from the market. I know that because that was when
17 I was in the Office of Generic Drugs. I am not
18 sure how many more there have been but I do know
19 that there was at least one.

20 DR. YU: I think we just had one right
21 now. In fact, the study is under-powered so it has
22 come back--

23 DR. BUEHLER: But that wasn't removed from
24 the market.

25 DR. YU: It was not removed.

1 DR. FACKLER: Could I ask a question then,
2 how important an issue is this?

3 DR. BUEHLER: I think the importance of it
4 depends on the amount of work it generates to the
5 Office of Generic Drugs with each specific
6 challenge that we get because I have the
7 understanding that the challenge studies are sort
8 of bioequivalence studies, sort of masquerading as
9 bioequivalence studies but they are really
10 channeled to show bioinequivalence or showing
11 failed bioequivalence. Therefore, we look at them
12 really with a fine-tooth comb and, as Lawrence
13 said, we look at all aspects of the drug product
14 that was used in the challenge study. We go out
15 and actually make site visits to inspect the CRO
16 that conducted the challenge study to make sure
17 that the study was conducted properly. So, it
18 really involves a significant amount of resource
19 allocation when we get one of these challenge
20 studies because we take them very seriously. If
21 someone challenges the bioequivalence of a product
22 that is currently on the market we, in the Office
23 of Generic Drugs, take that challenge very
24 seriously and we do put a lot of resources into
25 making sure that it is either valid or invalid.

1 Because of that, we would like to have a little bit
2 better framework under which to sort of, like,
3 unleash these dogs. You know, if we don't have to
4 turn the dogs out we really want to but right now
5 we are.

6 DR. KIBBE: Do you have a comment?

7 DR. DAVIT: Yes, I would like to add to
8 what Gary was just saying. I was directly involved
9 in a challenge several years ago and it was a
10 tremendous amount of work to sort out what was
11 going on. I was a team leader at the time. I
12 pretty much had my entire team working on it. We
13 had project managers working on it. We got the
14 clinical division involved; we had the
15 statisticians involved. We looked at the
16 dissolution. We looked at the RLD. We made many
17 visits to the clinical division to discuss what was
18 going on. We sent an inspection out to the site
19 where the challenge study was conducted. We had
20 meetings with the generic company. So, it was
21 very, very involved. And, the outcome of that
22 particular situation was positive but it took many,
23 many man hours of work from many different people
24 to sort things out.

25 DR. YU: In other words, the effort we put

1 in to clarify some of the concept is well worth it.

2 DR. KIBBE: Do you think we should
3 approach it like they do with a challenge flag in a
4 football game? That if they uphold the challenge
5 they still keep their time outs? And, if the
6 challenge hasn't been upheld they lose their time
7 outs? So, if a company wants to challenge they
8 have to put a bond up to pay for the expense of FDA
9 adjudicating the challenge?

10 DR. BUEHLER: That would be okay!

11 DR. YU: Basically, in many cases if a
12 study comes back it fails to demonstrate
13 bioequivalence instead of bioinequivalence study.
14 As Don has very clearly pointed out, if you test
15 for bioequivalence you simply fail to show
16 bioinequivalence. So with a guidance, if you do
17 want to show that it is bioinequivalence, here you
18 are, this is how to conduct a study so there is no
19 confusion or ambiguity. It is a very clear
20 definition, clear evidence for agency to take
21 action so we can spend all the time to approve
22 generic applications. We received over 500 this
23 year.

24 DR. KIBBE: Marc?

25 DR. SWADENER: Have you thought about

1 whether if, in fact, you clearly defined
2 inequivalence it is going to increase your
3 challenges? Will it, in fact, make your life
4 easier?

5 DR. YU: I think my life would be a lot
6 easier. There is no doubt about it; I am very
7 confident.

8 DR. SWADENER: It may double the number of
9 challenges, or triple.

10 DR. YU: That is certainly a hypothetical
11 question and I am very confident.

12 DR. KIBBE: Jurgen?

13 DR. VENITZ: I am trying to get back to
14 the questions that you want us to answer, Lawrence.
15 I would say you have demonstrated to me that it is
16 different whether you prove equivalence or you
17 prove inequivalence. In other words, they are two
18 different objectives, meaning they require two
19 different studies. So, failing to show
20 bioequivalence is not the same as demonstrating
21 bioinequivalence, which I think is what your first
22 question is all about.

23 DR. YU: Thank you very much--

24 DR. VENITZ: Well, that is my personal
25 answer; I can't speak for the committee. The

1 second one, as far as the challenge study is
2 concerned, in order to demonstrate bioinequivalence
3 which, as I said, is not the same as failing to
4 show bioequivalence, you have to have an adequate
5 and well-controlled study to do that, which
6 includes all the characteristics that you are
7 familiar with. From my perspective, in addition to
8 that you have to have preexisting information
9 suggesting that the drug is bioequivalent because
10 that is what is being challenged in the first
11 place. So, in my mind, the burden of proof is upon
12 the challenger t have an adequate and
13 well-controlled study demonstrating beyond any
14 reasonable doubt, to use Dr. Kibbe's terminology,
15 that they are truly bioinequivalent. So, among the
16 strategies that you are proposing I would use the
17 most conservative one, which I think is number two,
18 meaning that all three endpoints have to
19 demonstrate bioinequivalence. Only underlying
20 those circumstances would you move to the next step
21 which would be removing, I guess, the generic from
22 the market.

23 DR. YU: And some others too.

24 DR. VENITZ: I am sorry?

25 DR. YU: Assuming the quality--

1 DR. VENITZ: Right, just speaking about
2 the testing procedures. I am sure there are other
3 things that you look at.

4 DR. YU: Yes.

5 DR. VENITZ: So, I would say number one is
6 the difference between showing bioequivalence and
7 showing bioinequivalence. Number two, a study to
8 demonstrate bioinequivalence has to be adequately
9 well-controlled, or the equivalent thereof. Number
10 three, the burden of proof is on the challenge
11 sponsor to demonstrate that, and I would suggest
12 strategy two as the most conservative one.

13 DR. YU: Thank you.

14 DR. KIBBE: Anybody else? Marvin?

15 DR. MEYER: I agree with part one, that
16 this is needed. I think, just from a conceptual
17 point of view, if approval means everything has to
18 be between 80 and 125, then for inequivalence
19 everything needs to be less than 80 percent or
20 above, as you have drawn it on your little diagram.

21 I don't know that it is fair to require
22 all three to fail. I think any one should be
23 enough because, after all, it is not fair to expect
24 AUC to always fail along with Cmax. Sometimes AUC
25 is fairly stable and Cmax isn't. So, I would say

1 number one rather than all three.

2 DR. VENITZ: Can I just give you the
3 reason why I disagree with you on that?

4 DR. KIBBE: Please, go ahead.

5 DR. VENITZ: Because you already have a
6 study that demonstrated bioequivalence in the first
7 place. Otherwise, I would be in agreement with
8 you. But it is not like the study stands on its
9 own. You are basically trying to meta-analyze two
10 studies.

11 DR. MEYER: But I would argue that you are
12 just setting it up for the inequivalence people to
13 fail by requiring all three.

14 DR. VENITZ: But I think there is another
15 study demonstrating that there is bioequivalence.

16 DR. KIBBE: And we are waiting for Les to
17 clarify everything for us--

18 [Laughter]

19 --but I think the first point is true,
20 that we need to have the study design to show
21 bioinequivalence, not just that you do a
22 bioequivalency study and if it fails that doesn't
23 work. That is clear. But the argument over
24 whether you want all three items or not, I think we
25 need to fall back on what is the clinical relevance

1 of the thing failing the Cmax component of the
2 bioinequivalency study to a drug that has a large
3 therapeutic index. I think you probably need to
4 put more emphasis in terms of area under the curve
5 if you are going to pick one instead of three. So,
6 I would be inclined to go with my colleague Jurgen
7 and say let me see all three out of whack and then
8 I am ready to get the generic company to do
9 additional studies to balance out what we are
10 doing. Les?

11 DR. BENET: I would like to make a
12 comment--

13 DR. KIBBE: Good.

14 DR. BENET: --and it is something I have
15 worried about for a long time, and that is the
16 stability of the innovator's product from study to
17 study. It sort of gets to Ajaz' question. I have
18 always been concerned about the innovator or
19 generic, at the end of the shelf life, is the
20 product equivalent to the product when it was first
21 approved? So, I think in this criteria there needs
22 to be something that is an evaluation of the data
23 of the innovator product, that it is, in fact,
24 representative of what the agency knows. Because I
25 know that there are situations where you could have

1 end of the shelf life drugs that would fail versus
2 when they are first manufactured. So, I could see
3 how this could easily be manipulated, if I was a
4 manipulative person which I am not, right--

5 [Laughter]

6 --to make a failed study. I don't think
7 it would be that difficult with some drugs. So, I
8 think there needs to be an additional criteria,
9 again no matter which of these three you pick, that
10 the agency has confidence that the innovator data
11 is, in fact, representative in this study. Maybe
12 that is already true, Gary. I don't know.

13 DR. BUEHLER: As part of the review of the
14 study I believe we do look at that parameter.

15 DR. KIBBE: Anybody else?

16 DR. COONEY: Just one point to come back
17 to, in trying to resolve the distinction between
18 one, two or three PK parameters to make the
19 decision on, the issue of clinical relevance that
20 several of you have spoken to strikes me as the
21 most important part of that part of the question.
22 So, my question is it doesn't matter what decision
23 is made, whether it is one, two or three of these
24 parameters, how do you factor into the analysis
25 that you are doing that you have chosen the

1 parameters that, in fact, are clinically relevant
2 for each individual case?

3 DR. KIBBE: Do you want to give an answer?

4 DR. YU: Instead of giving an answer, I
5 guess we have to make some kind of recommendation
6 that, indeed, when we look at those challenge
7 studies the clinical division is heavily involved.
8 We are working as a team in resolving some of the
9 challenge studies, instead of pharmacologists or
10 chemists acting alone.

11 DR. COONEY: Then the question becomes how
12 do you factor that working into the recommendation
13 that is being made so that it isn't just an
14 arbitrary one, two or three or the parameters but
15 that a judgment call is clearly defined in the
16 decision process?

17 DR. YU: That is, indeed, a challenge. We
18 will certainly look at case by case but we do want
19 some kind of clarification so that people know what
20 is going on and what to do.

21 DR. KIBBE: Marvin?

22 DR. MEYER: Two comments. One, I know a
23 body in the street is not a good measure but if the
24 generic product has been out there and has sold
25 five million units, it is probably not that bad if

1 your adverse reaction reports aren't alarming.

2 Secondly, I think the approach of having
3 the inequivalence confidence limit be totally to
4 the left of the right of 80 or 125 is a fairly
5 rigorous kind of assessment because your point
6 estimate then has to be well to the left or right
7 of the upper limit, in other words, quite a ways
8 away. So, I think one is probably all you need,
9 Cmax or AUC.

10 DR. KIBBE: And, if you are going to go
11 with one I would go with AUC. Gordon?

12 DR. AMIDON: I can readily see how a
13 contention of bioinequivalence could generate an
14 awful lot of work for the agency, and it could be
15 done almost frivolously. Therefore, I would be in
16 favor of requiring that it be all three parameters
17 to be bioinequivalent, plus other supporting data
18 like dissolution data to support that there is
19 something really to go after here and that would
20 merit the action and activity, investigation by the
21 agency. Yes, I am all in favor of having a bond
22 posted. If you don't pass, then you lose your
23 money. It is not gambling, is it?

24 [Laughter]

25 DR. KIBBE: That is not legal. But this

1 is, so it couldn't be gambling.

2 DR. BENET: I support that, Art.

3 DR. KIBBE: Les is going to comment. Go
4 ahead.

5 DR. BENET: Thank you. I want to support
6 Marvin's position because this is, as is the
7 difficulty of the correction now--I mean we have
8 very good criteria for approving bioequivalence.
9 The way you have defined bioinequivalence is very
10 difficult criteria that has to be outside the
11 boundary and the confidence interval has to be
12 outside the boundary. For sure, that is going to
13 be so hard to do, and if there is one, then it is
14 real and I think that if one of those three
15 parameters is outside I would go for the one. I
16 think Marvin's argument is a very good argument.

17 DR. MEYER: You agreed with me before.

18 DR. KIBBE: I want somebody to make note
19 of the historical events that Marvin and Les have
20 been agreeing everywhere.

21 [Laughter]

22 If you and I are going to have to back
23 off, then I suggest you look seriously at the area
24 under the curve, more seriously than C_{max} . I
25 think, if anything that might actually meet this

1 criteria where the other two wouldn't, it would be
2 the Cmax. It is the most open to pushing one way
3 or the other.

4 DR. AMIDON: I think I am still a little
5 confused, Les and Marvin. You want to do one
6 parameter. You want to do a test and if any one
7 parameter falls--what is the correct statistical
8 language?--doesn't show bioequivalence or shows
9 bioinequivalence as opposed to all three must
10 showit--it depends on how you word it, all three
11 must show bioinequivalence, that would be tougher,
12 right? That is what I am saying and it is what you
13 are saying. You are saying, Les and Marvin, that
14 is too tough. I am not sure. It makes the agency
15 look like they are trying to sweep everything
16 possible under the rug by having such criteria that
17 it will almost never happen.

18 DR. KIBBE: But the bioequivalence
19 criteria is that way. It requires, you know, both
20 Cmax and AUC to be--

21 DR. MEYER: But if one fails, it fails;
22 not all three. I mean, if Cmax fails it doesn't
23 matter what the AUC was, you failed.

24 DR. KIBBE: We can go around and around on
25 this. One of the nice things about an advisory

1 committee is that we give advice and the agency can
2 just ignore us if they want, and they can look at
3 everybody's advice and when the committee is split
4 they can take the input of each member of the
5 committee and weigh one against the other and do a
6 Bayesian analysis of it and pick the right
7 decision. All I am saying is that if you are going
8 to accept that the study has shown inequivalence
9 because it has shown inequivalence in one of the
10 three parameters, then I would be careful to make
11 sure it was the area under the curve parameter and
12 not a Cmax. I would have less confidence in that
13 personally and I am sure that is biased.

14 DR. SINGPURWALLA: Mr. Chairman, the point
15 you raise has to have one thing in mind. Are these
16 three criteria interdependent? If they are, it
17 makes a big difference. If they are not, it makes
18 another difference. I suspect they are
19 interdependent and that is what you should keep in
20 mind. So, rejecting one is as good as rejecting
21 all if they are interdependent. If they are not,
22 then the kind of things you mentioned do become
23 serious, or the kind of things that Marvin
24 mentioned do become serious. I am asking the
25 question are they interdependent in your judgment.

1 DR. VENITZ: I think they are
2 interdependent and I think the differences between
3 the two strategies are marginal. In other words,
4 if you reject AUC infinity you are likely to reject
5 AUC-t as well. There is a little less
6 interdependence between the Cmax and the area
7 estimates. So, you are really splitting the
8 difference that is very small.

9 DR. SINGPURWALLA: Did I agree with you?

10 DR. KIBBE: I don't know. I need a
11 decision tree to find out whether we agree or not.
12 Has anybody got anything else? Lawrence, do you
13 need anything else from us or have we given you
14 enough information to help you go forward?

15 DR. YU: I think so.

16 DR. KIBBE: Then I propose that we take
17 our break. We have two more topics to cover after
18 break. We are breaking right on schedule. We will
19 be back to do topical bioequivalence at a few
20 minutes before 3:00.

21 [Brier recess]

22 DR. KIBBE: We have a cadre of taxis
23 waiting at 4:30. We want to be finished. We want
24 to have time for topical bioequivalence, such a
25 wonderful topic and Lawrence again is going to

1 start off, only he has no slides.

2 Update--Topical Bioequivalence

3 DR. YU: The October, 2003 advisory
4 committee meetings report and, in fact, manuscript
5 have reviews and systematic reviews of the
6 challenges in developing pharmaceutical or
7 bioequivalence criteria for topical products. I
8 think we sent it to you one month ago and this is
9 the work that was developed in collaboration with
10 Dr. Jonathan Wilkin. It also further developed the
11 Q3 concept.

12 So, today we want to share with you and
13 seek your feedback. For example, are we on the
14 right track? We will publish this manuscript very
15 soon to initiate a dialogue and then bring back to
16 you the formal proposal. We will have Dr.
17 Lionberger give you an overview of this paper.
18 Rob?

19 Establishing Bioequivalence of Topical
20 Dermatological Products

21 DR. LIONBERGER: Today I am going to give
22 you an update on our current efforts to develop
23 methods to demonstrate bioequivalence of topical
24 dermatological products.

25 [Slide]

1 The current state of topical
2 bioequivalence is that for almost all products, for
3 almost all locally acting dermatological products
4 clinical trials are necessary to demonstrate
5 bioequivalence. So, I am just going to give you
6 some quick examples of the kind of clinical trials
7 that are actually needed for this demonstration.
8 These are just recent submissions to the Office of
9 Generic Drugs.

10 [Slide]

11 As you can see here, the number of
12 subjects used in these comparisons--these are all
13 for topical antifungals, there were three-arm test
14 references placebo studies in patients. They used
15 700, 400 and 400 subjects. Here is just the
16 percent cure rate for the test and the reference
17 product. The reference product is the RLD. Then,
18 the 90 percent confidence interval on the
19 difference between the test and reference cure
20 rate. The goal for this is to be within minus 20
21 to plus 20.

22 So, you can see that even with these large
23 numbers of subjects these studies still came close
24 to failure. So, if you retrospectively looked at
25 the power of these studies, you would find that

1 these studies probably had at least a 50 percent
2 chance to fail even with that large number of
3 subjects.

4 [Slide]

5 So, there are consequences to having this
6 cost to demonstrating bioequivalence. It is a
7 barrier to product improvement and also the access
8 of generic products to the market. Innovator
9 products need to use bioequivalence studies after a
10 formulation change. These clinical endpoints have
11 high variability and so, if you think of what the
12 purpose of bioequivalence is, it is to demonstrate
13 formulation similarity and these are just clinical
14 endpoint and there are just not good methods to do
15 that. Also, these lead to possibly unnecessary
16 human testing in these studies that have hundreds
17 of patients to say unapproved products.

18 [Slide]

19 So, based on this, some of the goals that
20 we have are to identify when clinical studies are
21 not necessary to demonstrate bioequivalence of
22 topical products and to provide some alternative
23 methods that will still assure product quality.

24 [Slide]

25 In this talk I am going to outline and

1 give you an update on a strategy to reach these
2 goals. Our bioequivalence strategy starts with a
3 mechanistic understanding of the topical drug
4 absorption process. Then we will identify the key
5 parameters that affect bioavailability. You heard
6 a similar approach in Prof. Amidon's talk this
7 morning where he talked about the mechanistic basis
8 for oral absorption and how that led to a
9 biopharmaceutical classification system, and the
10 possibility for bio waivers based on an
11 understanding of the mechanistic processes
12 involved.

13 So, once these key parameters are
14 identified, then we can choose the in vitro and in
15 vivo tests that best measure and detect differences
16 in these key parameters. As part of the selection,
17 we are going to look at classification of
18 formulation similarity. If two formulations have
19 exactly the same components, exactly the same
20 compositions we might focus a different set of
21 tests than if they had different excipients and
22 vastly different formulations.

23 This talk is just giving you the first
24 step to presenting a decision tree that will allow
25 us to decide when we might not need clinical

1 studies to demonstrate bioequivalence. This
2 decision tree will be specific for different sites
3 of action. So first we will look today primarily
4 at products that are targeting the very top layer
5 of the skin, the stratum corneum. Finally, I will
6 talk about some of the external research projects
7 that we have under way to support development of
8 this decision tree.

9 [Slide]

10 So, the first thing I am going to talk
11 about is just an overview of the topical drug
12 absorption process. Here I have a schematic of the
13 skin showing different layers. If you think about
14 what happens when you apply a topical product,
15 first the vehicle is applied to the skin and then
16 the drug must dissolve in the vehicle, if it is not
17 already dissolved, and fused to the surface of the
18 skin.

19 So, the top layer of the skin is the
20 stratum corneum and this is a very dense layer,
21 about ten microns thick, and it is the primary
22 barrier to keep things outside of the body. There
23 are two paths across the stratum corneum, either
24 the drug can partition from the vehicle which is
25 placed on the surface of the skin into the stratum

1 corneum and diffused through the stratum corneum,
2 or there is the possibility that drugs applied to
3 the surface of the skin can travel through the hair
4 follicles and bypass the stratum corneum.

5 If we look at sort of the various areas
6 available for transport by these two mechanisms and
7 we assume that there is no bias in the drug
8 choosing one path over the other, the flux through
9 the stratum corneum will be about 30 times more
10 than the transport through the hair follicles if
11 there is no bias between the two pathways, if the
12 drug is equally likely to go into one path or the
13 other.

14 Once the drug gets across the stratum
15 corneum, then the tissue behind that is much less
16 dense. The drugs can fuse much faster; this is
17 much less of a barrier to the drug finally reaching
18 the systemic circulation.

19 [Slide]

20 So, as we think about this process we have
21 to remember that we are looking at bioequivalence
22 and the goal of bioequivalence is to detect
23 differences in the formulations. It is not really
24 about how complicated this absorption process is
25 and how well we can understand that. It is really

1 how well we can detect differences in the
2 formulations that have already been demonstrated to
3 contain drugs that work in clinical trials.

4 [Slide]

5 Again, as Lawrence has said and we have
6 heard many times today, bioequivalence is defined
7 as no significant difference in the rate and extent
8 of absorption at the site of action. So, if we are
9 looking at products where the site of action is
10 this top layer of the skin, the two sort of rates
11 that can possibly important for determining this
12 are, first the rate at which the drug might leave
13 the formulation and, second, the rate at which the
14 drug might cross this barrier of the stratum
15 corneum. So, if we understand those two rates,
16 then we can understand what rate is actually
17 controlling the rate at which the drug actually
18 reaches the site of action. That is the thing that
19 we are after in bioequivalence, to demonstrate that
20 the two formulations will perform the same.

21 [Slide]

22 Usually, in almost all cases, the stratum
23 corneum is the limiting resistance and we
24 characterize this limiting resistance by
25 permeability. The permeability just includes

1 contributes from the diffusion of the drug through
2 the stratum corneum, the thickness of this layer
3 and the partition between the vehicle and the
4 stratum corneum. So, we can write an expression.
5 The J is the total flux. That is the sort of rate
6 at which drug is reaching the body and that is what
7 we are interested in when we are making our
8 comparison of bioequivalence. This is related to
9 the permeability times the area that is available
10 times the concentration of the drug that is present
11 in the vehicle. We can sort of do a little bit of
12 manipulation with this partition coefficient here,
13 where S is just the solubility of the drug either
14 in the membrane stratum corneum or the vehicle.

15 So, this is sort of split up into
16 contributions that are just properties of the skin
17 and just properties of the formulation. From this,
18 you can see it is the thermodynamic activity, the
19 ratio of the concentration to the solubility in the
20 vehicle that is the driving force for what the flux
21 is. So, if the membranes were the same between two
22 products and presumably if they were applied to the
23 same person it is the same skin and you would think
24 that these two products would be the same, and it
25 is just essentially this activity in the

1 formulation that would determine how fast the drug
2 arrives at the site of action.

3 But the most sort of important
4 complication here and the thing that we are sort of
5 worried about when we are looking at what methods
6 are best to develop, bioequivalence methods, is
7 that properties of the formulation can alter the
8 barrier properties of the skin. So, if by applying
9 the formulation, either the formulation itself or
10 the excipients in it, if they can alter the
11 properties of the skin they will change this flux
12 independent of what is happening in the
13 formulation. There is a whole technology and
14 design in topical formulations to, say, improve
15 bioavailability where there are lots of adjuvants
16 that are known to reduce the barrier and increase
17 the flux. This is not just hypothetical
18 possibility but a known situation that can happen.

19 [Slide]

20 Once we recognize tat this is sort of the
21 key mechanism. Then we can sort of identify what
22 are possible causes of bioequivalence for products
23 that have the same drug content. So at different
24 stages in the absorption process we can identify
25 things that possibly can go on.

1 First of all, at the application stage if
2 the two products spread differently on the
3 skin--say, the viscosity or the rheology is
4 different, you could have different outcomes in
5 terms of how they contact the skin, the amount of
6 area each product has if they are applied
7 similarly. If we look into the formulation we can
8 imagine a case where, well, what if a drug doesn't
9 leave the formulation at all? Say, the drug is
10 present in the formulation as suspended particles
11 and these particles just don't dissolve, the drug
12 never leaves the formulation so, even though you
13 have the same amount of drug in the formulation but
14 it doesn't get out of the formulation, the two
15 products might not be equivalent.

16 Again, the thermodynamic activity in the
17 vehicle might be different. In one case the drug
18 might be dissolved into a cream and partitioned
19 between the oil and water phases and you have one
20 concentration of drug, one free concentration of
21 drug in the vehicle. If you had a suspension where
22 the particles were dissolving the dissolution rate
23 might control what the free drug concentration is.
24 And, this could happen if you had the same overall
25 drug content.

1 Finally, when you reach stratum corneum,
2 again as I said, formulations might have different
3 effects on the stratum corneum or you might have
4 one formulation preferring the follicular pathway.
5 This is particularly known to happen when you have
6 particles of certain sizes that might bias toward
7 this particular transport pathway. So, that is
8 primarily a concern when you have the drug present
9 in the formulation as a suspension.

10 So, if you we think about the mechanism
11 and possible reasons why products might not be
12 equivalent, that leads us to think about how can,
13 or is it possible that in vivo or in vitro tests of
14 the formulation can measure these differences
15 adequately enough to replace clinical trials.

16 [Slide]

17 So, I just quickly want to point out two
18 sort of most important in vitro tests that are
19 relevant to these types of products. The first is
20 diffusion cell. Just a quick description of what
21 that it is, in a diffusion cell it measures the
22 rate at which the drug leaves the formulation and
23 crosses an artificial in vitro membrane into
24 receptor fluids. So, in most implementations of
25 diffusion cells the membrane in the diffusion cell

1 is very permeable to the drug so the membrane is
2 not the limiting resistance. In this case, this
3 really measures how fast the drug is actually
4 released from the formulation or diffused from the
5 formulation, and also the rate of release and
6 diffusion is also proportional to the fraction of
7 the free drug. So, it gives you a sense of whether
8 or not the drug is actually bound to the
9 formulation or is free to transport into the skin.

10 Because of this fact that these devices
11 are usually used with highly permeable membranes
12 they are not very predictive of bioavailability in
13 vivo because in vivo bioavailability is usually
14 controlled by the resistance due to the stratum
15 corneum itself. But these tests have been shown to
16 be very sensitive to formulation differences.

17 There is also an important safety role for
18 this test. If you imagine applying a topical
19 product to damaged skin where the barrier function
20 of the stratum corneum has been breached for some
21 reason, perhaps by disease, then the drug release
22 to the patient is going to be determined by how
23 fast it is released in the formulation, which is
24 exactly what is measured in this type of test.

25 The other key in vitro test is a measure

1 of the rheology or how the formulation flows. This
2 would determine how vehicle spreads on the skin.
3 This type of characterization is also important to
4 classifying the proper dosage form for the
5 formulation. At the last advisory committee
6 meeting you heard about a decision tree to classify
7 different topical semi-solid dosage forms, and part
8 of that decision tree involved evaluating rheology
9 or how easy it was to make a formulation flow. So,
10 that is part of the testing that is already
11 involved in these products.

12 [Slide]

13 If you have a drug present in a suspension
14 form you have additional tests that might be very
15 relevant to apply. It might be direct measurements
16 of particle size in the formulation or measurements
17 of the dissolution rate in the vehicle as well.

18 [Slide]

19 There are also in vivo tests that can be
20 used to characterize topical formulations. The two
21 most important ones in this case are a skin
22 stripping method where you apply the formulation to
23 the skin, after a certain amount of time remove it,
24 then remove the layers of skin and assay them for
25 the actual drug content in the skin layers, or

1 microdialysis techniques where you insert a
2 capillary under the skin and you measure the
3 concentration that passes through the skin into the
4 lower layers of the dermis.

5 There have been experimental reports in
6 the literature on how they are used. But in this
7 context, please remember that the important role of
8 in vivo tests is to quantify the effect of the
9 formulation on the skin itself. If we didn't
10 believe that there is any possibility that the
11 formulation would change the barrier properties of
12 the skin we would be much more confident that just
13 assays of the in vitro performance would be
14 sufficient to determine whether or not two products
15 were bioequivalent. But since we have reason to
16 believe that formulations can affect the skin
17 properties, then we would like to at least have our
18 battery of tests in some way to measure this
19 effect. So, the role of these in vivo tests is
20 sort of very specific.

21 They tell you a lot more information than
22 this. They tell you about the amount of
23 experience, concentration, presence of different
24 aspects of the skin as well. We are specifically
25 here looking formulation effects since we are

1 looking to determine bioequivalence.

2 [Slide]

3 Now that we have sort of identified the
4 whole list of tests, the question is how do you
5 decide which tests should be relevant to which
6 types of products. So, again, here we are going to
7 be talking specifically about using formulation
8 similarity as part of that classification. So,
9 here we define Q1 similarity as products that have
10 the same components. Q2 similar products have the
11 same components but also present at exactly the
12 same amounts. So, Q3 means we have the same
13 component and the same amount, but they also have
14 the same arrangement of matter or microstructure of
15 the material so that they are sort of identical not
16 just in composition but also in the arrangement of
17 the material. So, based on classification of the
18 formulation difference between test and reference,
19 we want to choose the appropriate in vivo or in
20 vitro test.

21 So, in all the following discussions,
22 since we are talking about bioequivalence we are
23 really talking in the beginning, before we even
24 talk about bioequivalence, about products that are
25 pharmaceutically equivalent and that means they

1 have the same active ingredient in the same dosage
2 form so we are comparing a cream versus a cream,
3 not a cream versus an ointment or versus a
4 solution, at the same strength, the same dosage
5 form of the active ingredient and also targeting
6 the stratum corneum. So, again, all those things
7 are sort of prerequisites to determining if the
8 products are bioequivalent.

9 [Slide]

10 So, if we start at the sort of highest
11 degree of similarity, if we know the products are
12 Q3 similar and have the same composition, the same
13 structure, you might regard them as identical and,
14 by definition, bioequivalent.

15 One example in sort of a regulatory scheme
16 where this comes up is for topical solutions. If
17 it is a solution it is in thermodynamic
18 equilibrium. If you know that it is Q1 and Q2, has
19 the same composition, then because it is in
20 thermodynamic equilibrium you know it has the same
21 arrangement of matter as well. So, we often give
22 bio waivers for products that are true solutions.

23 Unfortunately, for formulations that are
24 more complex than simple solutions it is harder to
25 directly tell that they are exactly identical in

1 their formulation, and possibly manufacturing
2 differences might result in products that have the
3 same composition having different arrangements of
4 matter. A simple example of that might be a case
5 where you have the same composition but in one
6 formulation your particle size is different from
7 the other one. So, that is something that is a
8 non-equilibrium state and usually comes from
9 differences in the manufacturing process of the raw
10 materials. So, those are sort of the origins of
11 cases where products might have the same
12 composition but have differences in their Q3
13 identity.

14 [Slide]

15 Now if we step down a little bit and look
16 at products where we just know that they are Q1 and
17 Q2 identical, we want to sort of identify what kind
18 of differences they could possibly have. So, here
19 it is sort of thinking if you deliberately took
20 products with the same composition and you tried to
21 manufacture them in a way where you actually get
22 differences in product formulation, what kind of
23 things would you have to do?

24 So, one of those is that rheology might be
25 different. The flow maybe might be different. If

1 you take a cream or some sort of emulsion and you
2 changed the particle size of the droplets you might
3 actually change dramatically how the material
4 flows. It might adhere to the skin differently and
5 you would end up with different performance even
6 though the products have exactly the same
7 composition. By having some non-equilibrium
8 formulation in manufacturing, you might be able to
9 change the solubility of the free drug by
10 increasing the sort of surface area of, say, an oil
11 phase. You know that in these products you have
12 the same excipients. Presumably they should have
13 mostly the same effect on changing the barrier
14 products of the skin. But you might have a case
15 where in one formulation the excipients might be
16 released at a different rate and if you have
17 suspensions, as mentioned before in the particle
18 size example.

19 If we think about these things, these are
20 all sort of manufacturing differences and the
21 question we want to ask is are the in vitro tests
22 that we have able to detect these types of
23 manufacturing differences? So, again, the rheology
24 we can measure directly. In vitro release is a
25 very sensitive measure of are things diffusing

1 through the formulation at the same rate; will
2 there be any differences in how sort of excipients
3 or drug reach the skin itself from the formulation.
4 Those two can be directly measured.

5 So, the question that sort of hinges on
6 this is for products where you know that they are
7 pharmaceutically equivalent, you know they have
8 exactly the same composition, in this case are in
9 vitro tests sufficient to ensure bioequivalence?
10 Again, all of these differences, all these possible
11 differences are really due to manufacturing
12 processes. As I said before, in vitro tests are
13 probably the most sensitive and best evaluation
14 methods for detecting manufacturing differences
15 rather than relying on clinical trials, which are
16 very insensitive to those types of differences.

17 [Slide]

18 If we sort of step down the level one more
19 time and we look at products that are just Q1
20 identical, they just have the same components but
21 maybe in different amounts, in this case we might
22 be more concerned that the different amounts of,
23 say, excipients in the formulation might have
24 different effects on the skin barrier. They might
25 change the solubility of the drug in the

1 formulation. So, in these cases we might be more
2 likely to say that in this category you might want
3 to do some sort of in vitro test to ensure that the
4 change in the formulation does not have a
5 significant effect on the barrier properties.

6 [Slide]

7 Finally, if you go down to products that
8 are Q1 different, which means they might have a
9 different excipient between test and reference
10 products, again similar discussion to the previous
11 tests for the in vitro tests, but here it seems
12 that you would always want to do some sort of in
13 vitro test to make sure that the new excipients are
14 not having a different effect on the skin barrier
15 process.

16 [Slide]

17 Just summarizing sort of a little bit of
18 our current thinking, we go to the beginning of the
19 process of developing this type of decision tree
20 and looking at classifications based on formulation
21 similarity and the level of in vitro and in vivo
22 testing that you might want to do in those
23 different categories.

24 [Slide]

25 So, as we were sort of developing this, we

1 sort of identified key problems that we wanted to
2 look at. So, we have sort of two ongoing external
3 research projects, one which with Colorado School
4 of Mines where we are looking at the in vivo skin
5 stripping method, specifically looking to reduce
6 variability and also accuracy of the method to
7 measure both the diffusion coefficient and the
8 partition into the formulation, so measuring
9 effects of the formulation on the stratum corneum
10 and its partition in it. The key aspect there is
11 as you are doing the skin stripping, measuring the
12 thickness of skin removed via transepidermal water
13 loss.

14 We also have another project going on.
15 So, we have emphasized sort of in vitro
16 characterization and its ability to detect
17 manufacturing differences. We have a project with
18 the University of Kentucky where they are
19 manufacturing different formulations that are Q1
20 and Q2 identical, so exactly the same composition
21 but using different manufacturing processes,
22 primarily for cream formulations so oil and water
23 emulsions, and then looking at these known
24 differences and seeing how much difference can we
25 manufacture looking at the ability of the

1 rheological and in vitro release tests to detect
2 these manufacturing differences.

3 With that, I would like to thank you for
4 your attention and answer any questions that you
5 might have.

6 DR. KIBBE: Anybody have any questions?

7 DR. FACKLER: I have one.

8 DR. KIBBE: Good.

9 DR. FACKLER: Looking at the decision tree
10 and then at the examples that you gave at the very
11 beginning, the three examples, to me, showed
12 products that were similarly efficacious and I am
13 wondering if in your decision tree you are
14 suggesting that--I don't know if those products are
15 Q1 and Q2 or Q3--but being that they are similarly
16 efficacious, is it important whether or not the in
17 vitro tests for those products pass?

18 DR. LIONBERGER: Well, I think we are
19 trying to provide an alternative framework so the
20 idea is that, certainly, you can have products that
21 will give similar efficacy and they won't match at
22 all the in vitro tests. It is certainly possible
23 to come up with products that have different
24 viscosities, different in vitro release rates,
25 especially since that is not a limiting step, and

1 still be bioequivalent in a clinical study. So, we
2 are trying to provide sort of an alternative
3 pathway. It is not that sort of this decision tree
4 will determine bioequivalence; it is sort of an
5 alternative pathway to doing a clinical study. So,
6 it is basically up to the sponsor to decide do we
7 want to try to characterize our product very well
8 in vitro or just do some sort of clinical study,
9 and they have to balance the costs to those two
10 different pathways.

11 DR. FACKLER: The only reason I ask is
12 thinking back on the nasal products, there is a
13 requirement for bioequivalence that they pass both
14 the in vitro studies and the clinical study. So, I
15 am wondering if that is the same direction FDA is
16 going in for the topical products.

17 DR. HUSSAIN: I think right now this is
18 simply our current thinking of moving away from ten
19 years on DPT and so forth, and starting fresh.
20 Again, going to a mechanistic basis, here is
21 another highly variable situation and I think the
22 mechanistic basis decision tree up front as an
23 approach to providing all possible alternatives is
24 the direction. But at the same time, I think we
25 need to keep in mind that in many of these cases

1 some of these attributes are critical variables and
2 they will need to be controlled during
3 manufacturing lot-to-lot anyway.

4 DR. KIBBE: Judy?

5 DR. BOEHLERT: Have you also considered in
6 these studies looking at how creams or ointments,
7 or whatever, age? Because there can be differences
8 that develop that are formulation dependent or not.
9 For example, it comes out a solution; you could get
10 crystal growth if it is not in solution to begin
11 with. So, what seems to be equivalent to start off
12 with may not be as the product ages.

13 DR. LIONBERGER: That would be part of
14 sort of the chemistry manufacturing controls to
15 ensure the stability of the product over its shelf
16 life. Is that what you are talking about?

17 DR. BOEHLERT: Exactly, that is what I am
18 talking about. Over the shelf life of a lot of
19 creams you will get crystal growth and the efficacy
20 of that cream will change because the crystals
21 start to grow and they don't have the same
22 transport property that they did.

23 DR. LIONBERGER: You would want to have in
24 vitro tests for stability to evaluate those
25 differences, if they occurred.

1 DR. KIBBE: Gordon?

2 DR. AMIDON: Yes, Bob, I would like to
3 commend you. I think you have really brought a
4 good focus to how to apply and rationally go about
5 in vitro testing for topicals, which are more
6 complicated than oral, as you have described. That
7 is why I have stayed away from it. The dilution
8 that you get in the stomach is an enormous
9 advantage to regulating oral products, but I think
10 the enumeration of the factors you are really very
11 much on track with, simplifying or quantitating the
12 differences.

13 I like the idea of starting out by looking
14 at formulations that have qualitative similar
15 components because they are maybe going to have
16 similar effects on the permeability; similar
17 effects on the thermodynamic activity; similar
18 evaporation rates of spreading rates--start with
19 something that is manageable and then go off into
20 different excipients where it is more complicated
21 and determine how you might characterize that. I
22 think it is a very difficult process and you are
23 not going to be able to simplify everything but you
24 can simplify some things and at least characterize
25 where we feel confident about the in vitro test and

1 where in vivo testing is needed. So, I think it is
2 really an excellent start.

3 DR. KIBBE: Ajaz?

4 DR. HUSSAIN: I think this is more focused
5 on understanding the mechanisms first and then
6 deciding what is critical and what is not critical,
7 and how it relates to performance. I totally agree
8 with you, here is a much more complex system from a
9 physical-chemical perspective compared to the
10 tablets and how that happens, and here is a highly
11 variable drug situation also. So, this example
12 relates totally to the previous disease that we had
13 on highly variable drug products.

14 DR. KIBBE: Anybody? No? Good.

15 DR. SELASSIE: In terms of your Q1
16 differences, have you looked at the role of
17 hydrophilicity, especially in terms of the
18 different excipients and what effect they have on
19 follicular transport versus stratum corneum?

20 DR. LIONBERGER: Yes. Certainly the
21 partition between sort of the effect of the
22 formulation on different transport paths would be
23 determined by the partition between the two phases.
24 So, I don't think that just sort of changing the
25 excipients will have a big effect on partition

1 between the two things since they are both
2 partitioning from the same vehicle phase into
3 either the stratum corneum of the sebaceous fluid.
4 So, it is not going to be sort of different unless
5 you have some sort of mechanism by which it can be
6 biased toward the follicle, things like
7 micro-motions or things like that.

8 DR. KOCH: I just had a question and it is
9 related but not necessarily. We heard that using
10 this topical evaluation is perhaps more complicated
11 than the dissolution one would have in the stomach.
12 But what about another form, a suppository? Are
13 there methods in place--and obviously it is not
14 exactly what you would call a topical, but are
15 there similar equivalence studies in place that
16 either can be drawn from, particularly as you go
17 into some of the European dosage forms, to validate
18 or add to this particular study?

19 DR. HUSSAIN: I think the key is that we
20 often struggle when the site of action is local.
21 Now, rectal suppositories often are for systemic
22 absorption. If they are for systemic absorption,
23 then our current system handles it fairly nicely.
24 But if they are for local effects, and anything
25 that we have to deal with for localized effects, we

1 have challenges, inhalation, topical and so forth,
2 where the site of action is the tissue adjacent to
3 where the delivery is. So, those are sort of the
4 common challenges we face.

5 DR. KIBBE: Pat, do you have something?

6 DR. DELUCA: I just wonder if this is
7 going to extend to the transdermal delivery
8 devices, the patches, and all?

9 DR. LIONBERGER: This is mainly for
10 products that are locally acting so if you can
11 measure concentration in the blood and sort of
12 reduce the standard pharmacokinetic measurements to
13 do bioequivalence.

14 DR. KIBBE: We are clearly talking about
15 drugs that act in the stratum corneum. But the
16 direction that drugs move from the applied product
17 is into the stratum corneum and then out. So, now
18 that begs the question where they go after that,
19 and can we measure it there as a surrogate for it
20 being in the stratum corneum. I will argue that
21 our ability to measure trace amount of things has
22 gotten better. I remember the reason we actually
23 even started doing pharmacokinetics is because the
24 Bratton Marshall was invented and we actually could
25 measure sulfa drugs and therapeutic concentrations

1 for the first time. So, has anyone thought about
2 the possibility of looking for trace amounts just
3 to show that it has crossed and penetrated into the
4 capillaries?

5 DR. LIONBERGER: Sometimes there is
6 concern that the site of action really is the
7 stratum corneum. You don't know how much is
8 accumulating there versus other parts of the skin.

9 DR. HUSSAIN: I think the discussions have
10 always been in terms of two aspects, safety and
11 efficacy aspects. Now, if the site of action is
12 the stratum corneum or the dermis or the follicles,
13 and so forth, clearly that is important from an
14 efficacy perspective. But where it goes next also
15 is important from a safety perspective and often we
16 will have some coverage of that, and so forth.

17 But I think the challenge we have had for
18 the last ten years is that the localized delivery
19 to site of action is the focal point for discussion
20 and looking at systemic circulation because, after
21 topical application, you could look at urinary
22 excretion or even blood levels but that is
23 generally considered from a safety perspective, not
24 to demonstrate bioequivalence because it has
25 crossed over and it is not the site of action.

1 DR. KIBBE: But you recognize that
2 bioequivalence has always been aimed at evaluating
3 the dosage form.

4 DR. HUSSAIN: Yes.

5 DR. KIBBE: So, once it gets into an
6 individual stratum corneum, no matter how long it
7 takes to get out, that is a direct measure of how
8 well it got out of the dosage form, and if you can
9 find it and quantitate it, it is a measure of what
10 happened before. So, I think as we get better with
11 LC, MSMS and we can find them it might even be
12 better for some of these companies rather than
13 doing 728 patients to look at percent cure rate.
14 If you can find it with trace amounts with a lag
15 time of an hour and a half, and look at it for
16 three or four hours, wouldn't that be acceptable?

17 DR. HUSSAIN: It has not been acceptable
18 for the last ten years. That has been the debate
19 because, if you recall the debates that we have had
20 it was the localized concentration that the
21 clinicians wanted. I could actually argue that
22 measuring systemic circulation can actually
23 indirectly give you that assessment, but we haven't
24 been able to convince the rest of the world on that
25 yet, especially the dermatology community. So, I

1 think that is a challenge. But also I was hoping
2 that we can also bring a lot of imaging
3 technologies to bear on this.

4 DR. KOCH: That is exactly the next point
5 I was going to make because a lot of the imaging
6 technologies, as they are now being applied for
7 physical measurements--I have seen different things
8 showing up that have to do with--well, just the
9 thing I mentioned yesterday about studying
10 coatings. Using the same technology we are now
11 able to get below some of those levels down to 100
12 microns or increasing all the time, and the
13 sensitivity is improving. So, at least from an in
14 vitro method, I think a series of imaging
15 technologies should be able to begin showing some
16 value there.

17 DR. HUSSAIN: We just started a process to
18 look at terahertz microscopy, a spatial aspect of
19 looking at chemical distribution within membranes,
20 and so forth. The technology is evolving rather
21 quickly so we may see some solutions out there.

22 DR. KIBBE: Anybody else?

23 DR MEYER: Silence is interpreted as
24 negative. I think you have incorporated almost
25 everything we talked about here that we would like

1 to do for many things. We would like to know more
2 about the mechanism. We would like simpler, or
3 dissolution tests that meant something, or in vitro
4 tests that mean something. We would like a
5 decision tree. It seems like everything everybody
6 mentioned about some of the other problems you are
7 incorporating. You are testing in vitro, trying to
8 look at manufacturing and effects on topical
9 variability. So, I think you are covering a lot of
10 basis and doing a good job.

11 DR. KIBBE: Yes, I think you are right.
12 The one thing that you need to keep in the back of
13 your mind is that the source of the excipient is
14 going to have a dramatic effect sometimes on their
15 viability and their physical and chemical nature.
16 So, the company ought to have good characterization
17 for all their excipients coming in when you get the
18 chemistry data for the Q1 and Q2 evaluations
19 because they don't really characterize the
20 excipients coming in. It is hard for them to be
21 assured that they have gotten a good, consistent
22 product.

23 DR. COONEY: If I can just add one more
24 point, when I think back on studies that I have
25 done where I have made mistakes, the most common

1 mistake is not to have looked, really looked at
2 what I am doing. So, using imaging and microscopy
3 to visualize what is there should not be
4 overlooked.

5 DR. YU: Since the imaging technique has
6 been mentioned a couple of times, I just want to
7 update you. In fact, as we are speaking right now
8 the studies being conducted, hopefully, will have
9 some results very soon on topical imaging at the
10 University of Kentucky. Thank you.

11 DR. KIBBE: Is the agency happy with the
12 discussion? Okay? Well, we have our last
13 presentation and then Dr. Hussain will summarize.

14 DR. HUSSAIN: As Nakissa is coming over to
15 talk, all the topics that we have discussed are
16 interconnected, and one of the issues that Nakissa
17 wants to bring to your attention is the issue of
18 nanotechnology-based drug delivery systems.
19 Currently, there are a number of issues--confusion
20 to a large degree with respect to nomenclature,
21 definition and so forth. So, as she talks about
22 that, I think you will see what we are trying to do
23 to address some of these.

24 Future Topics--Nanotechnology

25 DR. SADRIEH: Good afternoon.

1 [Slide]

2 The last presentation at this advisory
3 committee meeting will be on nanotechnology. This
4 is an awareness topic so this is going to be a very
5 short presentation.

6 [Slide]

7 Why the interest? Nanotechnology is a
8 rapidly growing area of science. You just have to
9 look at the number of publications with the word
10 nanotechnology in the title. With regards to CDER
11 interests, it is anticipated to lead to the
12 development of novel and sophisticated applications
13 in drug delivery systems. The private sector,
14 academic centers and federal agencies are all
15 developing substantial programs in nanotechnology,
16 and there are significant research dollars being
17 invested in this area. Approximately 3.7 billion
18 dollars have been invested by the U.S. government
19 projected for the next four years. So, this is a
20 major area of research.

21 [Slide]

22 This talk will focus on the regulatory
23 considerations of nanotechnology, and specifically
24 as they apply to CDER products. We have identified
25 four areas that we would like to talk about. The

1 first one is nomenclature, and quality, safety,
2 facility/environmental issues. I will just go over
3 each one of these things right now briefly.

4 [Slide]

5 For nomenclature the National Science
6 Foundation has a definition for nanotechnology
7 presently, which is anything with a dimension less
8 than 100 nanometers is considered nanotechnology.
9 However, for CDER purposes we need to first define
10 what are some of the nomenclature criteria, and
11 then having defined these criteria we will need to
12 develop a definition that will be appropriate for
13 CDER, and then identify the potential
14 nanotechnology applications to CDER.

15 [Slide]

16 Regarding quality, for products that are
17 going to be called nanotechnology we need to
18 consider these five elements here. The first one
19 is characterization of the nanomaterials;
20 description of the critical attributes; assurance
21 of stability; manufacturing and controls; and then
22 drug release and bioequivalence testing issues.
23 These all have to be identified and described.

24 [Slide]

25 For safety, pharmacology and toxicology

1 studies have normally addressed the safety issues.
2 Currently, we believe that the studies that we
3 require for any drug the pharmacology and
4 toxicology are adequate for nanotechnology products
5 also. However, since this is a new area and there
6 might be some unique areas of concern, we might
7 need to think about possibly new testing models and
8 whether they be in vitro or in vivo. So, these
9 issues will have to be discussed and this is purely
10 going to be based on scientific issues.

11 For the environmental aspect the things
12 that we have to consider are facility design and
13 the potential impact of nanotechnology products in
14 the environment, whether they be from an industrial
15 setting or other.

16 [Slide]

17 The last few slides just identify some of
18 the challenges that we anticipate having to address
19 regarding nanotechnology. At CDER we have decided
20 to meet this challenge by crating a
21 multidisciplinary working group. This working
22 group will identify the regulatory challenges
23 related to the timely scientific assessment of drug
24 and drug-device combination products. We have to
25 consider the drug-device combination products in

1 this area because in nanotechnology this might be a
2 very important consideration. Also, this working
3 group will propose solutions to overcome these
4 challenges.

5 Presently, the members for this group are
6 from the Office of Pharmaceutical Science, Office
7 of New Drugs, Office of New Drug Chemistry, Office
8 of Generic Drugs, Over-the-Counter Drugs and Office
9 of Clinical Pharmacology and Biopharmaceutics. The
10 co-chairs of this group are in the Office of
11 Pharmaceutical Science. There is also one member
12 from the Office of the Commissioner because in the
13 Office of the Commissioner there is an interest
14 group for nanotechnology and we would like to
15 maintain a connection between the CDER working
16 group and that Office of the Commissioner interest
17 group so we have that member there to maintain
18 that.

19 [Slide]

20 The goals and objectives basically of this
21 working group are to provide a definition and to
22 craft the terminology; to develop a position paper,
23 a White Paper, possibly in the future; to identify
24 areas of concern and propose suggestions towards
25 the development of regulatory guidance documents;

1 to identify training and research needs; and to be
2 involved in the coordination of the above-stated
3 activities and also collaboration for potential
4 research activities in the future.

5 So, having said that, that is the end of
6 the presentation. I said it was a "nanotalk."
7 Thank you.

8 DR. KIBBE: It was a "nanotalk." I like
9 that. Are there any questions or comments? Go
10 ahead.

11 DR. SINGPURWALLA: I am very curious. I
12 have seen nanotechnology operate at Sandia Labs.
13 What I saw was miniature gears and miniature
14 machines that they were making. So, as far as
15 manufacturing is concerned or building things is
16 concerned, I saw the relevance of nanotechnology.
17 Can you tell us how nanotechnology is relevant to
18 the kind of things that you do?

19 DR. SADRIEH: Are you talking about
20 devices?

21 DR. SINGPURWALLA: I saw little gears
22 being made.

23 DR. SADRIEH: That sounds more like a
24 device. We are going to focus mostly on drugs.
25 So, you know, there might be drug-device

1 combinations with the gears that you are talking
2 about, but we specifically are focusing on drug
3 issues for CDER.

4 DR. SINGPURWALLA: Right. So, I just need
5 to get a sense of what you have in mind.

6 DR. SADRIEH: For example, we have
7 nanoparticulate drugs or, you know, platforms.
8 Sometimes somebody designs a platform and it has
9 several different components in it and there might
10 be an imaging component and a treatment component,
11 a targeting component, and all of this might be
12 within a size that actually would be within the
13 nano range. So, that is more the direction that we
14 are going in.

15 DR. SINGPURWALLA: What advantage do you
16 see in it?

17 DR. HUSSAIN: Before I answer that
18 question direction, I think one of the challenges
19 we face is that we often get calls from higher-ups
20 from everywhere, saying, how many nanotechnology
21 products do you have, and so forth, and it is very
22 difficult to answer that because there are a lot of
23 products which have been in nanoscales for years,
24 and every solid material that goes into solution
25 goes into a nanoscale. So, from one aspect, every

1 product we have is nanotechnology so the definition
2 out there is not really applicable. So, we want to
3 avoid the confusion of what is nanotechnology.

4 The type of products that we have where
5 nanotechnology is being utilized is to reduce
6 particle size to increase bioavailability, and so
7 forth. That is one but that is simply
8 micromization to a nanoscale, right? But other
9 than that, I think you are looking at design of
10 drug delivery systems. These could be nanosomes.
11 These could be other ones which are more target
12 oriented where you want to distribute the drug
13 differently, and so forth. So, these are mostly
14 drug formulation or drug delivery devices in the
15 nanorange. Then, as Nakissa said, you will have
16 combinations where, you know, you have a drug
17 delivery device which is a device, a machine with
18 drug loaded on to that. So, there are many
19 possible combinations. So.

20 DR. KOCH: I was going to add there
21 because I think this committee or working group
22 that you are talking about needs to just take a
23 step back to put on the list those things which may
24 be obvious present products that may go all the way
25 from aerosols through a number of micromization

1 products but I think that also then takes you into
2 excipients and things that are related. Then,
3 there are the proactive ones where you would
4 actually be involved with, say, nanotubes, etc.,
5 for sustained release and things like that. So, it
6 seems like you first need to begin putting
7 everything on paper that exists and plan as to
8 proceeding or encouraging.

9 DR. SADRIEH: But that is what we are
10 doing. We are presently preparing a database of
11 what we have already in-house, what we have already
12 approved.

13 DR. KOCH: So, we will hear that when you
14 get to the micron presentation.

15 [Laughter]

16 DR. SADRIEH: Sure.

17 DR. KIBBE: Gordon?

18 DR. AMIDON: What I can see in the
19 research area are things like polymerized mice
20 cells. You know there are new technology methods
21 being developed and I can see where there are going
22 to be questions what are the things we should be
23 concerned about--oral, topical ophthalmic, rapid
24 dissolving, and I don't know the answer. I think
25 it is being proactive to look at that and, yet we

1 have systems to go through the nanoparticle size
2 range today and we are seeing new technologies to
3 do that and direct use in delivery systems.

4 Do you have any products or any product
5 areas that you are initially looking into? Let's
6 say nanoparticle polymerized oral delivery system
7 or something like that, to kind of focus on what
8 issues do we have to address if we are presented
9 with one of these as an NDA application, or
10 probably earlier during the process of developing a
11 delivery system? Because likely it would be a new
12 material so then you have the drug master file
13 issues, but maybe not. If it is not, then I think,
14 yes--if it is a material that is used in humans but
15 processed differently then you have to ask the
16 question what standards are we going to set for
17 that.

18 DR. HUSSAIN: Let me give you an example.
19 The challenges are in a sense same material that we
20 have always used now nano-sized, and what issues do
21 they raise? One of the things we had to look at
22 was, for example, titanium dioxide and zinc oxide
23 in sunscreen preparations. You bring them down to
24 nanoscale, you have translucence in sunscreen
25 preparations.

1 Traditionally these are USP materials and
2 USP does not have physical attributes as
3 specifications so they are USP. Whether nano or
4 micro it doesn't matter, they are USP. That raises
5 the same set of issues in terms of do we have the
6 characterization methods? Are these stable? Are
7 there photocatalytic issues, and so forth? Also, I
8 think we are sort of working with the NCTR, the
9 National Center for Toxicology Research that has
10 started a program on looking at skin penetration
11 and photocatalytic activity leading to some
12 toxicity issues. So, we have a small program
13 looking at all those things.

14 But from a general perspective, what we
15 have seen happening is physics become more
16 important now from a stability perspective.
17 Generally, if anything, we will focus--because we
18 don't do physics well today with current products,
19 we have to do physics much better in nanotechnology
20 products. That is an area of gap that we want to
21 fill from a characterization perspective.

22 Also, you will see a lot of issues in the
23 press. There are a lot of concerns being raised,
24 and so forth, so we just want to make sure we are
25 rational, science-based with our approaches and

1 proactive in our approaches because, otherwise,
2 this area will get stifled and we don't want to do
3 that.

4 DR. SADRIEH: We currently think that we
5 are addressing the issues pretty well with our
6 existing system. We just want to make sure. This
7 working group is going to consider all the issues
8 and just make sure that we really are; is there
9 anything that we might not have thought about
10 because, as Ajaz said, we get asked a lot of
11 questions. So, I think it is primarily to just
12 make sure.

13 DR. AMIDON: You are right, it may bring
14 new technologies for quality control and stuff, and
15 things that we aren't familiar with within the
16 typical pharmaceutical manufacturing formulation
17 area. Yes, I think this is a good step to be
18 proactive and think about what we may be faced
19 with. In fact, you will be; it is a matter of
20 when.

21 DR. COONEY: I would also like to add my
22 compliments to taking a very proactive view towards
23 this area. I would also suggest that you look at
24 it as a continuum of the activities you have in
25 place now because it is a continuum from interest

1 in topical application of drugs. It is a continuum
2 from some of the things that have been looked at in
3 the drug delivery area. So, it doesn't stand out
4 by itself but it connects back to so many
5 activities that are in place.

6 One of the things that I find very
7 positive about this is that by anticipation and by
8 taking this proactive approach you will be able to
9 put in place the assets, the people, the mind set
10 to be prepared when things come to you and you are
11 not going to be trying to catch up. You will be
12 right on line if not even ahead of the game.

13 DR. SADRIEH: Right.

14 DR. KOCH: If I could add something to
15 that, I think this would be a good opportunity for
16 the MOUS or NSF where NSF is looking to take a role
17 in nano, but to build on what you have already
18 established if you got involved with
19 characterization of tools that would help take the
20 continuum down. I still feel that there is
21 probably in your particle size distributions or
22 registrations an area, as we have talked about,
23 that is called below 400 mesh. That could be a
24 very critical area to what is actually happening in
25 some of the dissolutions and other things. So, it

1 is a continuum again, but just to move into
2 perfecting the characterization tools that will
3 allow you to move forward.

4 DR. KIBBE: Let me just add a couple of
5 science fiction items. The rate of technology
6 change is exponential and has been exponential for
7 known recorded history. Right now we are at a rate
8 which is astronomical. There are some people who
9 have written, really knowledgeable people in terms
10 of science who have written about singularity in
11 the year 2014 and you can't predict what is
12 possible after that because of the rate, and all
13 that. And, it is really good to see the agency,
14 even if it is gradually getting its feet wet in an
15 area that is potentially spectacular in terms of
16 therapeutics which combine what might be called
17 nano devices with drugs or that kind of thing--so,
18 I think some of the issues that you will deal with,
19 and this working group might be the busiest working
20 group in the agency in about five years. So, it is
21 really good to see that. Anybody else have any
22 comments? If not, we get to let Ajaz have the
23 final word; it is kind of the rule around here.

24 Conclusions and Summary Remarks

25 DR. HUSSAIN: Well, I think I have

1 actually thoroughly enjoyed the discussion and I
2 think, especially today, the morning discussion was
3 very useful.

4 But let me go back to day one and try to
5 summarize some of the talks and at least some of my
6 conclusions which I think I was able to reach, and
7 I want to share that internally as we start
8 tomorrow and we get back to our work.

9 On day one we started with the process
10 analytical technology update. We provided you a
11 brief summary that covered history, evolution,
12 current status and next steps. I think the
13 committee was generally satisfied with the progress
14 of this initiative and essentially agreed with the
15 direction in which it is going.

16 I think the suggestions we received from
17 you for this topic were that we need to consider
18 more objective metrics, especially for a training
19 program, to see how effective they are. Look
20 towards international harmonization is another
21 message that we heard and we are pursuing that and
22 will continue to do that. Also, I think Dr. DeLuca
23 pointed out the need to encourage publications and
24 research in this area, and I think this links to
25 nanotechnology. Everything is connected in some

1 way or form and we will try to do that as much as
2 possible.

3 The afternoon discussion was PAT provider
4 tech. As I sort of summarize the talks here, the
5 Office of Biotechnology Products is a new office in
6 the Office of Pharmaceutical Science. They were
7 not part of the initial team building and the
8 training and certification program that we had for
9 our CDER staff members. Since the guidance is a
10 framework guidance, the framework is applicable to
11 any manufacturing. The reason the Office of
12 Biotechnology Products was not included within the
13 scope of the guidance was because they were not
14 part of the training.

15 So, the afternoon discussion was to give
16 our Office of Biotechnology Products and CBER
17 colleagues an opportunity to discuss with you
18 challenges of the complexity they are facing in
19 their area, and how PAT might be applicable to
20 biotechnology products. I think we discussed a
21 number of emergent technologies and then potential
22 applications, not only by the members here but also
23 in open session.

24 I think the question focus primarily in
25 particular was on how should the training program

1 be structured as we go to the next training
2 program. The general discussion and what we heard
3 from the committee was that training needs to be
4 emphasizing more critical thinking problem solving.
5 We did not really get a sense that it has to be a
6 technology focus, and so forth, because we cannot
7 do that. If we focus on general principles, if we
8 focus on the concepts and approach that technology
9 will evolve and we can always gather that
10 information rather quickly.

11 Based on that sort of discussion--I had a
12 chance to talk to Helen also, I think we have an
13 opportunity to think a bit differently than we
14 were. What I am proposing now is that as we move
15 forward, since we already have a mature PAT process
16 within the Office of Pharmaceutical Science and
17 since we never excluded biotechnology products from
18 the PAT guidance because our Office of New Drug
19 Chemistry probably has more biotechnology products
20 than the Office of Biotechnology Products right
21 now, so I don't see a need to exclude our Office of
22 Biotechnology Products from the scope of the
23 guidance that we finalize.

24 The key issue there is that of training
25 and certification. Because of the infrastructure

1 already in place with our OPS PAT team and others,
2 through consultation, and so forth, we can actually
3 build a bridge to that and get the second training
4 program started but not have to exclude our Office
5 of Biotechnology Products from the guidance. So,
6 that is the thought process that sort of evolved,
7 and I think Helen and I thought this might be a
8 better approach as we finalize to include them.
9 So, the guidance will only exclude CBER products
10 because CBER was not on board from that
11 perspective. So, that is how we think we will
12 proceed with that. So, I think the discussion was
13 very useful to make that sort of a decision and I
14 hope you agree with that. If you don't, obviously
15 you will tell us before we leave.

16 I think discussions today were very
17 valuable and I am really pleased with how we sort
18 of came up with a decision with respect to highly
19 variable drug products, at least a sharpened
20 decision. But I do want to sort of emphasize a
21 couple of things. In a sense the discussion was on
22 highly variable drug products because
23 bioequivalence deals with formulation of products;
24 it doesn't deal with the drug. If you inject a
25 drug, a very simple solution of drug into a human

1 being and you see a lot of variability in the PK
2 parameters, that is a highly variable drug with
3 respect to the disposition characteristics--you
4 know, metabolism, excretion, elimination and so
5 forth. Now, if you give the same solution orally,
6 then you add on the variability, the physiologic
7 variability of gastric emptying, and so forth. So,
8 that is a highly variable drug by itself. For the
9 sake of assumptions, it is a simple solution; the
10 formulation is not an effect.

11 But then you put that drug in a solid
12 dosage form, or any other dosage form, or a topical
13 dosage form and you have a set of variabilities
14 there. If the variability is the same as what we
15 had after intravenous administration the
16 formulation really did not add or subtract from
17 that variability. So, it is a highly variable drug
18 and the product did not alter that variability.

19 But you can also have scenarios where the
20 product that you design can increase or actually
21 reduce that variability. For example, I think we
22 have seen more recently some drugs, especially
23 Class II drugs, which have significant food effect
24 when you administer them in a conventional dosage
25 form. If you can design a formulation, for example

1 a nanoparticle formulation or a cyclodextrin-based
2 formulation you can actually eliminate the food
3 effect so you have reduced the variability. So,
4 here is a formulation design strategy that can
5 actually reduce the variability.

6 So, I think the highly variable discussion
7 really is a focus of discussion of highly variable
8 drug products. The variability is no different
9 from the variability of the innovator. That is not
10 an issue. When the variability is higher than that
11 becomes a decision issue, whether it is acceptable
12 or not.

13 But for the last ten years or so that we
14 have discussed that, all the discussion has focused
15 on the statistical criteria and actually trying to
16 clear the check box exercise. The simple answer, I
17 think it is simply an arbitrary number. I hate
18 those check box exercises and it is easy, we can
19 make a decision. So, I am not comfortable with
20 sort of arbitrary numbers defining that. So, I
21 think that is the gap that will remain.

22 But the scaling approach, if we address
23 the arbitrariness of that and make it more
24 comparative scaling to a reference variability is a
25 way forward, and I think that was the general

1 conclusion of this committee and I think you gave
2 us the signal to move forward. I will ask Lawrence
3 next month to have that ready for you.

4 [Laughter]

5 I think that was a very useful discussion
6 and I think we will move forward very quickly to
7 sort of hone in on that. At the same time, I think
8 the decision tree approach is built in there. It
9 is a logical decision tree that will evolve and I
10 think we will move there and I can be assured how
11 it can be done with the topical discussion that
12 followed, and that is a highly, highly variable
13 scenario right there.

14 So, I think the discussion was very useful
15 and helped us move forward in terms of being more
16 confident about the direction we want to move
17 forward in. With Lawrence and his team I am very
18 confident. Probably, if necessary, we can bring
19 our proposal to you in October. That might be an
20 option. I don't want to put pressure on Lawrence
21 but I think we can do it.

22 The topic of bioinequivalence I think is
23 to address currently I think a procedural nightmare
24 that comes from the aspect that our Office of
25 Generic Drugs has to deal with. I think we want a

1 solution to use our resources more effectively and
2 so forth. So, Lawrence and the group presented
3 this proposal and I think generally we came to the
4 general understanding that it might be very useful
5 to move forward.

6 But I do want to sort of remind ourselves
7 of a couple of things. This is an important
8 concept. It is not a trivial concept because we
9 have to really think beyond the application that we
10 discussed today and how it applies to the entire
11 regulatory scenario. For example, out of
12 specification results and how do we deal with those
13 is a major issue, and how does this relate to that
14 discussion I think is a very serious discussion
15 that probably needs to be considered more
16 carefully. One can think about misuse of this in
17 some ways. If a product is out of specification
18 and the company does a bioequivalence study and
19 fails to establish bioequivalence, and they come
20 back and say there is no clinical relevance so why
21 do you want to recall the product? So, all those
22 implications are there, which we did not discuss
23 today. So, it is not a simple matter and how it
24 relates to the big picture needs to be looked at
25 very carefully.

1 The other positive aspect of this is that
2 I hope it will force people to ask the question
3 why, why is it bioinequivalence? That gets to a
4 road to a mechanism understanding, and I think
5 without that the numbers game and the check box
6 exercise will continue. As Helen pointed out in
7 her opening remarks, we really don't like check box
8 exercises--at least Helen and I don't like them,
9 and we want to move away from that and be more
10 science based. But the challenge is when you go
11 towards that without proper training, without a
12 proper quality system for our review staff and
13 review processes, it has the potential of creating
14 more questions and so forth. So, we want to manage
15 that very, very carefully.

16 Now, the other two topics that we
17 discussed, I think topical bioequivalence again is
18 a 10-15 year old saga. We have debated and
19 discussed this, and so forth, and the only solution
20 that we could find was to step away from all that
21 we have done for 15 years and to start fresh.
22 Lawrence and Dr. Lionberger really took the step
23 backwards and said let's rethink this and work with
24 Dr. Wilkin to rethink the mechanism perspective.

25 Again, the misgiving, if I have any, is in

1 the sense as a professor of pharmaceuticals we knew
2 this 15 years ago. There is nothing new in that.
3 But it is unfortunate that at FDA we have to now go
4 back to the basics that we have been teaching. So,
5 that is a bit of a frustration but I think we have
6 taken a positive step, in my opinion, in that
7 direction and with the support of our clinicians I
8 think we will move forward very quickly.

9 Now, nanotechnology--I think it is simply
10 a starting point for discussion and we actually
11 have a number of products which companies want to
12 discuss with us, and PAT is actually very well
13 connected to nanotechnology. If you read the
14 guidance, there is a sentence in that and many of
15 the things that we are looking at--particle size
16 reduction, for example, particle size analysis, you
17 cannot just take a sample and send it to the lab
18 and do this. Most of the particle size reductions
19 are based on on-line assessment of particle size.

20 So, every discussion topic was
21 interconnected and I was thinking that, in a sense,
22 I was going to apologize for quality by design of
23 our advisory committee agenda because I think the
24 topics were a bit lighter on day one; we had more
25 time left, and a bit heavy on day two. But the

1 sequence that we had in mind was if you look at the
2 discussion of PAT and biotech, and if you look at
3 the discussion of highly variable drug products,
4 there was a quality control check right there from
5 our speakers that we had invited. Everything was
6 connected. The sequence was there but I think the
7 material should have been more in depth on day one.
8 So, we will work on quality by design for our
9 agenda more. With that, Helen, do you want to say
10 something?

11 MS. WINKLE: I just want to say that I
12 agree with Ajaz. I thought the conversation, both
13 yesterday and today, was excellent. I think that
14 yesterday there was total agreement on the
15 direction we are going with PAT. I think that the
16 committee has been very supportive for what we have
17 been doing in PAT and I think we have moved ahead,
18 and I think it is going to be really a very good
19 undertaking for industry, FDA and the public, and I
20 appreciate the committee's support of that
21 initiative.

22 Today's discussion was especially valuable
23 to us. I think there are a lot of things in the
24 area of bioequivalence as well as inequivalence
25 that we are still learning and still need to make

1 changes. It is constantly evolving and I think
2 today's conversation will help move us forward in
3 the direction we need to go to in making some of
4 the really necessary changes that can reduce the
5 regulatory burden and really get the products out
6 on the market quicker. So, I appreciate the
7 conversation on that as well.

8 DR. KIBBE: Do I get to say we are
9 adjourned? Good. We are adjourned.

10 [Whereupon, at 4:10 p.m., the proceedings
11 were adjourned.]

12 - - -