

1 some cases, and impossible or impractical in
2 others. But certainly we'd like to know
3 more, be collecting more data along the way
4 that we did systematically or was done
5 systematically there.

6 CHAIR HIATT: Well, and Norman, if
7 we could try to characterize a bit more what
8 your struggle is. If this is an acceptable
9 way to develop a drug using this very short
10 term endpoint then we must believe at some
11 level that that has a larger benefit, that in
12 some ways being in sinus rhythm would
13 translate in fact to fewer drugs used, less
14 bleeds caused by anticoagulation, fewer
15 thromboembolic events, and those kind of
16 adverse events associated with atrial
17 fibrillation including a better functional
18 performance and better quality of life.

19 And that this development program
20 falls short of that, but it is certainly a
21 stepping stone -

22 DR. STOCKBRIDGE: Well, they're not

1 in this program, most of those things aren't
2 in this program.

3 CHAIR HIATT: Correct.

4 DR. STOCKBRIDGE: But if you
5 thought, nor are the sequelae of hypertension
6 in a hypertension development program.

7 So you could take the position
8 that you know well enough what you are doing
9 with treatment of AF to know you are going to
10 by treating prevent some events you are going
11 to tell me what they are, and you know, you
12 can say, I don't particularly need every
13 development program to demonstrate that
14 particular effect. I just, I could go with
15 modest amount of safety data and a clear
16 demonstration of effectiveness, which you
17 sort of have here.

18 So that's really at the crux of
19 this is whether you think you know well
20 enough how to characterize the - if you had
21 to write a label for this, an indication for
22 this, where you said this is for the

1 conversion of AF in order to - what would you
2 say there? That is really where we are
3 trying to get to, what is your expectation of
4 what the nature of what you have achieved
5 here is.

6 DR. LINCOFF: But why is that
7 necessary? Because if all you accomplish is
8 that you have an alternative to electrical
9 cardioversion to achieve the same end, and
10 electrical cardioversion is practiced, then
11 isn't that enough? If you can do it safely?

12 Because there is no question that
13 there are disadvantages of putting a patient
14 through electrical cardioversion. There's
15 utilization of resources, there's putting
16 patients under sedation, there's the burns.
17 There's the requirement for a fasting state.
18 There's the potential for aspiration. And
19 those are difficult to quantify to my
20 understanding, because there have not been
21 any good numbers on them. But do you have to
22 quantify it? If you can, with an awake

1 patient on a monitor, and a physician in the
2 room inject a drug and within a number of
3 minutes, a relatively short period of time,
4 do the conversion and not have to do
5 electrical cardioversion, I think most
6 clinicians would say that's an advantage.

7 So just as before there was the
8 FDA meeting recently that linked the
9 reduction of hypertension to the reduction of
10 the mortal events - or morbid events. But
11 before that the drug was to reduce
12 hypertension.

13 Why can't this drug to convert
14 atrial fibrillation with no other
15 consequence, because it's the medical
16 decision whether or not atrial fibrillation
17 should be terminated, or whether one can
18 manage it with rate, et cetera, based on
19 other data.

20 DR. STOCKBRIDGE: Well, if you
21 somehow can conclude that - I think there are
22 two steps. The first step is to figure out

1 whether - whether conversion by any means of
2 somebody who's been in AF for a short period
3 of time is worthy anything, is worth doing at
4 all, since a lot of those people will convert
5 spontaneously in not very long. So you got
6 to first decide whether somebody is truly
7 worth converting, and I don't think that's
8 necessarily reflected by the practice that
9 was - that is either done commonly or is part
10 of the protocol that was within these
11 development programs.

12 But even if you get to the place
13 where you think you understand why you should
14 get somebody converted sooner rather than
15 later, then you have to deal with the
16 business about how this sits relative to some
17 other means of forcing conversion to sinus
18 rhythm.

19 And you know if you think the
20 risks - I'm sure there are risks of
21 electrical cardioversion. And there are
22 risks associated with this drug. You have to

1 help try to put those things together, and
2 say, well, it's perfectly obvious to you
3 perhaps that this is a safer way altogether
4 to get somebody converted once you've made
5 that decision to do that.

6 That's not obvious to me from
7 these data.

8 DR. MASSIE: Why safer? I'm a
9 little perplexed by that? It seems to me
10 that although this may not fulfill your usual
11 way of - what it does to the patient things,
12 like a heart failure drug would be to improve
13 survival or improve exercise tolerance, those
14 are pretty easy to understand. But what
15 we've really done is, we've found a drug that
16 appears to be effective as a possible
17 alternative to electrical cardioversion,
18 which doesn't really say what it does to the
19 patient, but it does sort of say why you
20 would use it.

21 In other words, others have said
22 that this is a group of people who the

1 doctors decide that they had to cardiovert.
2 We can't put ourselves in their head, and I'm
3 not sure we always want to be in their head.
4 We may not agree with those reasons. But
5 they've made the decision to cardiovert a
6 patient, and this is an effective alternative
7 to be considered.

8 I mean I can give you lists of
9 people I would rather not cardiovert. They
10 have been mentioned before. I can also give
11 you lists of people that I would rather not
12 give this drug to right now; that would
13 include people with poor LV function until
14 more data are available, who are more at risk
15 of hypotension. They include a large group
16 of people who were not included in the
17 studies, people with hypokalemia, people with
18 prolonged Qts, people with maybe very wide
19 QRSs, I'd begin to worry about giving
20 electrical drug - so there are two
21 alternatives. One is to say what we do for
22 the patient. Well, what we do for the

1 patient is, we have a way to get him into
2 sinus rhythm, but it doesn't necessarily make
3 him feel that much better, and it doesn't -
4 we don't have any other data on outcomes.

5 But why would a doctor use if it
6 he felt that in this patient electrical
7 cardioversion would be something he preferred
8 not to do or the patient not to have?

9 CHAIR HIATT: The problem with that
10 thinking, and to Michael's point too is,
11 these trials weren't designed to compare head
12 to head cardioversion to drug, we don't
13 really -

14 DR. MASSIE: So we can't answer
15 that. But we know it's an alternative.

16 CHAIR HIATT: Well, it's probably
17 standard of background therapy which could be
18 applied at some point in time.

19 So the question is really, is the
20 delay in need and actually reduction in need
21 for that therapy. We don't really know head
22 to head whether one therapy would be better

1 tolerated and have less risk than the other
2 therapy. We are just making some
3 assumptions.

4 Let's be careful, because we have
5 to stay within the study design.

6 So I think we've framed things a
7 bit, and we will certainly have plenty of
8 time to flush these things out.

9 Perhaps we could turn to the
10 questions.

11 QUESTIONS TO THE COMMITTEE - PART 2

12 CHAIR HIATT: So I'm going to read
13 this again.

14 The advisory committee is asked to
15 opine on the use of vernakalant to effect
16 conversion of atrial fibrillation to normal
17 sinus rhythm.

18 There is no question that
19 vernakalant is effective in converting atrial
20 fibrillation to normal sinus rhythm. This
21 was demonstrated in two studies where in
22 patients mean age 63 - 68 percent male, 96

1 percent Caucasian, 15 percent with history of
2 heart failure - in AF for three hours in 45
3 days, parentheses, seven days for the primary
4 endpoint - were randomized to study drug or
5 placebo, and conversion was assessed within
6 90 minutes from the start of the infusion.

7 Although the endpoint was - only
8 required maintenance of normal sinus rhythm
9 for one minute, the durability conversion was
10 clearly longer in the lifetime of the drug in
11 the blood.

12 Given time the rate of spontaneous
13 conversion of atrial fibrillation is highest
14 among the very patients among whom
15 vernakalant is most effective; those in
16 atrial fibrillation for a short duration.

17 So the question becomes, how long
18 one should wait for spontaneous conversion
19 before resorting to a drug. And that is a
20 function of the risks of waiting and the
21 risks associated with the drug.

22 The challenge to the committee is

1 whether the available demonstration of
2 activity and characterization of safety
3 suffice to identify a population with a
4 compelling case for net benefit.

5 Question #1: What clinical
6 benefits were demonstrated in the development
7 program for vernakalant? For which of them
8 are there beneficial and meaningful trends?

9 So we have these six bullets:
10 reduction in thromboembolic events; reduction
11 in hemorrhagic events; reduced need for
12 warfarin; reduction in the need for
13 hospitalization; reduction in symptoms
14 attributable to atrial fibrillation;
15 avoidance of electrical cardioversion; and
16 others.

17 So let's go around and try to
18 wrestle with this first question.

19 DR. HARRINGTON: Well, I'll kick
20 things off. I think we had a good discussion
21 this morning about oral anticoagulation, and
22 except for the patients who had AFib of very

1 short duration, I don't think the conversion
2 eliminates the need for anticoagulation. And
3 certainly these studies didn't set out to
4 show that.

5 So I would say that for the first
6 two we don't have any evidence that the
7 question that has specifically been asked,
8 what would demonstrate in the program.
9 Theoretically you might say, if you are
10 converting people who have symptoms of very
11 short onset, perhaps they can avoid warfarin,
12 but we don't have any data for that.

13 As far as the reduction in the
14 need for hospitalization -

15 CHAIR HIATT: Wait, don't go too
16 much further. So which is why I kind of
17 thought this new safety information was
18 helpful.

19 So if you just kind of look
20 numerically at these percentages, embolic
21 events are numerically greater on placebo,
22 and bleeding events are numerically greater

1 on placebo.

2 Now I am not going to draw any
3 conclusions. These are all going to be small
4 number things. But we have to look at kind
5 of trends and whether there is any overall
6 signal here.

7 Does that change your opinion?

8 DR. HARRINGTON: No, I think that
9 the infrequency of the occurrence is just
10 such that it doesn't change my conclusion on
11 that.

12 CHAIR HIATT: Okay, so you'd say
13 that these numbers then don't demonstrate
14 either protection from or cause of bleeding
15 or embolic events?

16 DR. HARRINGTON: That's my view of
17 the data displayed.

18 CHAIR HIATT: Okay. Anyone else on
19 the committee think differently?

20 So we don't know?

21 DR. HARRINGTON: Exactly. Or
22 probably, how's that?

1 CHAIR HIATT: Yes.

2 DR. LINCOFF: But we don't have any
3 reason to believe, there is no mechanistic
4 reason to believe it would reduce
5 thromboemboli or bleeding, given what we've
6 discussed.

7 DR. MASSIE: Well at the exposure.
8 I'd say clearly no.

9 CHAIR HIATT: Yes, I mean one could
10 look at this and say, well if you treated
11 tens of thousands of patients, and the
12 interval of atrial fibrillation was shortened
13 by a few hours, would you expect ultimately a
14 clinical benefit from that?

15 DR. MASSIE: Well, again, the
16 people who are actually responding to it are
17 in a window which we think they are not at
18 high risk for these things. So I guess I
19 would stick with no until somebody tells me
20 something else.

21 DR. CANNON: Well, I think it would
22 depend on the practice. So if an option

1 would be, if you are going to take the
2 conservative route, and you are going to send
3 somebody home maybe on a beta blocker to slow
4 the rate, and then have them come back in a
5 day or two and see if they are still in
6 atrial fibrillation.

7 And then at that point, as opposed
8 to giving this drug or ibutilide and just
9 terminating it right then and there, in
10 someone who is otherwise reasonably stable.

11 It seems like you could be
12 exposing someone to a day or two of not being
13 anticoagulated, and therefore the possibility
14 of a thromboembolic, and in fact,
15 numerically, there was a greater incidence of
16 thromboembolic events in the patients treated
17 with placebo. I don't know if it was because
18 of the strategy I just outlined, but I could
19 foresee that as being a possible reason why
20 there would be greater thromboembolic events
21 if you go an alternative route to quickly
22 terminating the atrial fibrillation.

1 As far as the hemorrhagic events,
2 I could foresee that if you decided to take a
3 conservative approach and keep somebody in
4 the hospital and treat them with Lovenox or
5 heparin, you are going to wait a day or two
6 on a beta blocker to see if they convert on
7 their own, well, you are exposing them to an
8 anticoagulation that could cause bleeding
9 risk over that day or two as opposed to
10 rapidly terminating the arrhythmia.

11 I mean I could envision, depending
12 on someone's practice, how you could by not
13 rapidly terminating the arrhythmia with this
14 drug or ibutilide or electrical cardioversion
15 that you could put them at greater risk.

16 DR. HARRINGTON: Let's make sure
17 we're talking about the same thing though. I
18 am looking at the data here that embolic
19 events, three in the treatment arm from 24 to
20 hour - hour 24 to - god, Barry is wearing off
21 on me - 24 hours to seven days, versus four
22 in placebo.

1 DR. CANNON: Be careful, the
2 denominators are different.

3 DR. HARRINGTON: She told us it was
4 statistically significant. It's .39 percent
5 versus .89 percent. And I could envision how
6 that might be true, and if we have thousands
7 and thousands of patients how that might
8 actually turn out to be a significant
9 difference, depending on how you approach, if
10 you take a conservative route versus going
11 ahead and doing something about it right
12 away.

13 DR. CANNON: I'll buy that.

14 CHAIR HIATT: But there was a
15 little bit of a split here. Now let me just
16 challenge you on that one.

17 So if you were to design a trial
18 to prove that hypothesis, and now you are
19 saying that I've gained two hours of sinus,
20 or maybe 24 hours, that I didn't have because
21 I waited to convert someone electrically, and
22 I want to now demonstrate a clinical benefit

1 to that, how many patients do you think that
2 would take?

3 DR. CANNON: It would be huge.

4 CHAIR HIATT: Huge.

5 DR. CANNON: But you are the one
6 that points out, looking at small numbers to
7 try to envision what might happen in
8 community practice if this drug is approved.

9 CHAIR HIATT: That's what I'm
10 trying to do.

11 DR. CANNON: But to prove that
12 point would take many thousands of patients.

13 CHAIR HIATT: It would. So I mean
14 I think both as a biologic reason to believe
15 that it would spare you from the
16 anticoagulation bleeding risk and the
17 thromboembolic events of being in AF during
18 that time, but the effect size might be
19 really small.

20 DR. STOCKBRIDGE: I would just
21 like to point out that we are mostly
22 addressing question #2 now and not question

1 #1.

2 Question #1 asked you what had
3 been demonstrated in the program, and
4 question #2 asked you to fantasize.

5 (Laughter)

6 DR. CANNON: Well, but question #1,
7 they did show us data, I've got it here in
8 front of me, and there were fewer
9 thromboembolic events, and there were fewer
10 hemorrhagic events. The numbers are small;
11 the percentages are very small. But this is
12 what we have to work with.

13 DR. LINCOFF: But in this study the
14 rates of conversion by 24 hours are exactly
15 the same. So it's not like there were long
16 periods that you could - I realize this is an
17 observation, but there is also a multiplicity
18 of endpoints here.

19 DR. CANNON: I'm looking at 24
20 hours to seven days.

21 DR. LINCOFF: Right, but that's
22 cumulative. But if you look at two to 24

1 hours, 86 percent and 83 percent were in
2 sinus rhythm by that point, so it's hard to
3 attribute much of a delay that was saved by
4 having received the active drug as a
5 mechanism for preventing embolic events.

6 So I think a parsimonious approach
7 here is to say that without a mechanistic
8 reason to believe that this is real, and -

9 DR. CANNON: You know, again the
10 clot that had formed in the left atrial
11 appendage at hour 18 and dislodged at hour
12 36; I don't know.

13 DR. MASSIE: Let's stick with
14 demonstrated. Because AFFIRM pretty much
15 disproved a lot of this type of logic, too.
16 There are factors that we just don't know
17 about, and unless we see it, I don't know how
18 we can go very far to saying it's likely to
19 occur.

20 DR. HARRINGTON: And Norm's
21 question goes on further to ask, you make the
22 point, well, there are numerically more. But

1 then he says, which of them are beneficial
2 and meaningful trends. I think he's asking
3 us then to say, okay, there are small numbers
4 here. Which ones might you believe could be
5 sort of possible?

6 CHAIR HIATT: And so actually to
7 stay within the context of question #1, then,
8 the weight of the evidence for those first
9 two bullets that you see before you? You
10 have to say that there is no signal there.

11 DR. HARRINGTON: Not using the
12 word, demonstrated, and beneficial.

13 CHAIR HIATT: In italics, you
14 notice that?

15 Okay, reduction in need for
16 hospitalization.

17 DR. HARRINGTON: Well, we didn't
18 get that data. Somebody asked it, didn't
19 they?

20 CHAIR HIATT: They were
21 hospitalized. I don't think sponsor knows,
22 right?

1 DR. MASSIE: The design wasn't
2 designed to answer this question, because
3 everybody had to be admitted to get in the
4 study. So and if they didn't collect the
5 number of hours, I think the answer is, we
6 don't have any - nothing demonstrated.

7 CHAIR HIATT: Well, and then I
8 guess again you speculate further, well if
9 you converted maybe you don't need a repeat
10 hospitalization within the week. But you -
11 you guys don't have that information.

12 DR. MASSIE: Well, at the end of
13 the day, the numbers were converted, so you'd
14 have to decide that the way you got converted
15 has a downstream effect days later. And it
16 sounds like from the data they have in a week
17 that that is the case; that they are still
18 mostly where they were.

19 CHAIR HIATT: But the actual number
20 of hours in hospital during that week is not
21 known.

22 DR. KITT: No, we didn't collect

1 that data.

2 DR. HARRINGTON: But as Barry
3 pointed out, in fairness, this wasn't the
4 study they set out to do.

5 CHAIR HIATT: Okay, so I guess the
6 answer there is no.

7 The next one is avoidance of
8 electrical cardioversion, clearly, I mean
9 sorry.

10 Symptoms, I'm sorry. Yes.

11 DR. HARRINGTON: I'm just to figure
12 out how clever Dr. Stockbridge was being
13 here. Does this refer to the development
14 program? Does it refer to the 90-minute time
15 point? Does it refer to the seven-day time
16 point? The 24-hour time point? To which
17 point in time are you referring?

18 DR. STOCKBRIDGE: Describe for me
19 what you think we have accomplished here? I
20 mean if you think that it was important, if
21 you think there was convincing data that the
22 symptoms were improved at, say, 90 minutes

1 you can say that.

2 But you pointed out some
3 properties of the way that was assessed that
4 make it hard to interpret. But say what you
5 think you've got out of this in terms of
6 symptoms.

7 DR. HARRINGTON: So my view of
8 what's been presented is that there were
9 methodological flaws, perhaps, at least in
10 what was described in the way that symptoms
11 were ascertained at the various time points,
12 without demonstration of blinding, et cetera.
13 So I do think that there were some flaws.

14 Despite that I thought that Dr.
15 Pritchett spoke convincingly that there is a
16 tie between symptoms and sinus rhythm.
17 Clearly there are more patients in sinus
18 rhythm at 90 minutes than there are - with
19 the drug than there are with placebo.

20 So in the totality of it, Norm, I
21 would say that there is a reduction in
22 symptoms attributable to atrial fibrillation

1 at 90 minutes alone; and that after 90
2 minutes I see no other data that says that
3 there was superiority of the strategy.

4 Although as Ellis points out,
5 perhaps if you had left those patients alone
6 in the placebo group we might have seen that
7 emerge. But we didn't do that.

8 So I would say, for me,
9 demonstrated that there were symptom
10 reduction at 90 minutes with the drug.

11 DR. MASSIE: I'd like to qualify
12 that, though. I think that's true. I think
13 they demonstrated that. But of course the
14 study design did not allow you to cardiovert
15 them at time zero, and cardioversion would
16 have probably we know reduced the symptoms
17 similarly if they were fully awake anyway at
18 90 minutes afterwards.

19 So again this is part of the
20 structural study. I do believe it reduced
21 symptoms. And I do believe that when people
22 go from AFib into sinus rhythms they can

1 often recognize that and they probably do
2 feel better even if they are still in bed.

3 But I don't know that we can
4 answer - so I think the study demonstrated
5 that, but it's perhaps an artifact of the
6 study design.

7 CHAIR HIATT: I think it
8 demonstrated symptomatic benefit, and it
9 reflects the design. But I think it was
10 pretty clear.

11 And it also avoided the adverse
12 symptoms of cardioversion. So I think the
13 problem with the symptomatic benefit, whether
14 there are methodologic flaws or not, is, it's
15 very short lived. The advantage.

16 Okay, avoidance of cardioversion.

17 DR. HARRINGTON: Sure, cut it in
18 half, or more than that, 69 - what is it, 69
19 percent get cardioverted in the placebo
20 versus 31 in the other.

21 CHAIR HIATT: So it was very
22 effective at short-term avoidance of

1 cardioversion. And if you avoided it it
2 looked like the effect was durable.

3 Any other demonstrated benefits?
4 Did we miss anything?

5 MR. SIMON: The fear of
6 cardioversion, electrical cardioversion.

7 CHAIR HIATT: We kind of assumed
8 that avoidance with symptomatic - okay. So
9 what kind of clinical benefits do you believe
10 should be expected through the use of
11 vernakalant? Compare with what treatment,
12 electrical cardioversion, rate control and
13 other drugs. Are these clinical benefits
14 expected?

15 So here maybe we could go back
16 through these points and kind of flesh them
17 out a little bit further. I think we already
18 sort of dove into the first two points. So
19 if we truly get patients in sinus rhythm
20 quicker, is there going to be any long term
21 clinical benefit to that in terms of
22 thromboembolic events or avoidance of

1 anticoagulation?

2 And I think it was a biological
3 possibility that there certainly could be,
4 but we said that the sample size needed to
5 prove that might be infinite.

6 DR. HARRINGTON: So isn't here
7 where we have to really talk about the
8 strategy? And Barry alluded to this in his
9 previous remarks, that, say that this drug
10 will make it to the marketplace. And you
11 have a patient in front of you with
12 symptomatic AFib of appropriate duration that
13 you want to embark on cardioversion.

14 You could give them a drug, or you
15 could electively cardiovert them, or you
16 could watch them. And let's say we've
17 decided you're going to do one of the first
18 two.

19 So in that situation, the clinical
20 setting, I have trouble believing, our
21 consultants have all told us that
22 cardioversion - once you get in sinus rhythm

1 you've in sinus rhythm. So I don't see a
2 benefit for the thromboembolic prevention in
3 the clinical setting in which this drug would
4 be available.

5 About the only benefit I see is
6 that you avoid electrical cardioversion, and
7 to me that might qualify. I've said earlier,
8 a couple of times today, live longer, feel
9 better, avoid unpleasant things. Avoiding
10 cardioversion sounds to me like avoiding a
11 pretty unpleasant thing.

12 So that might be - I don't think
13 the trial was set up to demonstrate that
14 necessarily, but that may be a real clinical
15 endpoint that you could prove in a clinical
16 trial.

17 DR. LINCOFF: I don't think we
18 should underestimate the logistics associated
19 with doing cardioversion. It's one thing for
20 an emergency situation where a patient is
21 hemodynamically unstable. But in general
22 outside of that it usually takes a little

1 while to set up even in most systems. You
2 know you take them to a procedure room. You
3 may not be able to do it that day. Again,
4 there is the issue of the fasting state, et
5 cetera.

6 So I think in practice, where it's
7 not as contrived as a trial has to be, and
8 this trial was, there may be a substantial
9 delay that could be avoided by just having a
10 drug that you could give in the ER. And so
11 for the potential, whatever benefit that
12 would be, maybe for emboli. Again, I think
13 the only advantage for anticoagulation would
14 be if a patient presented so early that you
15 made the decision, well, I won't need to give
16 them the six weeks afterward. And I think
17 that would be a very limited number of
18 patients. But that's a potential as well.

19 So I think in reality, in
20 practice, the elective cardioversion that
21 we'd be thinking about these patients for,
22 because they'd be the stable ones, may in

1 fact save a fair amount of time.

2 DR. HARRINGTON: But don't you have
3 to have the same set up? Don't you have to
4 have the same set up to give the drug?
5 You'll have to have them appropriately
6 monitored. You'll have to be prepared to
7 shock the half who don't convert. So does it
8 really save you - I mean it saves the half
9 the people who don't get it, but do you have
10 to have - do you save on the set up?

11 DR. LINCOFF: Well, I mean the
12 approach would be, in an emergency room, you
13 have the ability to do emergency
14 cardioversion if they have torsades or VF.
15 But otherwise you would give them a drug, if
16 it didn't work, you do whatever it is you do
17 in your hospital, schedule them to go to the
18 procedure room or whatever.

19 But I think most hospitals are not
20 doing elective cardioversions in the
21 emergency room; electrical cardioversions.

22 CHAIR HIATT: Yes, so I think

1 because the sort of standard background
2 therapy of cardioversion is not one that in
3 most patients is mandated on presentation,
4 I'm not sure if the resources are going to be
5 delaying that by another six hours or 12
6 hours.

7 DR. MASSIE: Let me just ask, it's
8 probably coming a little bit later, but there
9 is this concern we're going to talk about
10 later presumably of potentially torsades,
11 potentially VF.

12 If you had your druthers, would
13 you wait until the person was in PO for a
14 certain amount of time before you give them
15 the drug? Before you would cardiovert them
16 and potentially have those risks? If you
17 were really cautious?

18 DR. HARRINGTON: So would you have
19 them all teed up?

20 DR. MASSIE: Well, not necessarily
21 teed up, but not in a way where it might be
22 contraindicated, where you'd have to intubate

1 them and all the rest.

2 DR. HARRINGTON: Particularly for a
3 drug that has an 8 percent nausea rate.

4 DR. LINCOFF: We haven't talked too
5 much about the risk.

6 DR. MASSIE: That's coming I think.
7 But since we are talking about - one of the
8 perceptible advantages is that it gives you
9 an alternative to cardioversion. But the
10 strategy of how you would use it might expose
11 patients in whom cardioversion would be more
12 risky than if you waited.

13 CHAIR HIATT: Well, remember, we're
14 delaying it. The alternative is delaying,
15 not comparing -

16 DR. MASSIE: And we're talking
17 about this delta time, too.

18 CHAIR HIATT: Right.

19 DR. MASSIE: And the delta time, if
20 you actually said, well, this is purely
21 elective. He's only been in AFib for 18
22 hours. Wouldn't it be safer - I mean I've

1 done this with ibutilide, I can tell you - is
2 I say, the guy just had a meal. Let's wait
3 until I feel comfortable in case something
4 goes wrong. And it looks like it's less
5 likely to go wrong here is my view than
6 ibutilide, but I treat ibutilide often in
7 terms of time and set up, although I don't
8 have an anaesthesiologist on hand, because I
9 figure if the bad things happen with
10 ibutilide, the patient will be conscious, and
11 won't need the anaesthesiologist.

12 But that is an alternative
13 question is, does it really get you all that
14 worth if you are cautious and you perceive
15 this as purely elective.

16 DR. LINCOFF: Well, again, perhaps
17 not going into too much detail until it's in
18 the questions. But I'm not convinced that
19 the risk of arrhythmogenic complications is
20 the same as ibutilide.

21 Granted, there have been ones
22 here, but I think there are fairly good

1 explanations. Also, granted, the data set is
2 relatively small, and this emphasizes the
3 importance of a post-marketing evaluation.

4 But I think there is a very real
5 possibility that this isn't associated with
6 nearly the risk as either approved drugs or
7 drugs that we might be talking about at some
8 point in the future. So this may well be an
9 advantage that you don't have to be as
10 prepared to do an emergency cardioversion.

11 Again I am if anything more
12 concerned about the hypotensive effects.

13 CHAIR HIATT: Well, all these
14 inherent comparisons are speculative. And in
15 some ways what we should try to do with these
16 questions is focus not just on how you'd
17 handle an individual patient but what does it
18 mean for the population of people that will
19 get exposed to this drug.

20 So if you think about the clinical
21 benefits of these things above, in my opinion
22 is that the thromboembolic and hemorrhagic

1 event avoided by a quicker time to conversion
2 is probably not clinically going to be
3 relevant.

4 It could reduce the time in the
5 hospital. It can shorten the symptomatic
6 state by a few hours, and it clearly can
7 avoid cardioversion.

8 So to me the clinical benefits are
9 mostly symptomatic not morbid/mortal kinds of
10 things.

11 Anyone disagree with that?

12 So the expected benefit, I think,
13 summarizing these first two questions, is
14 that this drug would play a role in the acute
15 setting to achieve a symptomatic endpoint for
16 patients who are symptomatic with atrial
17 fibrillation.

18 DR. HARRINGTON: But being very
19 careful how you phrase that, because if the
20 strategy was electrical cardioversion or this
21 drug, you don't reduce symptoms at all. But
22 if the strategy is drug versus watchful

1 waiting, you might.

2 So in terms of saying, reduce
3 symptoms, I like the way you phrased it near
4 the end when you said, you know, reduce the
5 duration of symptoms perhaps.

6 CHAIR HIATT: Well, it's
7 symptomatic in a bit more global context.
8 There are two components of this. One is the
9 symptomatic state of being in atrial
10 fibrillation, and relief of that, and the
11 other is the symptomatic adverse effect of a
12 cardioversion, which I think the committee
13 sort of continues to highlight as potentially
14 a clinically real issue, and the set up and
15 the conscious sedation and that kind of
16 thing.

17 So symptomatically speaking in a
18 slightly broader context, those would be my
19 interpretation of the symptomatic benefits of
20 this therapy, but that I wouldn't expect, if
21 we did a 100,000 patient trial that there
22 would be any other clinical benefit achieved

1 by this strategy deployed in the design of
2 these trials.

3 DR. HARRINGTON: And we'll get into
4 the side effects associated with the drug at
5 some point, because while some symptoms might
6 get better, there are other symptoms that
7 occur, in the totality of things. I mean
8 let's not ignore that either.

9 CHAIR HIATT: Nope. The charge is
10 the overall risk-benefit.

11 Question #3: Cited conversion
12 rates excluded patients who underwent early
13 electrical conversion, those who converted
14 prior to receiving study drug, and those who
15 otherwise did not receive study drug. Are
16 these exclusions reasonable? If not, how
17 should these cases be handled?

18 DR. MASSIE: You know, we saw the
19 data analyzed the other way. It wasn't
20 really very different. I actually think
21 people who spontaneously convert should be
22 considered in the denominator and in the

1 numerator for both therapies, but it doesn't
2 really make I think a measurable difference
3 in the totality of the data.

4 But it should be intent to treat.
5 Really. Real intent to treat.

6 CHAIR HIATT: It doesn't sound like
7 that, I don't think -

8 DR. HARRINGTON: Well, let me make
9 a case for modified - or they actually use
10 the treated analysis if I'm correct, is that
11 it? They did an as treated analysis.

12 So there are certain conditions by
13 which I would say that as treated analysis
14 are appropriate. If understanding the -
15 well, if knowledge of the treatment is
16 blinded, in which case it is blinded, that
17 would be at least a criteria that has to be
18 met, so that the allotment to the randomized
19 block did not affect the way that you
20 subsequently went on to get the treatment.
21 So I'd be okay with that.

22 DR. MASSIE: Well, I think an on

1 treatment analysis emphasizes the efficacy
2 signal, but it's probably a more conservative
3 analysis in terms of safety.

4 DR. HARRINGTON: We were talking
5 about efficacy here. So for efficacy I'm
6 actually okay with your modified, as long as
7 they also show us the conditions by which
8 people didn't get the treatment, and as long
9 as they show us the overall intention to
10 treat analysis, and as it was suggested, the
11 data there are certainly consistent.

12 So I have no objections. This is
13 something that in the angioplasty realm we do
14 a lot of. In the anti-platelet trials for
15 example, 3 or 4 percent of patients
16 undergoing angioplasty in the anti-platelet
17 trials don't get the anti-platelet drug for
18 whatever reason in the cath lab. And as long
19 as the intention, the overall intent to treat
20 analyses are done and are consistent, I'm
21 okay with it.

22 CHAIR HIATT: Any objections? So I

1 guess we are okay with question #3 that the
2 data were analyzed appropriately?

3 Question #4: In a restricted sense
4 vernakalant is clearly more effective than is
5 placebo. Among patients who had been in
6 atrial fibrillation for three hours to seven
7 days, the rates of spontaneous conversion on
8 placebo within a 1.5 hour window were about 4
9 percent in ACT I and ACT III while conversion
10 rates on drug were at 51 percent at proposed
11 doses.

12 How well characterized is the
13 relationship between time in atrial
14 fibrillation and spontaneous conversion?
15 Note that 3 percent of patients converted
16 spontaneously after randomization but before
17 study drug administration.

18 So we have a lot of information on
19 spontaneous conversion rates. And I think as
20 was presented earlier, in a population that
21 might have been predisposed by their
22 physicians to treat them, because they

1 weren't kind fo coming out of alcohol
2 withdrawal or something like that, that
3 spontaneous conversion rates over this very
4 short interval were low, but we don't know
5 what they would have looked like at 24 hours
6 had they delayed the therapy 24 hours.

7 DR. MASSIE: Well, I think that is
8 the answer to the question, is, we haven't
9 characterized it at all. We've just
10 characterized a very little piece of it by
11 design of the study. And it happens. It
12 maybe didn't happen as much as we imagined it
13 might happen. But it's only 90 minutes. And
14 if you came out of atrial fib 100 minutes
15 before, then you weren't - you're excluded
16 from the study, and a few people did that.

17 So I think the answer is, it
18 really doesn't tell us in this population
19 what we might have expected had we waited 24
20 hours. But the differences are so real. So
21 I'm not sure.

22 CHAIR HIATT: Other comments on

1 this? I mean I think, you know, in the FDA
2 presentation it was clearly a speculative
3 line that if you wait long enough you will
4 reach a certain level of conversion. And I
5 remain uncertain what that is. Because you
6 are now talking about therapy today, not
7 natural history studies from 10 or 20 years
8 ago.

9 So I don't know if these trials
10 would have been insignificant had they
11 waited, or would they have shown the same
12 strong signal benefit. We just can't judge
13 it, because we don't know.

14 DR. MASSIE: Well, I think the big
15 thing is probably the one I think Ed brought
16 up, which is, these were selected for some
17 reason to admit them to the hospital. It
18 could have been to get the study payment.
19 But it looks like they really did mean to
20 convert them one way or another when they
21 admitted them. And I don't know what that
22 means, but I would lend a lot of credence to

1 say it's different from the AFib patient who
2 just comes in the emergency room saying my
3 heart is racing. More of those, I think, are
4 much likely to convert spontaneously than
5 this group. But I don't know how to quantify
6 it.

7 CHAIR HIATT: But you don't know
8 even in this population, had you waited 24
9 hours, if you would have gone from 4 or 5
10 percent conversion to 20 percent conversion.

11 DR. HARRINGTON: I think the answer
12 is, how well is it characterized in this
13 population. And the answer is, it really
14 wasn't characterized.

15 DR. HARRINGTON: Yes, so let me -
16 and maybe the sponsor can help - one of the
17 things that can frequently help in a clinical
18 trial, these were obviously very selected
19 patients, is, was there a concurrent registry
20 or screening log of the patients who were
21 examined for potential enrollment, and
22 reasons why they weren't ultimately enrolled?

1 That kind of information could be helpful. I
2 don't know if that exists for this.

3 Did you have some sort of
4 screening log where you collect the universe
5 of -

6 DR. KITT: Yes, we do. We're
7 pulling up that slide for you. Okay, slide
8 up, please.

9 We did look at this. Hold on just
10 a minute. Okay, so there were in our two
11 pivotal studies, 31 patients were randomized
12 but not dosed; 4.4 percent of the placebo
13 group, and 5.6 in the vernakalant group.

14 And as has been mentioned
15 previously the largest reason is, between the
16 time of screening, and by the time they got
17 the drug mixed, and they were able to get the
18 drug infused, about 3 percent of the patients
19 had already converted to sinus rhythm.

20 The majority of the rest did not
21 meet inclusion or exclusion criteria.

22 Between screening and randomization I think

1 you know some of the inclusion-exclusion
2 criteria, they were doing histories, physical
3 exams, and during that process some of the
4 patients did not meet the inclusion or
5 exclusion criteria. In one patient they were
6 randomized, but they realized that there was
7 no more drug available in the pharmacies.

8 DR. HARRINGTON: So this helps me
9 with my defense of your modified intention to
10 treat, but it doesn't help me with - think
11 about it like in a consort diagram way that
12 you screen how many patients to get to the
13 number randomized. Do you have that data?

14 DR. KITT: No, I don't. We don't
15 have the number that were screened. This is
16 just all that we have.

17 CHAIR HIATT: You know that is sort
18 of the same thing. Did you screen 10,000
19 people? Do you have some sense of how many
20 people were kind of consented, and then
21 initially screened, and then didn't go
22 forward?

1 DR. KITT: No, we don't have that
2 data handy.

3 DR. STOCKBRIDGE: Could I just
4 interject something on this particular
5 question. I mean this is sort of getting at
6 the problem that you are going to have to
7 identify some window, patients who have been
8 in AF for at least 30 seconds, and not more
9 than six months. I mean you are going to
10 have to name a window at some point, if you
11 think you are going to approve this, that
12 says who you think is a reasonable candidate
13 for getting it.

14 So as you think about the
15 spontaneous conversion rate, and the
16 difference between what you think the
17 spontaneous conversion rate is and the on-
18 treatment conversion rate is, and you know,
19 integrate what you think the symptoms you've
20 saved somebody and what the electrical
21 conversions that you've saved. You are going
22 to have to be able to name both the beginning

1 and end of the interval over which you think
2 you are operating. That is what question #4
3 is mostly about.

4 DR. MASSIE: I could see you going
5 there, and I could see trying to craft
6 something.

7 The real issue is, you want people
8 who you don't think are likely to convert
9 spontaneously. It's hard to put a time
10 window on that, but you want the physician to
11 realize that that can occur, and on the other
12 hand you are going to look at these data and
13 say, well, you know, they asked for approval
14 for three to seven days, but at least the
15 doctor has to know that if it's not within 48
16 hours the success rate falls off quite a lot,
17 even by seven days.

18 You could describe that, but if
19 you want a definite number, I have a feeling
20 we are going to have a hard time coming up
21 with people who - because the doctors may
22 know something we don't know, or they at

1 least may think they know something we don't
2 know, about how likely they are to convert,
3 and they may think this patient is different
4 than all other patients.

5 CHAIR HIATT: But it might affect
6 risk-benefit thinking that.

7 DR. MASSIE: No, I think it's very
8 important to address those issues somehow in
9 the label. I'm just not sure it's going to
10 come out in precise - or it would be very
11 hard to come out in precise two days to
12 whatever.

13 CHAIR HIATT: In fact, why don't we
14 go to the second component of this, how well
15 characterized is the relationship between
16 time in atrial fibrillation and conversion on
17 vernakalant? And it seems to be relatively
18 well characterized, in that - because I think
19 then this gets at what is probably a more
20 critical issue, which is, you get a lot of
21 benefit early. You may have not as much
22 benefit late. And you still have the same

1 risk I would assume across, whenever you give
2 this drug.

3 So if you believe there are
4 patients who could be harmed by this drug,
5 and you have shortened the symptomatic window
6 significantly in the patients who had really
7 early onset AF, your response rates are
8 really dwindling off after 48 to 72 hours.
9 And is any risk acceptable in that context?

10 So I think it's actually extremely
11 important question.

12 DR. HARRINGTON: That's what I was
13 trying to get at when I asked Ellis the
14 question of had he been able to look at an
15 analysis where you would be able to parse out
16 the risk as a function of duration.

17 Because hypothetically you could
18 create a situation where the patients who are
19 most refractory to conversion, the later
20 patients, perhaps they are also more
21 susceptible to the side effects, I don't
22 know. But that would be nice to know.

1 Because otherwise, if you take
2 your case, Bill, that the risk is going to be
3 consistent, or independent of the duration,
4 then you do raise the question of well what
5 exactly is the benefit we're getting in a
6 very narrow window, say 48 hours, when a lot
7 of them might convert anyway.

8 CHAIR HIATT: You could ask that -
9 you could even assume that the risk got worse
10 if you were in AF longer. That's okay. But
11 you have to integrate those two numbers at
12 some level. And because the benefit tapers
13 off so dramatically over time in AF, that I
14 think that is part of the consideration.

15 DR. CANNON: Could I ask a
16 question? I don't know if it's appropriate
17 now, but I was going to ask it later, so I
18 might as well ask it now.

19 And that is the rationale behind
20 the strategy of using this drug on somebody
21 who has been in atrial fibrillation longer
22 than 48, certainly 72 hours, unless they are

1 in the hospital, and somebody is just
2 watching, hoping crossing their fingers on a
3 beta blocker that they would spontaneous
4 convert?

5 And the reason I ask that is if
6 somebody knows that they went into atrial
7 fibrillation at 10:00 o'clock Sunday morning
8 and now Wednesday they decide to go to the
9 doctor, well, by the guidelines you'd have
10 two choices. One is to anticoagulate for
11 three weeks, bring them back and then do
12 something, hopefully spontaneous to convert,
13 or if not then you'd do something.

14 The other is to use TEE guided
15 therapy. And as long as you're going to do
16 that, you might as well do electrical
17 cardioversion, because you got them sedated
18 for the TEE.

19 So what is - beyond 48 hours where
20 the efficacy appears to drop off, what is the
21 compelling rationale for extending use of
22 this drug out to seven days? Does that make

1 sense?

2 CHAIR HIATT: It does because the
3 sponsor declared it. It's a short duration
4 cohort, and I think that's okay. Now we may
5 not agree with that, but that's how they cut
6 the data.

7 DR. HARRINGTON: I mean that was
8 their primary analysis cohort, up to seven
9 days, so I don't fault them for trying to
10 push that forward. Their primary analysis
11 cohort was three hours to seven days. They
12 have an overall treatment effect in the three
13 hour to seven day cohort, so the first
14 principle is look at the overall trial
15 result. That is their overall trial result.
16 So I don't fault them for asking that.

17 But now you are asking the more
18 important question, which is, okay, now that
19 you have seen the overall trial result as
20 positive, is there a differential treatment
21 effect within the overall trial?

22 And at least these data suggest

1 that there is, that all the effect is in the
2 first 48 hours. I think that's what you're
3 getting at.

4 DR. CANNON: But I think we have to
5 be cautious. There are 29 patients after day
6 three, which is approximately a fifth of the
7 total population in that group. So just from
8 a methodologically and statistical standpoint
9 I don't know how much confidence we can place
10 on that subgroup analysis saying there is
11 heterogeneity, when the overall trial result
12 for the defined population was positive.

13 CHAIR HIATT: But did they test
14 that? I don't know if we saw that. Or did
15 you test that, Ellis? Is there an
16 interaction term here? In other words, did
17 you test effect by time, using time
18 continuously?

19 DR. UNGER: I mean that was really
20 just an exploration, and you see the analysis
21 in the slide. And part of the limitation
22 here is that we only these data for ACT I.

1 So we are really - and that is part of the
2 reason you only have, what was it, 29.
3 That's the problem.

4 DR. LINCOFF: But I think making a
5 regulatory label decision on the basis of an
6 exploratory underpowered subgroup analysis
7 showing a result divergent from the overall
8 result, the main estimate from the overall
9 group, is worrisome.

10 If we want to make it on the basis
11 of medical judgment, based on practice, for
12 the points that Dr. Cannon pointed out, that
13 may be different. But just to do it on the
14 basis of this, and say, well, I don't see a
15 benefit after three days in this exploratory
16 analysis I think is somewhat hazardous.

17 DR. MASSIE: It's somewhat
18 hazardous, but on the other hand it should be
19 known to the physician. It should be in the
20 label somewhere whether it says you can only
21 use it for the first 48 hours, and then after
22 that it becomes off label, I don't know. But

1 it does look like it's there. And the fact
2 that the numbers are so small isn't the
3 agency's fault. You know? So if we can't
4 say that - I think frankly if you did an
5 interaction analysis it would be positive for
6 change in efficacy over time.

7 DR. HARRINGTON: Did the sponsor do
8 that?

9 DR. LIU: No, we didn't. The curve
10 was that we showed you, if you want to have
11 that up there, was just an attempt to
12 describe the response rate, how they change
13 with time. It wasn't very much of a
14 parametric model where we could test that.

15 CHAIR HIATT: I'd be surprised if
16 there was a strong interaction here. Because
17 to me interaction means one subgroup responds
18 differently than another subgroup, and here
19 the magnitude of the effect just wanes.

20 But I mean it's still there. It's
21 just not as strong. To me interaction if,
22 you know, half your population has diabetes

1 and half doesn't, and the diabetics respond
2 one way and the non-diabetics another way,
3 that's interaction.

4 Here it's just that we're not
5 seeing quite as strong an effect the longer
6 you are in atrial fibrillation.

7 DR. HARRINGTON: Well, we have Jim
8 here who could correct us nonstatisticians,
9 but I believe what it means is that the
10 difference that is observed is quantitatively
11 appears - appears real, and that the
12 interaction term is just a mathematical of
13 expressing the difference between the two
14 observations.

15 I don't think it means that they
16 have to go in different directions, but that
17 there - but that they are separate from one
18 another, and that that separation is a real
19 separation statistically.

20 CHAIR HIATT: So maybe we need to
21 deal with how robust both the sponsor and the
22 FDA's presentation is on this change in

1 responsiveness over duration of atrial
2 fibrillation.

3 DR. HARRINGTON: So one other
4 thing, Bill, is the last slide that the last
5 slide that the sponsor put up, to me that
6 adds to the credence that this is a
7 reasonably robust finding. Because as Mike
8 points out, with only 23 or whatever it is
9 patients in ACT I, it looks a certain way.
10 But when you add in ACT IV, the basic shape
11 of the curve doesn't change.

12 DR. KASKEL: Bill, should we be
13 more concerned about potential racial
14 differences in responsiveness at this point
15 in time?

16 CHAIR HIATT: I think we need to
17 address that in terms of a variety of issues,
18 but I'm not sure we are quite there yet.

19 So how well does the committee
20 feel that the characterization of the
21 relationship between time in AF and
22 conversion through vernakalant, how well

1 characterized is that?

2 I think it is reasonably well
3 characterized. I realize, Michael, it's kind
4 of a subgroup analysis, secondary analysis,
5 but it's a positive, it's not a negative
6 subgroup analysis.

7 So I think it's reasonably well
8 characterized. Now the question is, does it
9 rise to the level of a labeling restriction
10 or not, is a little harder to wrestle with.

11 DR. MASSIE: Correct me if I'm
12 wrong, I mean there is labeling, precise
13 labeling is sort of needed, prescription.
14 But there are also things in the label that
15 are informational that aren't part of the
16 indication.

17 And I mean I do feel strongly that
18 given the total amount of data we have and
19 what we see, and the fact that there is no
20 reason to think that risk is going to get
21 less, that it's something that the doctor
22 needs to know, that it's not like anybody who

1 comes in in the first week is going to have
2 the same likely response.

3 So I think that somehow it should
4 get in the label, whether it's - maybe the
5 agency can figure that one out.

6 I would have a hard time saying it
7 absolutely should only be indicated for
8 people in the first 48 hours for the same
9 reasons as Mike. I would also feel hard -
10 making no distinction between people who were
11 in the first 48 hours and those that are
12 seven days out.

13 What length of time in atrial
14 fibrillation is clinically meaningful?

15 DR. HARRINGTON: Well, we heard
16 from Mr. Simon this morning that he knew
17 right away when he went into atrial
18 fibrillation. So what is clinically
19 meaningful? If it's the patient's symptoms,
20 I mean we heard - and we all know this from
21 dealing with our own patients that people can
22 feel miserable, or they can feel

1 uncomfortable. And is that - the patient's
2 symptoms are certainly important.

3 But if you are talking about what
4 time clinically meaningful to then perhaps
5 put that patient at risk for some other bad
6 thing like a thromboembolic event, we believe
7 that is a longer period of time, and Rich
8 alluded to with the guidelines that say 48
9 hours for anticoagulation.

10 But I would say if the patient is
11 symptomatic anytime after fibrillation is
12 meaningful.

13 DR. STOCKBRIDGE: I think we have
14 to divide this into those two cohorts,
15 because in some ways I think this refers to
16 this particular drug and this - these trials
17 and how you maybe set this up.

18 I mean obviously we talked earlier
19 about length of time in chronic AF can
20 certainly mean certain things. But I don't
21 think that's exactly our purview with this.

22 Rather it's length of time coming

1 into a treatment decision.

2 DR. HARRINGTON: What are you
3 trying to get at here, Norm?

4 DR. STOCKBRIDGE: I think all of
5 these sub-bullets deal with the issue of how
6 to set some advice about how long somebody
7 should be allowed to sit in AF before you do
8 anything, and then what your window of
9 opportunity is for applying a drug, this
10 drug, to get somebody out of AF.

11 CHAIR HIATT: So if you waited,
12 based on what you just speculated, if the
13 patient presented, and we waited a couple of
14 more days, and we think we know that the drug
15 might not work as well, we also think that
16 the spontaneous conversion rate might have
17 caught up to some degree, and so the lines
18 might start crossing at some point in time
19 here.

20 DR. CANNON: And also at about 48
21 hours you are going to have to make a
22 decision. In my practice 48 hours is the

1 tipping point. And you've got to do
2 something. I mean either you are going to
3 decide to keep them in atrial fibrillation
4 just to be satisfied with the rate control,
5 which is fine for many people, but you are
6 going to anticoagulate them, get them started
7 on coumadin, or presuming that they've been
8 on heparin for some interval of time,
9 cardiovert them.

10 So I think from a management
11 standpoint 48 hours is pretty much the
12 decision time. You've got to do something.
13 You've got to make a decision. Crossing your
14 fingers and - that's over. You got to make a
15 decision.

16 DR. LINCOFF: And if we believe
17 this rather steep fall in the efficacy of
18 pharmacologic conversion with this agent over
19 a few days or so, then there is a potential
20 disadvantage to waiting.

21 CHAIR HIATT: Well, that's correct.
22 Again as just stated, I think all the

1 comments sort of fall in line a bit. So the
2 drug is probably maximally effective during
3 that window, and it tapers off over time.
4 Other things have to kick in at 48 hours that
5 might affect how you'd use this drug. I mean
6 maybe there would be other such compelling
7 kinds of treatment decisions that the drug
8 would have hard to market for people who have
9 been in AF for longer than 48 hours. And so
10 the decisions change.

11 So I think those are all very
12 relevant. It still kind of comes back to
13 shorter is better from a variety of
14 perspectives.

15 DR. HARRINGTON: I like the way
16 that Richard described it, 48 hours does sort
17 of encompass a lot, doesn't it? There is a
18 decision making that has to take place at the
19 end of that time period that is really
20 critical, in long term or even intermediate
21 term. Anticoagulation is a big deal.

22 MR. SIMON: I've gone to 48 hours,

1 and I have not gone to 48 hours; 24 or 48
2 hours. And at the 48 hour I've been told by
3 my physician that you need to get in here; we
4 need to make a decision. And I'm on
5 anticoagulant also. But if I'm that, they
6 call it chronic, and it's 2 - 300 beats a
7 minute, you are not really functioning too
8 well. But if it drops down to 100 or so I
9 can do things, but it's not like the other.
10 But within 48 hours, I've been told get in
11 there.

12 CHAIR HIATT: For patients who have
13 been in atrial fibrillation for what duration
14 is the time savings attributable to
15 vernakalant clinically meaningful?

16 So we triangulated a bit, the sort
17 of 48-hour timeframe, when the drug is
18 maximally effective. It clearly beats
19 placebo; has symptomatic benefits during that
20 time and it avoids cardioversion.

21 DR. MASSIE: I'm a little confused
22 about the question. I don't know what time

1 savings we're talking about, because the only
2 time savings is protocol driven. I mean if
3 you are going to convert them, you are going
4 to convert them. But in this protocol you
5 had to wait 90 minutes to convert them.

6 I don't know how to quantify the
7 time savings. I do believe that the patient
8 will be converted quicker than my organizing
9 a cardioversion for an elective. So there
10 would be a time saving but it's not
11 quantified in the protocol. It's driven by
12 the protocol.

13 CHAIR HIATT: Well, the protocol
14 said two hours. Then you could open up to
15 other decisions, right? So you might have
16 saved - would you grant two hours time
17 saving?

18 DR. MASSIE: Well, it's protocol
19 driven. If they had just came in -

20 CHAIR HIATT: But yes or no.

21 DR. MASSIE: I would two hours is
22 about as early as I could organize electrical

1 cardioversion.

2 CHAIR HIATT: So at least you've
3 saved that per protocol.

4 DR. MASSIE: But it is by protocol.
5 It's not an effectiveness of the drug that
6 you saved two hours.

7 CHAIR HIATT: Right.

8 DR. MASSIE: But since it does
9 coincide with probably our best efforts at
10 organizing a rapid cardioversion, I believe
11 two hours if a patient is uncomfortable is -

12 CHAIR HIATT: In those 50 percent
13 who responded, then you might have saved them
14 a number of other things too.

15 DR. MASSIE: It's just that I can't
16 put a number on the time savings, because the
17 time saving is not something that happened
18 clinically it's something that was driven by
19 the protocol.

20 DR. HARRINGTON: Yes, that's the
21 part I'm struggling with, in terms of
22 clinically meaningful. What is, if I had to

1 have you wait two hours while you were going
2 to be cardioverted, and your rate was
3 reasonably controlled, you were a little -
4 you felt your palpitations but you weren't
5 that uncomfortable, is that clinically
6 meaningful?

7 It's a tough one, and as Barry
8 points out, you are only going to convert
9 half the people, so you have to take into
10 consideration that half the people didn't get
11 converted, and that has to get entered into
12 the - or quantified.

13 We are parsing pretty short
14 periods of time here.

15 DR. LINCOFF: And it also depends
16 upon a practice pattern that could be altered
17 depending on where you are in that
18 alternative. If you are coming up close to
19 48 hours, you may say well electrical
20 cardioversion will do it right now.
21 Otherwise you might say, tomorrow come back
22 and we'll set up the room. So it's something

1 you have control over.

2 DR. STOCKBRIDGE: I think we ought
3 to probably move on. And if you vote in
4 favor of approving this drug, we should
5 readdress this question as part of the follow
6 up to that, to try to get some sense for who
7 it is you think you are approving it for.

8 CHAIR HIATT: All right, let's move
9 on to some more questions.

10 What effect does unsuccessful
11 conversion with vernakalant have upon
12 subsequent attempts at electrical conversion?

13 That, I think, was answered: no
14 effect. Everyone agree?

15 How was atrial hemodynamic
16 function affected by vernakalant? Does this
17 matter?

18 DR. CANNON: I saw no data on that.
19 It could matter, particularly for patients
20 that have very stiff hearts.
21 Cardiomyopathies in which atrial systole is
22 important.

1 I think our belief is that the
2 shorter they have been - the shorter the time
3 they have been in atrial fibrillation, the
4 quicker we can get them back into sinus
5 rhythm, but better the perversion of atrial
6 transport; the longer they have been in
7 atrial fibrillation, the longer it's going to
8 take for atrial systole to recover.

9 But we don't have any data.

10 CHAIR HIATT: But isn't this sort
11 of what the drug might do during that sort of
12 acute exposure? And does it do anything
13 adverse to the atrial function?

14 DR. CANNON: I saw no data.

15 CHAIR HIATT: That we might care
16 about? Anybody else have any thoughts about
17 how to interpret that?

18 DR. MASSIE: I saw no clinical
19 data, but there were a number of animal
20 studies trying to characterize the electrical
21 effects. Did any of those look at atrial
22 function in another way? Do you know? Put

1 crystals in or do echos or do something like
2 that to see if there was a depression of
3 atrial function?

4 DR. BEATCH: We did not assess
5 atrial function in animal studies.

6 DR. KASKEL: I think there is a
7 potential for some studies here at the
8 cardiac physiological levels are very keen
9 looking at with some patch clamping possibly
10 doing some models to see what happens to the
11 question of channels, the different sodium
12 channels, subtypes and potassium.

13 It's possibly if they don't atrial
14 systole the system, maybe it's a different
15 gene expression of channels that don't turn
16 off later. It means a host of things for an
17 electrophysiologist and a molecular
18 biologist, a lot.

19 DR. CANNON: But it could be a
20 simple echo study. You know, does the atrium
21 squeeze or not? What interval is important
22 for recovery? Does a dark matter versus

1 electrical cardioversion. I would think that
2 that could be easily obtained just by echo.

3 CHAIR HIATT: Well, it'd be
4 confounded a little bit by, whether you are
5 in or out of sinus rhythm. So the question
6 would be answered, of those who converted
7 spontaneously versus on drug, was there a
8 difference in atrial function.

9 DR. CANNON: Is there a difference
10 between electrical cardioversion and
11 pharmacologic cardioversion.

12 CHAIR HIATT: Sure, and is there a
13 difference between those who converted
14 spontaneously, electrically or by drug in
15 terms of major function, we just - we don't
16 have any data.

17 DR. STOCKBRIDGE: And do you care?
18 That was part of this question.

19 CHAIR HIATT: Unless you think that
20 the fatal case of VF or something like that
21 was related to some alteration in atrial
22 hemodynamic function, that to me is a

1 speculation, I don't - I don't believe it, so
2 I'm not sure I care a lot.

3 But does anybody else care?

4 DR. CANNON: Yes, well, for some
5 patients it does matter. Again, patients
6 with stiff hearts; patients with hypertrophic
7 cardiomyopathies, atrial systole matters a
8 lot.

9 And one of the justifications for
10 restoring sinus rhythm in those populations
11 versus patients with otherwise fairly normal
12 -

13 CHAIR HIATT: Okay, but it doesn't
14 seem to change the response to cardioversion.
15 I mean I try to think about this as something
16 that I can relate to clinically. Hemodynamic
17 function could certainly be characterized a
18 whole host of ways, right, both invasively
19 and noninvasively.

20 The question is, do any of those
21 measurements relate to anything that would
22 clinically change as a result of giving this

1 drug, that would somehow affect - somehow
2 induce more thrombosis in the left atrium,
3 induce inability to respond to cardioversion,
4 that's how I interpret this.

5 DR. LINCOFF: Well, it could. I
6 mean you couldn't do it with this
7 experimental design. But if you did an
8 experiment where half the patients got this
9 drug, and then if they failed went to
10 electrical cardioversion, and the other half
11 just went to electrical cardioversion, and
12 then in the end you assess atrial function,
13 is it different with these two approaches?
14 And if it were better or worse with one
15 approach or the other, that would be relevant
16 information. Because presumably that might
17 have an impact on the likelihood of
18 developing a thrombus a few weeks afterward
19 or something.

20 But you couldn't do it with any
21 other design. You couldn't even do it just
22 by looking at those who converted on drug

1 versus those who converted electrically,
2 because those might be different patients.
3 The electric will be more patients, the drug
4 might have been patients who had been
5 function to start with.

6 You'd really have to take a pure
7 strategic strategy approach.

8 DR. MASSIE: But we do have some
9 relevant information. It did appear that
10 hypotension was more common with the drug in
11 the people who had heart failure. Isn't that
12 right?

13 And so who knows the reason, but
14 if in fact that would be a group that might
15 depend more on their atrial function, first
16 of all. And maybe hypotension is invasive
17 dilation. Maybe it's atrial. But it's a lot
18 of speculation.

19 But otherwise I don't think we
20 have any way to answer this question, other
21 than just that it raises some interesting
22 points.

1 CHAIR HIATT: And to your point,
2 Michael, I think that there could be three
3 groups - the spontaneous converters, the
4 electrical converters, the drug converters.
5 But again why would you care? It has to be
6 driven by whether that will be so many long
7 term sequelae due to alterations in atrial
8 hemodynamics. And that is the link I'm
9 having a hard - because remember, the drug
10 effect is very transient. So whatever it did
11 to set up some kind of cascade of events that
12 might be bad or may be good, I think it'd be
13 really hard to tease out.

14 Now I think to your point, Barry,
15 I think that actually ties that back in to
16 some of the safety concerns, and there it
17 might be relevant.

18 DR. STOCKBRIDGE: So I didn't quite
19 hear how badly anybody wanted to know the
20 answer to this.

21 CHAIR HIATT: I don't feel strongly
22 that that's something I would ask for. We

1 are intellectually curious, but - so it
2 doesn't sound like the, does it matter
3 question, at least at this stage, it doesn't
4 seem to matter a lot.

5 DR. LINCOFF: In part because we
6 don't even know what the standard electrical
7 cardioversion does.

8 CHAIR HIATT: Right. We don't know
9 what any therapy does to that particular
10 constellation of atrial function
11 measurements.

12 How much of a safety concern is
13 torsades? Have the rates of torsades been
14 adequately characterized in the patient
15 population, and at the doses for which
16 vernakalant will be used? For how long,
17 either hours or QT prolongations, should
18 rhythm be monitored after exposure to
19 vernakalant? Does this time need to be
20 adjusted for the 2D6 inhibitors and for poor
21 metabolizer phenotypes?

22 Start with the rates of torsades,

1 have they been adequately characterized at
2 the doses used?

3 DR. MASSIE: They are somewhere
4 between nil and ibutilide. I mean I think
5 there probably is a risk of torsades. It's
6 not really very apparent though. So I would
7 say it's low.

8 CHAIR HIATT: But you don't really
9 mean that it's as high as -

10 DR. MASSIE: No, no. It's a big
11 window, but I don't think it's high. I mean
12 there is a confidence interval.

13 CHAIR HIATT: Does anyone doubt
14 that torsades is related to this drug?

15 DR. MASSIE: I'm not sure.

16 DR. LINCOFF: I do. I mean this is
17 the limit, this is the limit of the small
18 sample size. This is really where we run
19 into the problem of small sample size.

20 But I think there is a very real
21 possibility that there is not torsades
22 related to this.

1 The one episode of torsades that
2 was early was after a patient got ibutilide.
3 And you know the other ones were later, and
4 they were the same rate in the placebo group.

5 And the prolongation of QT is
6 trivial compared to ibutilide and the other
7 agents that are pure potassium channel
8 agents.

9 So you know there is a real
10 possibility that this is not an issue with
11 this drug. It might be, and that's why we
12 clearly need more data. But I think what
13 we've got now does not provide a signal to me
14 that -

15 CHAIR HIATT: So if the drug didn't
16 prolong the QT interval, but if there is
17 biologic plausibility, you still don't see a
18 link? If it did not, that's one thing.
19 But this drug prolongs the QT interval.

20 DR. LINCOFF: But the prolongation
21 is very mild.

22 CHAIR HIATT: I understand. But of

1 course we don't know the outliers. We don't
2 know the shift of curves if you will on those
3 - the means and population changes aren't
4 that great.

5 DR. MASSIE: It's not small. We
6 were talking about 20 milliseconds, right?

7 DR. LINCOFF: It's much smaller
8 than the other drugs.

9 DR. MASSIE: I know, but we're
10 talking about a chronic drug exposed to
11 people in the population, we are in a
12 ballpark where the agency would be not even
13 wringing their hands but say, go out and
14 prove that this is harmless. It's not
15 trivial. It's transient.

16 So I would say my default thing is
17 it might increase the risk of torsades. It
18 doesn't increase it hugely, and we need more
19 data.

20 CHAIR HIATT: And remember that, I
21 do think that that is real, but it's in an
22 acute setting. It's highly monitored. The

1 drugs wash out, and then one of the questions
2 will be, how long do you have to monitor
3 people, so that if there is QT prolongation,
4 which we think there is, and if there is even
5 the chance of related risk, then I think the
6 answer to that is, you can monitor these
7 patients until the risk goes away.

8 DR. LINCOFF: I'm not saying we
9 have enough information to exclude it. I'm
10 saying we don't have a signal, and certainly
11 I think it's likely to be much less than a
12 drug that has more substantial QT
13 prolongation.

14 I mean QT prolongation with
15 ibutilide, the estimated - point estimates
16 are, what, about 3 percent? So this I think
17 is clearly much less than that.

18 DR. HARRINGTON: That would be my
19 perspective, that if you just look at the
20 data that Dr. Ruskin showed us, one out of
21 700-and-some cases gives you an estimate of
22 .13. You have a boundary on the confidence

1 interval of .61. You know, do I believe that
2 there is prolongation of the QT? I did have
3 a question for Norm. It mentions that they
4 did not do a standard QT study.

5 With this type of drug, with the
6 amount of electrocardiographic information
7 they have, does that suffice in this arena?
8 Or was it because they started this
9 development program before you really
10 launched full bore?

11 DR. STOCKBRIDGE: No, we do not ask
12 for a classic thorough QT study a la ICH E14
13 for a drug that clearly prolongs the QT.

14 CHAIR HIATT: Okay. So back to
15 the question, though. I mean, there are
16 these events in the database. How well
17 characterized are those events of torsade? I
18 mean there --

19 DR. MASSIE: I strongly believe
20 that they need to be. Post-marketing or
21 whatever, I think we need more information.

22 CHAIR HIATT: Well, they exist in

1 the database. They are characterized to the
2 degree that they are in the context of a
3 randomized trial.

4 DR. MASSIE: The torsade, you
5 mean?

6 CHAIR HIATT: Yes. They just
7 aren't that many.

8 DR. MASSIE: Right, and the worst
9 one is actually associated with ibutilide, so
10 --

11 DR. HARRINGTON: Yes, but still --
12 yes, I mean this is the issue. Mike just
13 said that, that we have a small sample here.
14 We -- if you just look at the two phase II
15 trials, we're talking about low hundreds of
16 patients exposed to the drug. Yes, we saw
17 some baseline demographics with -- that
18 suggested that these patients were -- had
19 some characteristics of the overall AFib
20 population, but in general, this was a pretty
21 healthy population, and the one person who we
22 know about that was really sick, got the drug

1 and died. So --

2 DR. LINCOFF: Not primarily of
3 arrhythmia. I mean that patient had two
4 severe hypotensive episodes and then --

5 DR. HARRINGTON: And then had an
6 arrhythmic event and --

7 DR. LINCOFF: Right, but, I mean,
8 we know that you get hypotensive with an
9 aortic stenosis. I mean I think that's a
10 drug-induced death, but I don't think it's a
11 VF death. I think it's a hypotension death.

12 DR. HARRINGTON: I would say that
13 we have drug death and everything in between
14 is open to speculation.

15 CHAIR HIATT: I think we cannot
16 exclude the possibility that in a broader
17 population, the torsade's going to be
18 something to deal with and the question is
19 not we can't prove it. It is in this
20 database. There may or may not be a
21 relatedness. I'm not sure I'm too obsessed
22 about that because it's there and so it's

1 going to have to be looked for very
2 carefully.

3 DR. STOCKBRIDGE: Okay. So you're
4 talking about, maybe, some kind of registry
5 to follow rates of torsade in the future. Is
6 that what I hear? Is that where you're
7 going?

8 DR. LINCOFF: As well as other.

9 CHAIR HIATT: Yes. I mean
10 probably more than just registry, you know,
11 but really kind of looking at observational
12 studies in more formal ways, you know, that
13 allow you to adjust for treatment decisions,
14 which might directly impact the risk of
15 torsades, and other factors.

16 DR. MASSIE: I think there are
17 complementary ways of getting at this. I
18 think a consecutive series of people with a
19 lot of data and some prescribed ECGs would be
20 good, and I think the ones that come up as
21 reports, then you have to do what you're
22 saying, is use the observational whatever

1 techniques we have available and if the two -
2 - neither shows a signal, it's easy. If one
3 shows -- if both show a signal, it's easy,
4 and if you get half -- mixed results, then
5 it's complicated.

6 CHAIR HIATT: So I guess we're
7 saying that the rates of torsade have not
8 been well characterized, that they are what
9 they are in the development program of a very
10 small number of patients with a very minimal
11 amount of exposure, and that that's an
12 unknown that would need to be monitored going
13 forward as exposure increases.

14 "For how long (either hours or QT
15 prolongation) should rhythm be monitored
16 after exposure to a vernakalant. Does this
17 time need to be adjusted for the 2D6
18 inhibitors or for poor metabolizer
19 phenotypes?"

20 DR. LINCOFF: I don't think you
21 can, in practice, do phenotypes. As Dr.
22 Unger pointed out, I think that that's fairly

1 easy. You know, looking at this graph, it
2 looks like the maximum prolongation was about
3 20 milliseconds. Does your average
4 practitioner have the ability to clearly read
5 20 milliseconds on a QT in a patient?

6 CHAIR HIATT: Okay. So then, you
7 might actually need to think more about PK
8 and just come up with some sort of guidelines
9 that would -- because it didn't seem that the
10 metabolizer status was -- had a big impact,
11 but one might want to take just the worst
12 case scenario for DDI kinds of things and
13 metabolizer status and just fix that as the
14 monitoring window, not -- and take the
15 guesswork out of the clinical decision-
16 making.

17 DR. STOCKBRIDGE: So the question
18 really was mostly, should -- how long should
19 the rhythm be monitored. It wasn't how long
20 you should monitor QT particularly.

21 DR. LINCOFF: No, I only brought
22 that up if one of your criteria, which was

1 proposed would be until the QT returns to
2 normal or a time. I'm just not sure most of
3 us, especially, you know, in the ER tracings,
4 et cetera, is going to be able to measure
5 that.

6 DR. HARRINGTON: Yes, I agree with
7 Bill, either you're going to have -- for the
8 average practitioner, you're going to have --
9 the above average practitioner, it doesn't
10 matter, you're going to have to give him or
11 her guidelines that says, X hours. I think
12 Mike's right, that if you start requiring
13 people to look at the Q, forget it. I mean
14 maybe the physiologist will do it. I'll tell
15 you, the busy general cardiologist, the busy
16 emergency room physician will not do that.

17 CHAIR HIATT: Okay. So we all
18 agree with that. So what are you -- you're
19 looking at the data. What do you all want to
20 recommend for any kind of a monitoring window
21 here?

22 DR. CANNON: Well, last night I

1 wrote, two hours, but I'm scrambling to find
2 the data to justify that. I've got it
3 underlined, two hours.

4 DR. MASSIE: You know, I think
5 caution is good. I would -- I'd feel better
6 with three hours and I have no data to
7 justify that, but I am pretty worried about
8 the emergency room use, because monitoring
9 and monitoring (sic) and paying attention and
10 not paying attention and the urge to get
11 people out, you know, we can say anything we
12 want and it is a drug that ER docs would
13 probably like to use, you know, I would
14 guess, on patients, so -- but I just don't
15 think we know enough from the data set we
16 have to be fully comfortable with two hours,
17 although when I looked at, we didn't see
18 anything after two hours.

19 CHAIR HIATT: If you think there's
20 any safety concern at all, why would you
21 compromise on a monitoring window here?

22 DR. MASSIE: Then you've got your

1 registry and your post-marketing thing and
2 maybe you could convince the FDA to cut it
3 back when they've showed that nothing bad has
4 happened.

5 DR. HARRINGTON: Well, Cathy,
6 could you put up slide 33 from the sponsor's
7 presentation? Is that possible?

8 So this is the -- well, maybe the
9 clinical pharmacologist could explain this to
10 us, that presented it, but it looks to me
11 like the curve is truncated at two hours. So
12 we don't know -- I mean this is all modeled
13 data, but --

14 DR. KEIRNS: Sure, we could extend
15 it beyond there. I've actually -- Dr. Kitt
16 had also showed data on the QT for poor and
17 extensive metabolizers, if you want to -- 72,
18 I think it is.

19 DR. HARRINGTON: But the poor
20 metabolizers still have a fair bit of drug
21 hanging around at two hours.

22 DR. KEIRNS: Well, so do the

1 extensive metabolizers, actually. I mean it
2 drops to about half of the concentration
3 within about 30 minutes of the end of
4 infusion, due to the distribution phase, and
5 then --

6 DR. STOCKBRIDGE: That's not what
7 the previous graph shows. The graph shows at
8 two hours, you've got half of the --

9 DR. KEIRNS: No, I mean 30 minutes
10 after the end of the last infusion, if you go
11 back to the slide we just had a minute ago.

12 So if you're -- in our, you know,
13 in our thinking, we've been recently thinking
14 in terms of monitoring from the end of the
15 infusion because, of course, some people will
16 get one infusion, some will get two
17 infusions, and in the clinical trials, we
18 defined everything from the start of
19 infusion, but if you look from two hours from
20 the start of infusion, which -- or 30 minutes
21 from the end of either of the infusions, the
22 concentrations have fallen by about a half,

1 and then the slide -- the slide that actually
2 shows the QTcF changes from baseline for the
3 poor metabolizer and extensive metabolizer
4 population, really don't show any difference
5 in the values between these two populations.
6 There's -- as you see, there's 15 poor
7 metabolizers, which is why the error bars for
8 them are considerably wider and 360 extensive
9 metabolizers.

10 CHAIR HIATT: And it looks like at
11 two hours, the QT is getting back towards
12 baseline.

13 DR. KEIRNS: Pretty close, yes.

14 CHAIR HIATT: So a minimum of two
15 hours after the end of the second infusion,
16 if not three.

17 DR. HARRINGTON: The broad
18 confidence intervals around the poor
19 metabolizers don't bother you? It's only 15
20 -- is it 15 patients?

21 DR. KEIRNS: Right, it's 15
22 patients. Well, the prevalence of poor

1 metabolizers in the population is about five
2 percent. We were able to genotype about 40
3 percent of the patients in our studies, so
4 that's the nature of the data you're going to
5 have, basically, unless you do a huge study.

6 DR. HARRINGTON: But that's the
7 point, that there'll be a group of patients
8 who you can't identify prospectively who have
9 a potential risk of having -- if I take the
10 upper bound of the confidence interval,
11 actually having substantial drug levels two
12 hours later and I don't know who they are.

13 DR. KEIRNS: Well, the other thing
14 we did do was look at PK outliers -- or
15 rather QTcF outliers rather -- that Dr. Kitt
16 presented, and I actually went and looked at
17 the data for those 15 poor metabolizers and
18 there were only two of them that had any QTcF
19 values above 500 milliseconds and those were
20 at the end of infusion. By one hour from the
21 -- or actually, by 30 minutes after the end
22 of infusion, they were back well below 500

1 milliseconds.

2 CHAIR HIATT: Yes, what Dr.
3 Harrington's point, I think, is -- actually,
4 I'm glad you reminded us of this. It really
5 is the end of the confidence interval of risk
6 that we worry about, not the average or the
7 point estimate or the population mean. So if
8 there were a subset of poor metabolizers who
9 did have sustained drug levels past two or
10 three hours, who could be at risk for torsade
11 or other lethal arrhythmias, wouldn't we want
12 to know that?

13 DR. LIU: Can I take a chance to
14 comment on the confidence interval? Jeen
15 Liu, I'm the statistician from Astellas.

16 Can I have that poor metabolizer
17 slide up again, that we were talking about?
18 So I think we are concerned -- the concern is
19 about that last peak of the green confidence
20 interval being pretty high. I think we have
21 to take things in totality. What we have
22 done here is to provide a confidence interval

1 at each time point assuming that my QT at
2 this minute had nothing to do with my QT five
3 minutes ago. So if we integrate all that
4 information, what you're going to see is the
5 confidence interval will shrink. We have to
6 take that into consideration.

7 CHAIR HIATT: So the question
8 remains open, I guess, based on this whole
9 issue about return of QT back to baseline,
10 drug levels, you know, two hours post last
11 infusion, and length of monitoring, is there
12 -- there could be the potential for risk
13 beyond a conventional time point, and so,
14 therefore, longer might be better.

15 DR. MASSIE: Well, there's the
16 other thing is where we focused on torsade
17 and QT, but there's blood pressure and heart
18 rate --

19 CHAIR HIATT: Yes, hypotension and
20 bradycardia and that kind of thing.

21 DR. MASSIE: -- and, I mean, it's
22 not like we know a huge amount about this

1 drug.

2 CHAIR HIATT: Yes.

3 DR. MASSIE: And so if there is to
4 be more data to be collected to get us to
5 know more, I would really want to take
6 caution aside and then, you know, get less
7 cautious when we have more information. That
8 would be my -- it's really less than looking
9 at confidence limits and curves. It's just -
10 -

11 CHAIR HIATT: Well, the amount of
12 patients exposed does not exclude a bunch of
13 outliers as to who could be at risk.

14 DR. KITT: Hi. Could I please
15 have the slide up?

16 We based our 90-minute after the
17 end of the last infusion on a couple of
18 things, and these are the peri-infusional
19 hypotension adverse events. The gray bars
20 are vernakalant -- or, excuse me, the green
21 bars are vernakalant and the gray bars are
22 our placebo, and here are two infusions, and

1 you see that most of the vernakalant-
2 associated hypotension occurs peri-infusional
3 or right after the infusion. Now, this right
4 here is our 90-minute post-infusion time
5 point, if you will, and you'll see from that
6 time point on, once again, other therapies
7 are allowed, but there is a higher incidence
8 in the placebo group compared to the
9 vernakalant group.

10 Can I have the bradycardia slide?

11 Oh, okay. Slide up, please. And this is if
12 you look at bradycardia and sinus
13 bradycardia, and once again, looking at
14 adverse events, a similar kind of curve.
15 Again, we see that most of the bradycardia
16 occurs peri-infusional, and here again is our
17 90-minute after the end of the infusion, if
18 you will, and we see higher incidences of
19 bradycardia in the placebo group.

20 Just -- wait. You just --

21 DR. MASSIE: That interval is
22 labeled 120 to 240.

1 DR. KITT: Right. That --

2 DR. MASSIE: So that is either 90
3 minutes --

4 DR. KITT: Yes.

5 DR. MASSIE: -- or it's a lot
6 more.

7 DR. KITT: What this is, these
8 slides are created -- the way we had cut all
9 of our data was the start of the infusion was
10 time zero. So this was our two, our two from
11 the start of the infusion. So in each --
12 each infusion was ten minutes -- a 15-minute
13 observation period, so actually minute 35 is
14 the -- is the end of the second infusion.

15 DR. MASSIE: Well, we can't micro-
16 manage, but that'd be two hours after the end
17 of the last infusion, which is probably the
18 same as three hours.

19 DR. PRATT: Just -- Craig Pratt,
20 Methodist DeBakey Heart Center, the fourth of
21 the consultants that's here.

22 We all participated in making some

1 tables. They're actually in your document
2 and we're dealing with Table 23 for
3 hypotension, which is on page 72, and those
4 are the hypotension events that actually
5 caused a doctor to say, "Serious adverse
6 event and/or discontinue the drug." So
7 they're the most important ones, and Dr.
8 Massie might agree that, when we look at
9 data, discontinuations and SAEs are important
10 than AEs. All of those events started in the
11 first 60 minutes, the hypotension events. So
12 they'd have all been identified by 90
13 minutes. They certainly lasted longer, but
14 they were all -- the only exception is the
15 patient with cholecystitis and of course that
16 patient wouldn't have gone home because they
17 ended up with a laparotomy for cholecystitis.

18 So I think that if you look at
19 Table 21 and 23, you'll see that these
20 declare themselves long before 90 minutes in
21 almost all patients, unless they were really
22 sick anyway and they're not going home.