

1 And in one study looking at congenital
2 malformations as a function of mercury exposure, there
3 was no association between occupational exposure to
4 mercury and congenital malformations.

5 Occupational exposures in dental
6 professionals. I think we've heard that dental
7 professionals are sort of a select group of persons
8 working with mercury amalgam on a regular basis,
9 probably high levels in some cases.

10 There was a study in which chelation data
11 suggested that the mercury body burden in dental
12 professionals is much greater than that indicated by
13 pre-chelation urinary mercury levels, in that after
14 these dental professionals were chelated, their urine
15 mercury levels were literally ten times what they were
16 prior to chelation, suggesting that the body burden in
17 those persons, dental professionals, is probably
18 substantially higher than other populations.

19 These studies reported that there were
20 neurobehavioral deficits including finger tapping,
21 hand steadiness, visual discrimination, other aspects
22 of neurobehavioral function, and most of these
23 measures correlated with measures of recent or current
24 exposures which were interpreted by these authors as
25 current mercury, not chelated mercury levels.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Chelated mercury levels were thought to
2 represent exposures over a long period of time or
3 represent residual exposures.

4 However, the neurobehavioral deficits
5 reported are not shown in other occupationally exposed
6 where urine mercury levels were higher.

7 Let me say that again. In the dental
8 professionals where these findings were reported, in
9 other studies using the exact battery of
10 neurobehavioral tests, with persons with mercury
11 levels, urine mercury levels much higher, these tests
12 showed no effects.

13 In these studies, there was also no cohort
14 of non-dental controls, so selection bias I think was
15 an issue in these particular studies.

16 And in many cases there was a lack of
17 association between many outcomes and indices of long-
18 term mercury exposure, those being chelated urine
19 mercury values.

20 These observations suggest that these
21 effects may reflect confounding of mercury exposure
22 with other occupational exposure, something that the
23 study designs in these reports simply cannot rule out.

24 There is also some effort in these same
25 studies to look at human genetic polymorphisms and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interactions with urinary mercury levels, with the
2 thinking that certain persons with certain
3 polymorphisms might be more susceptible to the effects
4 of mercury than others.

5 In these studies, again, there was a lack
6 of correlation between indices of long-term mercury
7 exposure and neurobehavioral outcomes.

8 Generally, there was only an effect of
9 current mercury exposure, indicated by urine mercury
10 levels at the time of testing.

11 These studies actually did evaluate the
12 effects of specific polymorphisms, including brain-
13 derived neurotrophic factor and CPOX4 which is a
14 polymorphism of the porphyrin oxidase system--I can't
15 pronounce the entire CPOX word or I would--which
16 appears to be associated with alterations in important
17 behavioral responses, nervous system function, in
18 other words, in humans.

19 The degree to which these polymorphisms
20 might or might not affect a given individual's
21 response to mercury remains unknown, however, largely
22 because of the shortcomings mentioned about these
23 study populations, and primarily related to the lack
24 of proper control groups.

25 Moving on now to studies of humans, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which only exposure to mercury amalgam was the metric.

2 There have been two new very important studies that
3 have been published recently, you've heard them
4 mentioned before, the Bellinger-DeRouen studies, in
5 which groups of children, usually five to seven years
6 of age, had amalgam or alternative fillings placed,
7 and then they were followed for five to seven years
8 after treatment.

9 These were doubleblind clinical trials,
10 and both of these studies, in which there were over
11 500 subjects in which study, found no adverse effects
12 when these children were followed for five or seven
13 years after amalgam placement.

14 The outcomes included extensive and
15 repeated assessments of a multitude of neural
16 behavioral function, including IQs, and these
17 assessments were carried out repeatedly over this five
18 to seven year period.

19 In adult retrospective studies with large
20 sample sizes, the data don't support adverse effects
21 for mercury amalgam. In one study, there was an
22 association between mercury amalgam and the increase
23 in hazard ratio for multiple sclerosis. However, the
24 number of observations was very small, seven out of
25 20,000, and the multiple sclerosis incidence in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 study population was well below that of the general
2 population, which is about 29 in twenty thousand.

3 In fact, the trend for other responses was
4 not in the direction showing adverse effects. Kidney
5 disorders, inflammatory responses and toxic neuropathy
6 actually had lower relative risk.

7 In a cross-sectional study in adults,
8 there was no correlation between urine mercury levels
9 and end points assessing several levels of in
10 neuraxis. Dr. Factor-Litvak talked about that study
11 earlier, and in that study, there was an extensive
12 neurobehavioral test battery also conducted.

13 Additional studies showed significant
14 correlations between the number of amalgam surfaces
15 and decreased vibrotactile response, but the effect
16 was only demonstrable in select groups, and there were
17 unfortunately no urine mercury data, making the
18 interpretation and dose response analysis difficult.

19 Studies that focused on low birth weight
20 infants and persons with Alzheimer's disease found no
21 evidence that mercury contributed to either condition.

22 We also looked at a handful of animal
23 studies that came up and we evaluated I think five.
24 They demonstrated no developmental toxicity associated
25 with mercury vapor exposures when conducted in utero,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that do not also cause maternal toxicity. So they had
2 to administer levels that actually caused frank
3 toxicity to the mother, before they found any
4 developmental toxicity.

5 Exposure to high concentrations of mercury
6 vapor during critical periods of gestation did not
7 cause any significant adverse effects on the
8 electrophysiological outcomes in the rats when they
9 were tested as adults. Though these data are
10 informative, these animal studies offered limited
11 insights into the effects of mercury vapor at the
12 levels experienced by persons with amalgam, because
13 the minimal exposures used in the animal studies
14 were 1000 microgram per cubic meter.

15 So based on the critical analysis of 34
16 peer-reviewed scientific articles published since
17 2003, an evaluation of the literature reviews
18 conducted by the ATSDR and the EPA, and the health
19 effects-based exposure reference values derived by
20 those agencies, we conclude that the peer-reviewed
21 scientific information published since 1997 does not
22 substantially change our comprehension of the health
23 risk of mercury in dental amalgam compared to previous
24 analyses performed by the Public Health Service.

25 We reached this conclusion in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consideration of the information on mercury exposure
2 from amalgams relative to demonstrated adverse health
3 effect exposure levels and to health-based reference
4 values, and in consideration of the potential for
5 health effects in sensitive populations.

6 And with that, I will thank you very much.

7 DR. BURTON: Thank you for your
8 presentation. Do the committee members have any
9 questions for this? Yes? If you have questions, as
10 we were doing yesterday, just turn your light on and
11 we'll pick you up in order.

12 DR. LUSTER: I had a couple questions.
13 You had indicated that a couple of the--indicated some
14 of the key reasons, the EPA studies that were
15 conducted with children or adults with amalgams, and
16 they were using urinary mercury levels as a
17 measurement, and you had said earlier that at the low
18 levels, you felt that urinary mercury levels looked
19 like it wasn't a very good indicator for exposure.

20 Can you comment on that a little further.

21 DR. PAULE: The urinary levels are not
22 good levels of exposure when ambient air
23 concentrations are below ten micrograms per liter
24 cubed.

25 In addition, these studies not only looked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at urine mercury concentrations but also amalgam
2 surfaces. So even in the absence of urinary mercury
3 data, we have information on the number of amalgam
4 surfaces in those studies.

5 DR. LUSTER: Okay. Another quick question
6 then.

7 This is a little bit pre your--but I guess
8 you had an opportunity to review that data. This is
9 the benchmark that was used both by ATSDR and by EPA,
10 was that Favrilie study from 1983, and did you see any
11 limit--well, did those agencies discuss during the
12 development of their document and development of their
13 reference concentrations, any problems, limitations
14 with that particular study, and did you see something
15 more recently, that might be a better study to
16 establish reference sources?

17 DR. PAULE: Well, I think that part of the
18 discussion involved the fact that those were
19 observations in the Favrilie study after chronic
20 exposure, so there was no real, I think, knowledge of
21 exactly what previous peak levels could have been.

22 And those are failings of most of the
23 studies that are conducted after groups have been
24 exposed for long periods of time and then assessed.
25 There's really no way of knowing what peak exposures

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were prior to that time of assessment.

2 But we've not really identified other
3 studies that have produced better data, if that was
4 your question.

5 DR. LUSTER: Yes, that was the question,
6 and EPA had indicated that there might be other
7 studies that they would look at but decided not to.

8 Can you give us a little more detail, what
9 happened in that process, what was the study? What
10 was the reason not to go back and look at it using
11 other data?

12 DR. PAULE: I can't recall that off the
13 top of my head; sorry.

14 DR. LUSTER: Okay.

15 DR. BURTON: That's fine. We'll move on
16 Dr. Amar. Just for the panel members: We cannot have
17 more than four of these little red lights. So if you
18 hit your button and it doesn't come on, it just means
19 that we're waiting. So you'll just have to wait, and
20 we'll just try to start, actually, just work our way
21 around here from the right around to the left and
22 we'll get to everyone to answer their questions.

23 Can we move to Dr. Amar. Please.

24 DR. AMAR: Can you comment on the search
25 engine that you used or that the study used for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 review of the literature in light of recent
2 information that we have. That, for example, if
3 Medline is used, that search may not be comprehensive
4 and we may miss 30 to 40 percent of the literature
5 doing only one search?

6 DR. PAULE: Since I did not actually
7 personally conduct that review, I can't comment on
8 that. I know it was a PubMed search and the search
9 specifics are in an appendix in the report.

10 DR. AMAR: I saw them but everything was
11 run on one search engine; am I correct? There was no
12 cross-referencing--

13 DR. PAULE: Correct; correct.

14 DR. AMAR: --using a different search
15 engine?

16 DR. PAULE: Yes. Yes.

17 DR. AMAR: Thank you.

18 DR. BURTON: Okay. Next.

19 DR O'BRIEN: Your posted presentation was
20 a condensation of what we received as a draft and I
21 wonder if we have a copy of that, of your printout
22 from your--I mean, not your posted presentation, your
23 PowerPoint presentation.

24 DR. PAULE: You should have a copy of the
25 white paper report; yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. O'BRIEN: The white paper report. Is
2 that identical to the PowerPoint?

3 DR. PAULE: Yes. I believe that there is
4 a copy of that--

5 DR. O'BRIEN: I have so many papers here;
6 it's hard to find that.

7 DR. PAULE: Yes. There is a copy in
8 there.

9 DR. O'BRIEN: Thank you.

10 DR. DOURSON: Mike Dourson. I have three
11 questions but I'll maybe just limit it to one and
12 we'll let everybody get a chance here.

13 My question is similar to what I asked
14 yesterday. On page ten of your nice report, and
15 again, thank you, Dr. Paule, for your presentation. I
16 was interested in--

17 DR. PAULE: Is this the white paper or the
18 handout for the--

19 DR. DOURSON: The white paper.

20 DR. PAULE: Okay.

21 DR. DOURSON: Yes. And I can refer to it.
22 I don't think you need to pick it up. You use a
23 value of 5 micrograms per day or less, which is the
24 range of exposures to mercury from folks with
25 amalgams, and my question is, is this estimate--what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is the average dose? This looks like sort of an upper
2 limit.

3 And after establishing the average dose,
4 what is the upper bounds, statistically, if you can
5 give that? And then what percent of the amalgam
6 population really exceeds the 5 micrograms per value?

7 Actually, these are all related questions.
8 It's just characterizing the distribution of, as best
9 possible, to the mercury exposure from amalgams.

10 DR. PAULE: Yes, and although I don't have
11 the exact numbers in front of me, most of the data in
12 terms of mean levels are below 5 micrograms per liter.

13 Those are the range of averages usually.

14 And it's my recollection that 95 percent
15 of persons with dental amalgams fall below that 5
16 percent.

17 DR. DOURSON: Okay. That 5 micrograms per
18 gram.

19 DR. PAULE: Five micrograms per gram.

20 DR. DOURSON: So we have 5 percent above
21 that. Okay. And then just one other clarifying
22 question and then I'll pass the torch on to Dr.
23 Goldman.

24 On page six of your nicely done white
25 paper, there are a couple quotes and I'm just going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to--again, these are quotes from FDA and the first one
2 is, "Oh, they're saying there doesn't seem to be any
3 evidence except for an exceedingly small number of
4 allergic reactions--okay, fair enough--and a similar
5 quote later on--"Except for a rare allergic or
6 hypersensitivity reaction."

7 And my question is somewhat nebulous. Can
8 you, or have you attempted, as FDA or individual
9 investigators, to try to describe the number of people
10 that fall into this "exceedingly small"?

11 Now as a risk scientist myself, I've been
12 asked this question, haven't been able to do it. So,
13 you know, full disclosure.

14 But have you, has FDA tried to quantify
15 what that means, "exceedingly small"?

16 DR. PAULE: No, we have not done that, as
17 far as I know. I mean, I think what we're dealing
18 here with, like any other situation, are bell-shaped
19 curves, and you have persons at one end that are
20 incredibly insensitive and you have persons at the
21 other end that are incredibly sensitive, and to put a
22 number of a figure on that I don't think has been
23 done.

24 DR. DOURSON: Okay; thank you.

25 DR. BURTON: Dr. Goldman.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GOLDMAN: I wanted to ask you a couple
2 of related questions that get into the issue of
3 maternal fetal transfer and neurotoxicity to the
4 fetus. And what I'm seeing, and I tried to find, you
5 know, the relevant articles, and I couldn't find a
6 lot, but on maternal field, transferred the Boder
7 paper in 2000, nice longitudinal study, I thought it
8 was really--after the time of these other reviews,
9 which I think is pretty persuasive in terms of, you
10 know, the fetal blood levels being about the same as
11 the mother's levels, at birth.

12 And also then, you know, for neurotoxicity
13 just a couple of rat studies on Danielson and
14 Fredrickson, same group, and a nice little monkey
15 study that Nulan did, which if I were at EPA trying
16 to do a reference dose, I'd say I can't use them
17 because they don't provide NOAELS or LOAELs. So you
18 couldn't use them to establish a reference dose, or an
19 MRL, or something.

20 But I think that they certainly shed a lot
21 of light on phenomena that are going on in terms of
22 the fetus, and there are some dosage levels that are
23 very nicely presented in those studies, and so I was
24 just wondering what you make of all of that.

25 I mean, is this an area that at this point

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in time, that you guys consider to be kind of, you
2 know, a settled area, that you understand what the
3 dose response relationship is and what's going on? Or
4 is there an area that you would consider to be more an
5 area where we don't really know what the effect levels
6 might be?

7 DR. PAULE: I think that there needs to be
8 a lot more, or that we could benefit from a lot more
9 research in that area, but the studies that--I mean,
10 and again, ours was a limited review--the studies that
11 we looked at suggested that there was no "repro tox,"
12 at very high levels, at least in the rodent model.

13 So--

14 DR. GOLDMAN: But the rat studies are
15 positive. Danielson and Fredrickson's studies are
16 quite positive, and, you know, other than the
17 completely unexposed animals, they have effects at
18 their low and high doses. You know, neurotoxicity to
19 offspring born with--and the exposures are not that
20 high.

21 DR. PAULE: I don't believe those studies
22 were reviewed in this particular effort.

23 DR. GOLDMAN: Okay.

24 DR. BURTON: Let's go over to, over on the
25 left-hand side and then we'll come back across.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ASCHER: Michael Ascher. I'm
2 essentially following up on Mike's question about the
3 5 micrograms per day exposure. Has that been looked
4 at in terms of temporal exposure?

5 I mean, is it two years after the amalgam
6 has been placed? Or ten years? Do we know anything
7 about the temporal exposure in terms of how much vapor
8 is emitted right after the placement, or at the time
9 of placement?

10 DR. PAULE: Most of the values that we
11 have seen in the report are not immediately after
12 placement. I don't know that--I have not seen data on
13 levels immediately after placement.

14 So these are probably in place for months,
15 weeks, if not years.

16 DR. ASCHER: Is there any reason to
17 believe that perhaps two weeks, or five weeks after
18 the placement, the levels might be a lot higher than 5
19 micrograms per day?

20 DR. PAULE: I think immediately after
21 placement, there could be differences in levels; yes.

22 DR. ASCHER: Thank you.

23 DR. FLEMING: Just two quick questions.
24 One is there a couple of studies looking at brains,
25 human brains, and the variability in mercury levels in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these two studies is quite high. I wonder if you have
2 looked at these studies, perhaps, and modeled them,
3 and considered how high these levels might be if the
4 entire population might be involved?

5 Because if you see high variability in a
6 small number of patients, you might see a lot more
7 variability in larger populations, and then this might
8 explain the fact that perhaps only the outliers, as
9 you said, the most sensitive people, are affected by
10 this mercury process.

11 Have you considered the brain exposure
12 with regard to variability and how high these levels
13 might be?

14 DR. PAULE: The charge for this review was
15 to simply look at the published literature and see
16 what those authors presented in their conclusions.

17 DR. FLEMING: I'm referring to two
18 articles.

19 DR. PAULE: We have not modeled and have
20 no plans to model that data.

21 DR. FLEMING: Why not?

22 DR. PAULE: That was not the charge--

23 DR. FLEMING: It's a very standard
24 pharmacokinetics, pharmacologic technique.

25 DR. PAULE: I think we could benefit from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 someone doing that.

2 DR. FLEMING: Yes; okay. I'm going to
3 come back to this issue later on cause I think that
4 the document is very deficient, not because of you,
5 but because the emphasis has not at all been on
6 pharmacologic and pharmacokinetic aspects of mercury,
7 and I think this very much needs to be enhanced in
8 this document.

9 May I ask one more questions, Mr. Chair?

10 DR. BURTON: Certainly you may.

11 DR. FLEMING: Along these same lines, for
12 example, are you comfortable looking at urinary
13 excretion as the most valid and useful way to look at
14 how mercury is excreted in the human body.

15 The reason I ask this is that there is a
16 contention, at least, that this is only 5 or 10
17 percent of the total excretion, and that most of the
18 excretion is in fact for a few biliary or fecal route,
19 and if that's true, are we looking where the light is
20 rather than where the excretion is going?

21 DR. PAULE: In my own personal view, the
22 best metric is the number of amalgam surfaces placed,
23 irrespective of what levels are anywhere. That is the
24 metric that persons are exposed to and that is the
25 issue, I think, that we are concerned with.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FLEMING: And actually, modern
2 pharmacology looks at what the exposure is in the
3 body, in the tissues, rather than the proximate cause
4 of these kinds of levels. I think, again, that I will
5 recommend later on, that we do maybe even a separate
6 paper on the pharmacology and pharmacokinetics of this
7 issue, and again I compliment you on creating a very
8 excellent document.

9 I Just think this is one area where we
10 need a lot more data and a lot more analysis of the
11 data that's currently presented.

12 DR. BURTON: Okay. Ms. Cowley.

13 MS. COWLEY: Filling a cavity is the
14 largest, it's the largest number of implants ever
15 done, and we have 166 total fillings per year--166
16 million total fillings per year. Of that, we probably
17 have 5 percent, which would be about a million people,
18 having either adverse effects, toxicity, allergy,
19 sensitivity, hypersensitivity, or poison.

20 I don't think I've had definitions of any
21 of these, other than I think sensitivity was where the
22 gum turned blue, and then we have all of the people
23 who have spoken to us with some incredible medical
24 issues.

25 So can you help me make sense of what this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 5 percent might be?

2 DR. PAULE: No. First of all, I have not
3 seen that report, or the data suggesting that 5
4 percent of people with amalgams have--

5 MS. CROWLEY: Well, the people with over 5
6 micrograms, I believe, who--

7 DR. PAULE: Oh, the 5 percent over--having
8 over 5 micrograms--

9 MS. CROWLEY: Who might have, you know, a
10 problem. Let's just pretend that they have more--

11 DR. PAULE: I don't know the answer to
12 that question.

13 MS. CROWLEY: Okay.

14 DR. BURTON: Dr. Taylor.

15 DR. TAYLOR: George Taylor from the
16 University of Michigan. Thank you for your very
17 concise and clear presentation and review of the white
18 paper.

19 I have a question, a methodological
20 question. In terms of your evaluation of the studies,
21 and which you included in the report, I know there's a
22 description of the selection criteria for those
23 studies, and yet, did you also consider the quality of
24 the studies in terms of weighing the evidence?

25 Specifically looking at case control

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies versus cohort studies versus cross-sectional
2 studies, I didn't see that in the report to help give
3 me some guidance in looking at a hierarchy of
4 evidence, and how we might attribute the data and the
5 information provided, in reaching the conclusions.

6 DR. PAULE: Well, I think we tried to be
7 more inclusive rather than exclusive. For example, a
8 lot of the work that was done with the dental
9 professionals, we don't believe had the proper
10 controls, and yet we included those in our report
11 because we felt they did contribute some useful
12 information.

13 With respect to the current review, since
14 the focus was amalgam effects, we thought those EPA
15 studies or those human studies in persons in which the
16 metrics or amalgams were the most important.

17 DR. TAYLOR: I appreciate that. If I can
18 just follow up. I think my concern, and my question
19 to you is more about the differentiation in the design
20 methodology for the studies and the kinds of
21 inferences that we might draw from each of the
22 different kinds of designs, and so that's the reason
23 for my question.

24 I was not suggesting that any of the
25 studies that you reported in the white paper need be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 excluded. It was more of a concern of weighing the
2 type of evidence based on the study designs and the
3 kinds of inferences, and the weight to those
4 inferences.

5 DR. PAULE: Yes. I appreciate that
6 question. I think that the gold standard, in many
7 cases, is the prospective clinical trials and two of
8 those were done in children. So we feel that those
9 were very well conducted studies and of the kind that
10 we would like to see more of.

11 DR. TAYLOR: Just to follow up, I would
12 argue that perhaps in some cases it would not be
13 appropriate to administer a clinical trial in certain
14 kinds of exposure outcome relationships, so then the
15 gold standard, we might look at it as some other
16 design as well.

17 DR. BURTON: Dr. Hughes.

18 DR. HUGHES: Michael Hughes.

19 I wanted, first of all, to concur with a
20 couple of comments about the methodological issues
21 behind your search strategy. I think it's potentially
22 below quite widely accepted standards, for instance,
23 from the Cochran collaboration, and so on.

24 It's unclear to me how you got from 200
25 studies that came out of the search, the one search

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that you did do, down to 24 studies that you judged to
2 have potentially the most significant information.
3 And there are other potential issues there. I know
4 you didn't do the search but I think there are
5 question marks in my mind about that.

6 But the main question I would like to
7 pursue is the issue of urine concentrations, and what
8 are the levels that are seen in the general
9 population.

10 I note in your white paper, that you
11 mention occupational exposures which averaged about 20
12 micrograms per gram of creatinine as being associated
13 with neurological deficits.

14 And a comment was made about a small
15 percentage of people having levels, perhaps above
16 five. But you don't really bring that information out
17 in your review, and if you look, for instance, at the
18 U.S. military study, the Kingman study, they actually
19 showed the information for actual levels for
20 individual subjects, and it's in a figure, it's hard
21 to see exactly what proportion are higher than five,
22 but I would say it's much larger than 5 percent.

23 There are an appreciable number of
24 subjects with values between five and ten. A comment
25 was made by one speaker this morning, I think it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 someone from Columbia University who had done a study,
2 and I wrote down, I hope this is correct, that
3 approximately five out of 500 I think had levels above
4 ten.

5 So I think when you're thinking about
6 individual subjects, I would suggest that it's quite
7 possible that there are a reasonable number of
8 subjects in the population who have urine
9 concentrations which are extremely close to the levels
10 that you're associating with neurologic deficits.

11 And I think then the safety issue about
12 how amalgam fillings actually affect those levels
13 becomes much more important, and this factor of thirty
14 that's being talked about, that's in the context of
15 concentrations in air, it's not in the context of
16 concentrations in urine.

17 But I have a feeling that the margins are
18 less than those being discussed here.

19 DR. PAULE: I think in the paper that
20 you're talking about, the Kingman paper, there were
21 levels that were higher, and it could very well be
22 that that was because that was a military population,
23 and I think we've heard from a previous speaker, that
24 because he was in the military he had all his teeth
25 filled.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So it could be that that particular
2 population does in fact have a higher placement of
3 mercury amalgam than other populations.

4 Dr. HUGHES: Okay. Just to pursue that,
5 one other study that's referenced uses an NHANES
6 dataset.

7 DR. PAULE: Yes.

8 Dr. HUGHES: That dataset, as far as I
9 know is in the public domain.

10 DR. PAULE: That's correct.

11 Dr. HUGHES: That study focuses on women
12 in the reproductive age range. It doesn't give
13 individual levels, but it would be very easy to go and
14 look in that different population as to what the
15 levels are. It wouldn't be hard for the FDA to do
16 that.

17 DR. BURTON: Dr. Rizzo.

18 DR. RIZZO: Yes. Thanks for the
19 presentation. One of the interesting parts of your
20 white paper was on page four in which you quote an
21 adverse correlation between urinary mercury levels in
22 dentists and dental workers with regard to
23 neurobehavioral outcomes.

24 But interestingly, occupations with even
25 higher levels of mercury, higher exposure, didn't show

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those same neurobehavioral changes.

2 I'm wondering if that discrepancy might be
3 explained, in part, by differences in the
4 neurobehavioral testing procedures. How closely do
5 those techniques compare? Is it possible that the
6 studies in the dental workers were somehow better or
7 that more sensitive tests were used?

8 DR. PAULE: In some cases the tests were
9 exactly the same, and in most cases, they at least
10 were tapping into the same functional domain. So I
11 think that they're incredibly comparable, which is why
12 it was mentioned.

13 DR. RIZZO: If they were comparable, were
14 you able to put the results together, in, for example,
15 a meta analysis, and were you able to do an added
16 analysis, like a funnel plot or something similar, to
17 see if there was some file-drawer effect. Perhaps data
18 showing neurobehavioral impairments in dentists and
19 dental subjects somehow didn't make it into the
20 literature.

21 DR. PAULE: We did not do that.

22 DR. RIZZO: Thank you.

23 DR. BURTON: Dr. Honein.

24 Dr. HONEIN: Yes. I have one sort of
25 editorial issue and then one question for you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 At the bottom of page 23 in the white
2 paper, there's a summary of the New England Journal of
3 Medicine paper on mercury in primary heart disease,
4 that shows a correlation between toenail mercury
5 levels and fish consumption.

6 But then the summary on the following page
7 states that there was no correlation.

8 So I assume this is just an editorial
9 issue but I do think it needs to be corrected.

10 DR. PAULE: Sorry; could you repeat that
11 comment.

12 DR. HONEIN: So the last sentence on page
13 23, "Significant correlation between toenail mercury
14 levels and fish."

15 DR. PAULE: Yes.

16 DR. HONEIN: And then the third line down
17 under summary of studies on cardiovascular disease, no
18 correlation between. I think there's just a editorial
19 error that has occurred in there. One of those two
20 would be correct.

21 DR. PAULE: We'll correct that.

22 DR. HONEIN: Okay. And then my question,
23 unrelated, in the dental professional study, you raise
24 the issue that the controls might not have been the
25 appropriate control groups since there were no non-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dental controls and there could be other exposures.

2 Do you have any hypotheses about what
3 those exposures might be, that would be of concern?

4 DR. PAULE: Well, since I don't know
5 exactly what dentists do, I don't have anything for
6 certain, but all those people were worked in exactly
7 the same environments. I would imagine that in
8 addition to dental amalgam, there are other chemicals,
9 other sorts of exposures that go on in those dental
10 clinics, that may co-occur with the placement of
11 dental amalgam. I just don't know, so I don't have a
12 particular hypothesis.

13 DR. BURTON: Dr. Goldstein.

14 DR. GOLDSTEIN: Thank you.

15 You know, the charge I think for the
16 committee is to judge, or offer an opinion regarding
17 the adequacy of the white paper. That is the ultimate
18 charge here. I had a few methodologic questions that
19 you may not be able to answer right now but maybe you
20 can arrange to get us the information later this
21 afternoon.

22 When you review a systematic literature
23 review such as this, there are established criteria
24 for judging quality.

25 One is does the clinical premise make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sense? And it gets back to the question that's being
2 asked. I think the question makes sense. The premise
3 was that the prior reviews were adequate, and this is
4 now adding to it, and we obviously have noway of
5 judging that because that wasn't done.

6 Does it include all the relevant
7 randomized control trials?

8 Dr. Hughes mentioned some questions about
9 the search strategy. Using just the single database
10 is obviously an issue. Then usually these types of
11 reviews will then involve hand searching of the
12 article that have been reviewed, to look for other
13 relevant papers.

14 Then they also will very often look for
15 the so-called gray literature as well, and look at
16 other systematic reviews and search those databases as
17 well, looking for other potentially relevant articles.

18 The reason that I'm bringing this up is
19 even just looking at some of the materials that some
20 of the witnesses brought yesterday, and I did a quick
21 search looking for some of them that might be
22 potentially relevant, many of those references aren't
23 included in this database, which gets to the next
24 issue. The criteria for exclusion are well-stated,
25 but what we don't have is the list of articles that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were excluded and the reasons that those articles were
2 excluded.

3 Usually in systematic reviews those are
4 available online, in an appendix in some way, so that
5 way the reader can go through and say, okay, they
6 excluded this paper because of this and I agree with
7 that reason or not. And we just can't do that.

8 There's also a question as to whether
9 there's statistical heterogeneity between individual
10 studies.

11 Now when you don't have a lot of
12 randomized controlled trials to judge, it's hard to do
13 that, but there are very often point estimates and 95
14 percent confidence intervals around whatever outcome
15 it is that you're looking at, and again that's not
16 here.

17 The final thing is that, again, with
18 systematic reviews like this, very often what we'll do
19 at the end is say not only what we know from the data
20 that's available, but also have a list of gaps, what
21 we don't know, what questions remain to be answered
22 that are potentially relevant to the topic at hand.
23 And again, that I don't see here. So again, as we are
24 going ahead and discussing this this afternoon, we
25 have a series of specific questions, but the ultimate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question to us is is this adequate or not? And
2 without answers to those questions, I don't know how
3 we can judge the adequacy of this white paper.

4 DR. BURTON: Thank you.

5 Dr. Kieburtz.

6 DR. KIEBURTZ: A question about the
7 approximate daily dose of mercury at 5 micrograms; or
8 less than five. And then it references--this is on
9 page 10-ATSDR-99 in the WHO document.

10 The WHO document says, quoting: Values
11 generally in the range of 1 to 5 micrograms per day
12 were the estimates in the U.S. population, although--
13 and there's quotes of the Swedish studies. Those
14 estimates were 5 to 9 and an average of twelve. And
15 I'm just wondering what the process was in choosing a
16 lower value of the WHO proposed values.

17 DR. PAULE: I am not certain, how that was
18 chosen.

19 DR. KIEBURTZ: Okay.

20 DR. BURTON: Dr. Goldman.

21 DR. GOLDMAN: Yes. I want to start out,
22 actually, with a comment, because I believe it may be
23 part of what's happening here and maybe you can
24 reflect back on this, is that in being asked--as I'm
25 going back over the white paper, I'm realizing that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the task that you guys were asked to do was almost as
2 if though you were being asked to set a reference
3 dose, to come up with a limit, a regulatory limit for
4 mercury. And it seems to me that what I'm beginning
5 to understand is an implicit interselection of the
6 studies. When I'm looking at the studies that I'm
7 aware of, that you include, and then those that you
8 didn't include, that you were looking for studies that
9 could contribute to the identification of no observed
10 adverse effect levels, or lowest observed adverse
11 effect levels that might be different than the levels-
12 -or perhaps a benchmark dose approach.

13 It might be a different approach than the
14 ones that had been used in the past by ATSDR and EPA,
15 and therefore prompt the development of a different,
16 if you may, regulatory standard.

17 And I think that, you know, it's a little
18 frustrating for me, and I apologize, because I think
19 in doing that job--this is an excellent white paper--
20 you know, because when I'm approaching reading this,
21 what I'm looking for is the studies that might provide
22 information about the safety of the use of the product
23 and management of the risks that might be there, and
24 which would be a much broader array of studies.

25 It might include studies that don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 provide LOAELs and NOAELs, and don't actually inform
2 us on that, and so it's just kind of a comment on
3 this.

4 The question that I wanted to ask is, in
5 your opinion, are any of the studies that you
6 reviewed--do they provide solid information about peak
7 excursions of mercury exposures during procedures such
8 as drilling, fillings that contain amalgam, or placing
9 amalgam fillings?

10 Do we have information about peak
11 concentrations, brief though they might be, that might
12 be occurring during those kinds of procedures?

13 DR. PAULE: Yes. I think it harkens back
14 to Dr. Ascher's question, and we don't have that data.
15 We don't know.

16 DR. BURTON: Dr. Zero.

17 DR. ZERO: In the report, as well as some
18 of the other general discussion, I'm struggling with
19 two terms. One is "sensitive sub groups" and what
20 that means with populations. Are these traditionally
21 sensitive sub groups like fetuses and children? Or
22 does it include, perhaps, an adult population as well,
23 that may be, due to body burden, may also be
24 sensitive?

25 So that's one. Related to that is this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue of hypersensitivities that we keep on throwing
2 out in these reports but there's no definition of what
3 that means. Is this a local short term, acute
4 hypersensitivity? Is it a chronic hypersensitivity?
5 It just seems to be so vague to me, that I don't know
6 how to work with that.

7 DR. PAULE: I think in terms of sensitive
8 subpopulations, certainly children, the elderly, the
9 infirm, those populations are considered potentially
10 sensitive subpopulations. The hypersensitive question
11 I think is a totally different issue and it's not a
12 term that I particularly coined. It's being used out
13 there to define persons that seem to be extremely
14 sensitive to very small levels of exposure.

15 I mean, beyond that, I'm not sure--

16 DR. ZERO: But what obvious
17 hypersensitivities? What are the reactions? What are
18 the presentations? There's nothing beyond that, in
19 anything I've read, that defines those, in any way.

20 DR. PAULE: I think in the past, most of
21 those have related to allergic reactions.

22 DR. ZERO: So it's a local reaction as
23 opposed to a systemic reaction?

24 DR. PAULE: I'm not sure it's all-
25 inclusive or not; but that's been the term.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GOLDMAN: I mean, I think what he was
2 trying to get at, how is it identified or described,
3 or, you know, is there a case definition? Are there
4 any of the literature that--

5 DR. PAULE: Not to my knowledge.

6 DR. BURTON: Dr. O'Brien.

7 DR. O'BRIEN: To follow up on a question
8 Dr. Goldman raised, in terms of what might be really
9 going on out there. I find, and being in dental
10 schools for over 20 years, is that when there's a
11 research study that has been established, people
12 "clean up their act," the committees are notified, any
13 kinds of problems in terms of procedure are cleaned up
14 for the presentation.

15 But what might be interesting, you may
16 have a study, there's an organization, OSHA,
17 Occupational Safety and Health Organization. As far
18 as I know, they regulate mercury vapor levels in
19 dental offices in order to protect the dental
20 assistants, and mainly the dental assistants and other
21 people that are there, and I've heard--I haven't seen
22 this myself--that they will, if they have tips from
23 people, they will come and raid the lab with a Jerome
24 meter, and they will take readings.

25 This is the underbelly of what the problem

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 might be in the dental offices, is, Did you find any
2 studies of which levels they have found in dental
3 labs, and how many, or that type of thing? So we
4 might get an estimate of how far off some dental labs
5 might be from what you report as an acceptable level?

6 DR. PAULE: None of the dental
7 professional studies that we examined even talked
8 about air mercury levels in the workplace.

9 DR. BURTON: When you're finished, please
10 turn your mikes off.

11 Dr. Taylor.

12 DR. TAYLOR: I wanted to follow up on one
13 of Dr. Goldstein's comments, and it's a struggle that
14 I'm having as well, and perhaps you can help. The
15 working premise was that the review would involve
16 literature since, subsequent to the ATSDR and the
17 other reviews.

18 Was there any consideration of the quality
19 of the previous reviews in moving forward to select
20 the new papers, and to move forward in that approach?

21 DR. PAULE: We made the assumption that
22 based upon the previous reviews, had all been reviewed
23 by expert panels such as this one, that we felt
24 confident those reviews were good.

25 DR. TAYLOR: Okay. So at least from my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thinking, it is the working assumption that the other
2 reviews were substantial?

3 DR. PAULE: Correct.

4 DR. TAYLOR: Okay; that's helpful to me.
5 Thank you.

6 DR. BURTON: Dr. Olson.

7 DR. OLSON: In your review of these 200
8 articles that were originally selected out, do you
9 remember if there were any that studied mercury
10 effects, any kind of mercury effect on people with
11 immunocompromised systems? In other words, any folks
12 with immunological disorders, or things that might be
13 considered immunological disorders, and what the
14 burden of mercury may have on their disease?

15 DR. PAULE: We specifically focused on
16 looking for and including any studies with humans
17 involved in mercury exposure.

18 DR. OLSON: So there were none.

19 DR. BURTON: Dr. Sacco.

20 DR. SACCO: I concur with some of the other
21 comments and I guess the one thing I'd ask, in looking
22 at this white paper, is whenever you review a body of
23 evidence, you know, you identify what's out there, you
24 try to characterize the findings, try to come up with
25 some recommendations. I guess what I see as missing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is--and maybe you can try to shed some light on this--
2 is where do you see gaps in the literature? Where do
3 you think more research would be needed? Where are
4 the questions that aren't fully answered by
5 literature, out there? We know what the literature
6 shows and what you tried to digest. But it would be
7 helpful for me, hearing what we've heard in the last
8 24 hours, to getting a better idea, and even to even
9 identifying the white paper, where there may be some
10 other approaches for the next steps and gaps in
11 literature.

12 Do you have some comments to help us
13 there?

14 DR. PAULE: Well, it wasn't officially
15 part of our charge to come up with that kind of thing,
16 and, in fact, in retrospect, I was thinking that would
17 be part of the charge of this committee.

18 We can always use more information and I
19 think that we need to continue to perhaps follow the
20 children that have been implanted and watch them over
21 10, 20, 30 years. As some in the audience have
22 indicated, it took that long for things to develop.

23 Those kinds of data are not available and
24 we need that information.

25 DR. BURTON: Dr. Porter.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. PORTER: Thank you. I'd like to
2 follow up on Dr. Zero's issue about hypersensitivity.

3 I don't think any of us have seen what we would
4 consider a classic idiosyncratic acute reaction or
5 something that was special about any one particular
6 patient here, that would suggest that there is a
7 hypersensitivity category that we can wall off here.

8 I'd like to suggest the possibility, in
9 fact, that what we're really looking at is a
10 hypersensitivity related purely to the idiosyncratic
11 ability of some patients to accumulate much higher
12 levels of mercury than others, and I back this up
13 again by the data in which there is tremendous
14 variability in the brain levels, of the two studies in
15 which there are autopsied brains, and these are small
16 numbers, and the numbers which are actually out there
17 in the tens of millions of people who have these
18 amalgams may be much higher.

19 So I'm suggesting that the
20 hypersensitivity may simply reflect those people who
21 happen to be so unlucky as to have high mercury
22 levels.

23 DR. BURTON: Dr. Luster.

24 DR. LUSTER: Sort of following up on that
25 question but back to the urinary mercury levels again,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and, you know, a lot of the audience indicated that
2 there's negatively charged amalgams and there's higher
3 exposures.

4 Most of the data, the recent data that you
5 were describing, you provided average levels of
6 urinary mercury level, and that could be very
7 misleading, of course, typically with large
8 populations that might be exposed.

9 So do you have any feel for the range of
10 mercury levels within the population?

11 DR. PAULE: I think that in my
12 recollection, some of the highest range went up to 17
13 micrograms per gram of creatinine. I mean, that was
14 an exceptionally high level.

15 DR. LUSTER: And that was unusual or that
16 was--

17 DR. PAULE: That was unusually high; yes.
18 There is a derivation that I think holds up, and that
19 is for every ten amalgam surfaces placed, urine
20 mercury goes up by one microgram per gram creatinine.

21 DR. LUSTER: Right. But that's still the
22 average, so--

23 DR. PAULE: Yes; that's true. That's
24 correct.

25 DR. BURTON: Dr. Fleming.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FLEMING: I wanted to make a comment
2 first, please, sir, and then ask you a question about
3 your studies. The literature is replete with
4 information that would suggest that urine mercury
5 levels will increase, the more surfaces of amalgam
6 that you have.

7 DR. PAULE: Correct.

8 DR. FLEMING: However, the literature is
9 very clear that symptoms do not correlate with urine
10 levels very well. The fact of the matter is, in my
11 clinical experience, that seems to be the case, that
12 urine levels, when you have them available to you,
13 don't seem to correlate with what patients report to
14 you in symptoms.

15 My question to you is, I think some of the
16 variability in urinary mercury excretion in the
17 studies here may be accounted for a retention
18 phenomenon, whether the mercury's being retained and
19 not excreted--for example, you may have mercury
20 workers who have very large levels of mercury
21 excretion, no symptoms whatsoever, and those who have
22 very low excretion levels and may be replete with
23 symptoms.

24 So it doesn't seem to correlate well,
25 symptoms and urinary mercury excretion. So did you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 account for a possible retention phenomena in your
2 analysis?

3 DR. PAULE: No. In fact, we think that
4 dose-related effects are important. If levels don't
5 go up and effects don't go up, then there's no
6 association between exposure and the effect.

7 DR. BURTON: Dr. Diamond.

8 DR. DIAMOND: Yes, sir. I want to follow
9 up on a question that was raised by Dr. Olson. This
10 relates to special populations.

11 You reviewed, one of the criteria was
12 well-controlled trials. In many cases you have
13 inclusion/exclusion criteria which will, you know,
14 which will exclude patients with more complicated
15 medical histories.

16 This is naturally to ensure a more
17 homogenous population with which to study. But at the
18 same time, you're losing a segment of the population
19 that might show up as some possible hypersensitivity,
20 some kind of reaction that would maybe represent 5
21 percent of the population, and so did you exclude
22 isolated case reports, or case studies, where these
23 reactions might be reported? That may be something.
24 Did you think about something like that?

25 DR. PAULE: Well, unfortunately, you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right. I think there have been very few studies where
2 specific populations have not been looked at. Persons
3 with kidney failure, liver failure, the other, infirm,
4 aged persons. So that could be identified as another
5 data gap.

6 DR. DIAMOND: Thank you.

7 DR. BURTON: Dr. Klaassen.

8 DR. KLAASSEN: Yes. I was going to ask
9 about the mercury concentrations in urine. Now the
10 method that you're using measures all mercury in
11 urine, it does not differentiate that which came from
12 the amalgam compared to that which came in fish,
13 etcetera?

14 DR. PAULE: It depends upon the study.
15 Some reported total mercury; some reported actual
16 inorganic mercury. So it varied somewhat. But most
17 of the studies, as I recall, in the white paper, were
18 inorganic mercury.

19 DR. BURTON: Dr. Kieburtz.

20 DR. KIEBURTZ: A question about the
21 documents. You look ed at the NGO reviews. Did you
22 look at other Government reviews?

23 DR. PAULE: No; we did not.

24 DR. KIEBURTZ: Why not?

25 DR. PAULE: I was simply following orders,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 okay, and was given the charge and we took it.

2 DR. KIEBURTZ: Fair enough. I mean, there
3 are other governmental assessment documents. Sweden
4 has one. There's other ones which--I realize it
5 wasn't in the charge, but those are probably, at least
6 from my perspective, relevant documents that have
7 other reviews and other--

8 DR. PAULE: I would think that the other
9 government reviews encompassed the literature that
10 they could identify at the time. So, to the extent
11 that they did or did not include other government
12 reviews, we did not follow up on that.

13 DR. BURTON: Dr. Amar.

14 DR. AMAR: When I heard the testimony of
15 the people coming in, what became pretty clear is that
16 if it is the case, it takes a long period of time for
17 symptoms to appear. It takes a long period of time,
18 decades, two, three decades, for the symptoms to
19 appear.

20 But what's important, and what the people
21 disclose is that the minute they had amalgam removed,
22 it took two-three weeks for the symptoms, or major
23 symptoms to subside.

24 In your review of the literature, being
25 somewhat anecdotal in the report of the literature,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have you come up with conditions that took, or that
2 take decade for the symptoms to appear, immediately
3 after the removal of the potential causing agent,
4 symptoms disappear?

5 DR. PAULE: Well, what we know is that
6 after you remove amalgam fillings, that the mercury
7 levels don't necessarily decline very much, if at all,
8 for very long periods of time. So I have no
9 explanation as to why removal would result in
10 resolution of symptoms over that timeframe.

11 DR. AMAR: Would the literature that you
12 reviewed support a condition like that?

13 DR. PAULE: Not to my knowledge.

14 DR. BURTON: Ms. Cowley.

15 MS. COWLEY: I guess I keep trying to get
16 something to wrap my arms around, this
17 hypersensitivity issue. Do we have any percent of the
18 total population who will be getting a filling this
19 year, that will exhibit a hypersensitivity effect?

20 DR. PAULE: I don't know that number, and
21 I don't know that that number exists.

22 MS. COWLEY: Okay. Another question. In
23 the studies that we've looked at, we have looked at
24 the maternal studies, the maternal/fetal, and we might
25 presume that the dental assistants or dental

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hygienists are all female, but I don't think we can do
2 that. Have there been any gender studies?

3 DR. PAULE: With respect to--

4 MS. COWLEY: Effects of mercury on women
5 versus men. Not pregnant women and fetuses.

6 DR. PAULE: We did not come across any
7 articles on that topic in the current review.

8 MS. COWLEY: Thank you.

9 DR. BURTON: Dr. Ascher.

10 DR. ASCHER: What I learned yesterday,
11 which I didn't know, was that the amalgams have 13
12 percent tin on the average, some probably more, some
13 less, and has there been any characterization of the
14 tin evaporation from the amalgams? Any studies on--

15 DR. PAULE: Not to my knowledge.

16 DR. ASCHER: Is there any reason to
17 believe that there might be interaction between tin
18 and mercury, or may offset the effects of mercury? I
19 don't know.

20 DR. PAULE: I don't know the answer to
21 that question either.

22 DR. ASCHER: Thank you.

23 DR. BURTON: Yes.

24 DR. O'BRIEN: In most fields of medical
25 treatment, there's been an attempt to estimate placebo

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effects, in terms of--for example, with headache
2 medication, there have been good estimates that that
3 could be as high as 30 percent.

4 Did you find anything in the literature,
5 or anyone has tried to estimate placebo effects having
6 to do with dental treatment, not necessarily with
7 amalgam?

8 DR. PAULE: Have we come across any
9 information on placebo effect but not with amalgam?

10 DR. O'BRIEN: No; not necessarily with
11 amalgam but in terms of dental treatment.

12 DR. PAULE: Not that I can specifically
13 recall; no.

14 DR. BURTON: That appears to be the
15 questions at this time, which is good. I wanted to
16 get some of this covered at this point, while you were
17 still here. Thank you very much for your
18 presentation.

19 DR. PAULE: Thank you.

20 DR. BURTON: And your addressing the
21 questions of the committee.

22 Next on our agenda is the start of the
23 committee discussion, which we will discuss the
24 materials presented over the last two days. To guide
25 our discussion, the FDA has prepared some questions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which will be given to us by Dr. Alderson.

2 Dr. Alderson.

3 DR. ALDERSON: Thank you, Mr. Chairman.
4 This discussion, the last few minutes, has been very
5 interesting and I think it kind of lays the groundwork
6 for the rest of your discussions and deliberations
7 today.

8 I want to emphasize to the committee the
9 importance of this meeting to us.

10 In making scientifically-based decision on
11 products we regulate, we rely on the available peer-
12 reviewed science, other information and evaluation of
13 all the material.

14 Through advisory committee meetings such
15 as this, we ask the scientific experts, all of you, to
16 give us your assessment of the material.

17 We also ask for the public comment. We
18 are committed to improving public health and patients'
19 concerns are important.

20 For the subject of this meeting, the
21 potential health effects of mercury in dental
22 amalgams, we have had presentations from our guests
23 from Canada and Sweden. We've been discussing the FDA
24 white paper and we had, by my count, 52 presentations
25 during the open public session. That included a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presentation from a U.S. Congressperson, that being
2 Congressman Watson from California.

3 We use meetings such as this to ensure we
4 have identified and characterized the information on
5 possible health effects of dental amalgams, in a
6 manner that provides the best possible basis for any
7 subsequent regulatory decisions.

8 In addition to the input we have already
9 received during this meeting, and the deliberations
10 and responses that you are about to make, we have also
11 opened a public document for submission of additional
12 information.

13 This docket will be open for 60 days and
14 will close on November the 9th, 2006. Comments may be
15 submitted electronically or via mail.

16 All comments submitted to the docket will
17 be publicly accessible, and we will review them, in
18 addition to the oral comments and the deliberations of
19 this joint committee.

20 Again, I want to thank you all for what
21 you've done and are about to do. You've got some
22 tough decisions ahead of you.

23 And with that in mind, I want to review,
24 with the help of our technician--they're already up
25 there, thank you--the charge which we've given you and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the questions we want you to answer for us.

2 Based on the peer review of the scientific
3 literature, the draft FDA white paper, and any other
4 information, including the information from the 52
5 presentations, discuss the following topics, including
6 issues of quality, experimental design, or other
7 attributes of the specific studies that may affect the
8 weight that should be given to conclusions drawn from
9 them.

10 And first, discuss the direct evidence, if
11 any exists, supporting or refuting the occurrence of
12 adverse health effects for mercury vapor release from
13 dental amalgam devices.

14 This first part is the overall impact that
15 you see. What is the evidence? Then we break this
16 down further. Discuss the indirect evidence, i.e., or
17 e.g., extrapolation for higher dose studies and animal
18 studies, if any exist, supporting or refuting a link
19 between dental amalgam devices and adverse
20 neurological effects at the absorbed doses received
21 from these devices.

22 So now we're talking about the
23 neurological effects in this particular point.

24 Third. Discuss the indirect evidence, for
25 example, extrapolation from higher dose studies and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 animal studies, if any exists, supporting or refuting
2 a link between dental amalgam devices and adverse non-
3 neurological effects at the absorbed doses received
4 from these devices.

5 And fourth, a subject you've been
6 discussing in the last few minutes. Discuss the
7 indirect evidence, if any exists, supporting or
8 refuting a link between dental amalgam devices and
9 adverse effects specific to vulnerable populations
10 such as children, pregnant women, at the absorbed
11 doses received from these devices.

12 In the last few minutes, I think you've
13 started this process already, of these particular
14 points, but this is to lay the groundwork for the real
15 questions we want you to answer.

16 Does the FDA draft white paper
17 objectively, and clearly, present the current state of
18 knowledge about the exposure and health effects
19 related to dental amalgam?

20 And third, given the amount and quality of
21 information available to the draft FDA white paper,
22 are the conclusions reasonable?

23 I think we all look forward to your
24 discussions, and I think you're ready to proceed.
25 Thank you, Mr. Chairman.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BURTON: Thank you, Dr. Alderson, for
2 your support and guidance here.

3 With that charge, why don't we continue
4 on, and we'll move on to the first of the four points,
5 and the first question in evaluating the various types
6 of evidence and its outcomes.

7 So let me pose that question, and I'd like
8 the comments then directed toward this goal. The
9 direct evidence, if any exists, supporting or refuting
10 the occurrence of adverse health effects for mercury
11 vapor released from dental amalgam devices.

12 Dr. Kieburtz.

13 Dr. KIEBURTZ: Just the way the question's
14 framed, I don't think--and it's a two-part question--
15 direct evidence supporting or refuting the occurrence
16 of adverse health effects.

17 As some speakers have already alluded to,
18 I don't think there's any studies in the white paper,
19 or any have been performed with the idea of refuting
20 the occurrence of adverse health effects, so-called
21 non-inferiority or a demonstration of safety. All
22 the studies have been designed to detect a certain
23 level of intolerability or adversity. So I'm just not
24 aware--I'd be interested if other people think that
25 there are studies here that are designed and provide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evidence of refutation.

2 DR. BURTON: Dr. Goldman.

3 DR. GOLDMAN: Yes. I have a suggestion, I
4 think that's an excellent comment, and I have a
5 suggestion on how we might want to organize our
6 thinking about all these questions, and I think that
7 that issue is a very important, kind of, if you may,
8 kind of a binary way of looking at this world of
9 studies, and that is, you know, on the one hand,
10 studies that are designed to look for specific adverse
11 effects like poor performance on neurological exams
12 versus studies that are designed to look at safety,
13 which might include reactions that could occur in one
14 in a 100, or one in a 1000, one in 10,000 humans.

15 And I can already tell you where I'm
16 coming down on that. Well, we don't have any studies
17 in that second category, at all. But anyway. The
18 other thing that I think is worth thinking about is
19 to, yes, split the studies in the world into kind of
20 subpopulations.

21 And so we have adults who have received
22 fillings and studies on them. Children who have
23 received fillings and studies on them.

24 We have adults who have worked in dental
25 settings, preparing and putting in and drilling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fillings, and studies on them, the occupationally
2 exposed.

3 We have the fetus, who might be indirectly
4 exposed through the womb, a transfer from the mother.

5 And I would say those are four very different kinds
6 of studies and that we may--at least I think we may
7 have different conclusions about what the literature
8 that we're looking at tells us about these, and I
9 think that that has also implications in terms of
10 managing risks. So I just kind of wanted to propose
11 those, if you may, kind of almost like an eightfold
12 way of looking at it, although I think this one column
13 of safety studies, there really isn't very much there.

14 DR. BURTON: I would agree very much with
15 what Dr. Kieburtz has said, and the fact that, again,
16 I think we're really sort of looking at things, does
17 the evidence support the fact that there are adverse
18 effects. But I'm not sure that you refuted. It's
19 really a question, can we eliminate that? You know,
20 we're not going to refute its existence, and
21 unfortunately, I don't think that we really got--I
22 don't think that's the correct term or the right
23 guidance.

24 Dr. Klaassen.

25 DR. KLAASSEN: Yes. I'd just like to add

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to what Lynn just said, but a fifth category, and that
2 is the people who have been occupationally exposed,
3 not as dentists, but from the chlor-alkali group,
4 which is probably extremely valuable information, that
5 is, people that have been exposed to real high
6 concentrations of the chemical in question.

7 DR. BURTON: Dr. O'Brien.

8 DR. O'BRIEN: Since the literature review
9 shows that there are limits upon which adverse effects
10 take place, notably what's known in the medical
11 literature as mercury poisoning, and since there are
12 many groups involved, and the amount of mercury that's
13 used by practitioners, and how they use it, is
14 uncertain, I would put this in a classification of an
15 uncertain risk, similar to the risk associated with
16 radiography, antibiotics, aspirin, penicillin, any
17 other type of material with potential risk, but we
18 cannot quantify it, what it is. But we do know
19 suggestible safety limits.

20 DR. BURTON: Yes, Dr. Dourson.

21 DR. DOURSON: I'm a toxicologist, so I
22 have a certain way of thinking about things. One of
23 them is all chemicals are toxins. So this table is
24 filled with toxic material, including that right
25 there, water. We lose, yearly, in the United States,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two or three athletes to water toxicosis. I mean lose
2 them--they die. So to a toxicologist, all things are
3 poisons, and an important consideration is what level
4 is safe.

5 And all chemicals, with maybe some
6 exceptions for genotoxic carcinogens, chemicals that
7 cause cancer, have safe doses, including mercury
8 vapor, and that forms the basis of regulatory agencies
9 throughout the world trying to establish the safe
10 dose, and there's lots of good discussion as to
11 whether the safe dose has been established or not. I
12 mean, maybe we'll even get into that a little bit.

13 So, to me, the answer to the first
14 question is we have evidence that both supports the
15 safety of amalgams, and evidence that we've heard
16 today, and we have some epidemiology studies, those of
17 Echeverria and colleagues, that might suggest that
18 amalgams are not safe.

19 What is important to me in this question
20 is the second part of the question, is effects from
21 mercury vapor released from dental amalgam devices,
22 and I think what needs to be studied here is what is
23 the mercury release, in vapor, from dental amalgam
24 devices.

25 It may be true, and probably is, based on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some of the studies we've seen, that the chronic low
2 level off-gassing to mercury vapor is at or below the
3 safe dose, and we can argue a little bit about that,
4 or discuss it, perhaps better.

5 But what I have not seen--and several
6 people have already alluded to this, this isn't just,
7 you know, all of a sudden. This is one person's idea,
8 several people have said this, is what is the mercury
9 vapor off-gassing right after the amalgam is put in.
10 I have learned from my colleague, Dr. O'Brien, that
11 the off-gassing peaks and slowly goes away, and
12 characterizing that off-gassing would, it seems to me,
13 it seemed to me to be important.

14 And if we have folks that have been
15 exposed, chronically, to amalgams in their teeth, at
16 or near the safe dose, so that they are within the
17 safety range, and then they have an episode of
18 amalgams removed and new amalgams put in, and they
19 peak, which is something we need to study, they might
20 actually be pushed above the safe concentration and
21 therefore have effects.

22 That would be consistent with what, some
23 of the evidence we're seeing. Thank you.

24 DR. BURTON: Dr. Fleming.

25 DR. FLEMING: I want to ask--we can talk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to each other, ask questions across the table. I
2 wanted to ask Dr. Dourson about, we've been talking a
3 lot about the dose response issues.

4 With respect to allergy, from a
5 toxicologist point of view, dose response seems to me
6 to be going out the window. In other words, it
7 doesn't take much of a dose to see an allergic
8 response, and based on some data that I've put
9 together here, some data available as of 01, for
10 example, there were 71 million amalgams placed in one
11 year, in the United States. That amounts of 44,000
12 per hour being installed, as we speak.

13 So if there is a demonstrable risk from
14 allergy, it seems to me the dose response is going to
15 be--it goes out the window in the face of allergy.

16 Now yesterday, I think if I'm not
17 mistaken, in Dr. Mackert's presentation, I saw a
18 number of 6 percent.

19 DR. BURTON: Dr. Goldman.

20 DR. GOLDMAN: If I could comment on that,
21 the actual situation, as we clearly understand, as
22 far as I know, and I recently did a review or relooked
23 at this question of risk assessment for allergens and
24 dose response. Dose does not actually go out of the
25 window. Dose is related to allergic sensitization,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but unfortunately, there are other things that are
2 related as well, such as age and exposure, younger
3 children are more readily sensitized than older
4 people, and so if you're sensitized to something, a
5 good chance you were exposed to it when you were one,
6 two, or three years old.

7 And the second thing is there are genetic
8 differences. Some of us are more readily sensitized,
9 more readily developed, and have all kinds of allergic
10 manifestations than others. So there are
11 interindividual differences that are important.

12 But it is dose-related. But it's also
13 age-related, and the genetics, and there's probably
14 other factors involved as well as just co-exposure,
15 so--

16 DR. BURTON: Dr. Porter.

17 DR. PORTER: I Just want to say that I'm
18 not absolutely sure that we can be positive that the
19 ordinary number of fillings won't in fact, in some
20 patients, some unusual patients, tip them over. For
21 example, in the Guzzi study, those patients who had
22 greater than 12 fillings, had a range from 20
23 nanograms per gram of brain tissue to 500 nanograms
24 per gram of tissue, and that was only in eighteen
25 autopsy patients, and that subset was only six.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I think if you look at what is possible
2 in terms of the variability of what we're giving these
3 patients, that we really have no idea, what the upper
4 limit might be in terms of brain concentrations, and
5 that's what I think most of us are interested in.

6 DR. BURTON: Yes, Dr. Amar?

7 DR. AMAR: I just want to come back to the
8 issue of the hypersensitivity. When I looked at the
9 literature provided, there's no sign from a medical or
10 immunology perspective of an immunological or allergic
11 reaction that you would see with type 4
12 hypersensitivity with the--and the tuberculosis. What
13 you may see--and that's the reason I would like, if I
14 can have the other panel members comment on this.
15 That we should call it probably intolerance rather
16 than a hypersensitivity.

17 And I'm going to give you an example.
18 There are issues in underdeveloped countries, for
19 example, where the water--and we heard toxicology in
20 water--but the levels of LPS in underdeveloped
21 countries that are higher and people do develop
22 tolerance to LPS, or intolerance to LPS.

23 And I'm not so sure that we can speak from
24 a clinical symptomatology seen in the paper's review
25 as well as when I heard the situation. We can speak

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as being a hypersensitivity or an allergic reaction.

2 DR. BURTON: Dr. Luster.

3 DR. LUSTER: I can maybe clarify that a
4 little bit. I'm not sure why we're spending so much
5 time talking about allergies, but I'm an immunologist,
6 so I can allude to it a little bit. But metals are
7 notorious allergens. Chromium, nickel, and mercury's
8 in that group. For an occupational allergist, it's in
9 the top ten category of allergens. The mouth is
10 somewhat protected, however, so it doesn't occur that
11 often with metals that are in the mouth; but it does
12 occur.

13 And there's been studies done with
14 amalgams, and approximately 20 percent of individuals
15 that develop a dermatitis type of response within the
16 mouth area associated with the amalgam, actually patch
17 test positive for mercury. So that would be a
18 diagnosis for mercury hypersensitivity.

19 Much of what you're discussing, though,
20 you're confusing an immunological reaction to an
21 undescribed idiosyncratic reaction. And that's quite
22 different. The term idiosyncratic should be used as
23 something that's not explained. If someone is overly
24 sensitive to a material and gets some type of
25 response, that's an idiosyncratic reaction. It has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 nothing to do with hypersensitivity or allergy or the
2 immune system. So we need to kind of distinguish
3 between those.

4 DR. BURTON: Dr. Ascher.

5 DR. ASCHER: I'll try to address some of
6 the issues in question number one, and I'm not
7 qualified to address all of them. I think we touched
8 upon--some of you mentioned already the methodological
9 issues in the selection of the papers that were
10 reviewed, and so forth, and you know a lot more about
11 it than I do.

12 But walking away from the discussion of
13 the last couple of days, in a way, I really have no
14 problems with the way that the conclusions were
15 addressed in the white paper.

16 I think what's addressed in there makes
17 perfect sense to me. The problem that I have is that
18 I have a lot of questions that have not been answered
19 for me, and they relate to exposure levels, they
20 relate to the composition of amalgams, and potential
21 exposure to other metals, and they relate to the fact
22 that there might be sensitive populations which we
23 know nothing about, and I have actually a major
24 concern about the fact that we're looking at urinary
25 mercury to look at risk assessment, and maybe that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one thing that the committee should discuss.

2 And inorganic mercury, to a certain
3 extent, the majority of it is excreted in the urine,
4 but some of it is excreted in the stools, the biliary
5 pathway, and certainly methylmercury is almost totally
6 excreted in the stool. So I think the numbers that
7 we're looking at are really not very meaningful, and
8 they might be actually an underestimate of the
9 exposure.

10 And there's another issue that we sort of
11 touched upon, which relates to the sensitivity and
12 polymorphisms that might exist in terms of exposure.

13 It's certainly possible, that even under
14 normal body burden of mercury, there is a sensitive
15 population of mercury. Just because the mercury
16 doesn't get handled the way it is in, quote, unquote,
17 what we call normal populations, it's been seen in
18 autistic populations, and that is no reason to believe
19 that something like this cannot happen in people that
20 are exposed to amalgams and mercury from other
21 sources.

22 So maybe what I'm trying to say is that I
23 think, just by looking at this paper, in a sense,
24 we're really limiting ourselves.

25 I'm not sure that we're doing justice to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the topic at hand. So I'm really not sure, really, in
2 essence--if I walk away from here, just talking about
3 this paper, I don't feel that I've really done my job,
4 because I think the paper is very limited in scope.

5 DR. BURTON: Dr. Klaassen.

6 DR. KLAASSEN: I'd like to kind of add to
7 what Mike just said about--you know, using mercury
8 concentrations in urine, or even in brain, as a good
9 measure, I think one thing we have to remember is
10 mercury is not mercury is not mercury.

11 You give inorganic mercury or mercury
12 vapor, or methylmercury, you get completely different
13 toxicities, you get completely different
14 pharmacokinetics, and I think we need to be sure that
15 we're talking about elemental mercury when we're
16 talking about amalgams.

17 And so, for example, if one measures the
18 mercury concentration in the brain, it might not have
19 anything to do with your amalgams. It might have all
20 to do with how much fish you eat. And it's the same
21 way with the placenta. I mean, you know, carbon is
22 different than carbon in different drugs. We don't
23 talk about carbon drugs. We shouldn't be talking
24 about mercury.

25 We're here talking about elemental

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mercury, and I would say that our best data, that we
2 have is the human exposure to elemental mercury from
3 the chlor-alkali plants, because there, we know what
4 they were exposed to, and we know what form that
5 mercury was in. It was elemental mercury.

6 So I think that's one of our best clues,
7 and if we believe in the dose response, which most
8 toxicologists do, that as you decrease the dose, you
9 decrease the response. So therefore what one needs to
10 do is look at the high exposures first, and the high
11 exposures are from occupational exposure, that has
12 happened, quote, around the world, in various
13 conditions, and is still occurring, and see what's
14 happening in those people.

15 Okay. Then you work your way down to
16 lower concentrations. So I guess my message is is
17 that mercury is not mercury is not mercury. And by
18 measuring mercury and thinking all mercury is the same
19 is as foolish as measuring carbon, and none of us
20 measure carbon when we measure blood levels of drugs,
21 I don't believe.

22 DR. BURTON: Dr. Goldman.

23 DR. GOLDMAN: I'm glad you brought up that
24 point because it's definitely a problem with all the
25 human studies, and except for a couple where they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually were able to speciate and look at the
2 inorganic mercury versus the methylmercury, but
3 usually in studies, you know, you do a total
4 measurement of mercury in urine, you are going to see
5 a lot of methylmercury, or blood, or any compartment.

6 And only a few of them. Now the NHANES one did, and,
7 you know, a couple of others did, but only a few of
8 them did look separately at the elemental mercury.

9 And the same is true, actually, for the
10 toxicology studies. The problem with the chlor-alkali
11 worker studies, and we could certainly spend more time
12 dissecting them--I started looking at them and they do
13 have problems. They're not mentioned in the white
14 paper, but the problems are problems that are kind of
15 classic problems in occupational epidemiology,
16 especially with healthy worker effect, lack of follow-
17 up of retirees and people who are disabled, and all of
18 that. And those are terrible biases, and in my view,
19 they're more biased, actually, than the dental worker
20 studies, which also have biases, as has been pointed
21 out.

22 But we could look at them more thoroughly,
23 but we may find also--and I could not see anything in
24 there to show that they had excluded fish consumption,
25 as well among some of those workers, as possibly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 creating random misclassification and exposure, so I
2 think that we have to, you know, have to be careful.
3 To just assume that they're better because they're
4 human studies, because, you know, like all of these
5 studies, they're not controlled and it's up to the
6 researchers to really carefully make sure that they
7 don't create biases.

8 I wanted to go back also to something
9 Roger said earlier about the human brain levels, and I
10 agree that the brain compartment measurements are
11 going to include methylmercury. There are a couple of
12 animal studies that just look at inhalation of mercury
13 vapor and the Danielson study, which was not reviewed
14 in the white paper, published in '93, where mothers
15 were exposed during gestation, mother rats, and there
16 were measurements of the neurological performance of
17 the rat pups, and also those rat pups were sacrificed
18 and they looked at brain levels of mercury, and all
19 the groups had effects, so they couldn't identify a
20 low-effect level.

21 But the brain levels are in the range of
22 the levels that we see in human brains. I mean, they
23 are, for these pups, between 5 and 12 micrograms per
24 kilogram.

25 And so that's pretty interesting, I think.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so I just feel that, you know, one of the things
2 that we have to conclude is that we have a major data
3 gap in terms of the sensitivity of the fetus. I mean,
4 every animal study that's published, of course, there
5 could be publication bias. You know, there are major
6 effects on offspring, including, by the way, the same
7 group, the Danielson group, they have a subsequent
8 paper showing at least an additive, maybe a
9 synergistic effect between exposure to mercury vapor
10 and methylmercury, and in the real world we have both
11 exposures occurring, and we should be concerned about
12 that as well.

13 And that's also not mentioned in the white
14 paper but, again, it's a study that would not allow
15 you to come up with a regulatory standard.

16 But I come out of this very uneasy about
17 what we don't know, about both the exposure levels
18 during dental procedures, what the transfer of that
19 might be to the fetus and what the impact of that
20 might be on the developing brain, and everything that
21 we know about other forms of mercury, methylmercury,
22 the time that seems to be the critical time is during
23 brain development, in utero.

24 And so that would be the most important
25 thing to know in terms of assessing safety, and we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't know it.

2 DR. BURTON: One more question, and I'll
3 make a little summary, and then we'll break for lunch.

4 Dr. Diamond.

5 DR. DIAMOND: Yes. This is more a
6 definitional question. There it's stated as adverse
7 health effects. We're getting very granular in our
8 discussion with regard to causality. We're getting
9 into a lot of the specifics with regard to
10 hypersensitivity and some of the mechanisms of, well,
11 potential mechanisms of toxicity. But is this
12 definition that we're using more toward the regulatory
13 definition that we use for adverse experiences that
14 are common to drug devices and biologics? Because
15 that's a very different thing.

16 In that case, you know, these are
17 associated with the use of a product, whether or not
18 considered related to the product, and are we to look
19 at within that context, or do we need to look at it
20 with the specific due causality?

21 You know, that might help to guide the
22 discussion.

23 DR. BURTON: At this point, I'd just like
24 to try to summarize what we've covered in just the
25 last few minutes. In addressing it, it would appear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what I'm hearing so far--and Dr. Kieburtz will
2 continue this after lunch--but at least initially,
3 what I'm hearing, particularly at least in answer to
4 Part A of one, is that the direct evidence, perhaps
5 mainly because a lot of the components that we don't
6 see in the white paper, and in the materials that are
7 presented, that we can't see direct evidence, really,
8 supporting, or really refuting.

9 We have a big question whether we can
10 refute anything, given what we have in terms of
11 information. But I would at least try to answer the
12 first, that A, appears to be what I'm hearing, and if
13 anyone, please respond to this, is that the direct
14 evidence doesn't seem to exist that supports, you
15 know, that at that point.

16 Does anyone care to comment on that?

17 Dr. Hughes.

18 DR. HUGHES I guess if you take the two
19 pediatric randomized trials, I would consider them
20 direct evidence of the effect of amalgam versus non-
21 amalgam fillings. You can debate whether they support
22 or refute the occurrence of adverse health effects.
23 They were designed to look at adverse health effects,
24 neuropsychological outcomes, not to look at a
25 beneficial effect of those particular devices.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I would say that those are as close as
2 we get to direct evidence, looking at adverse health
3 effects. They were designed, if you look at the U.S
4 study, it was designed to look at, or to detect a
5 three point difference, I think it was, in IQ scores.

6 The paper presents a confidence interval which has
7 its bounds within--or smaller than three point
8 difference.

9 So arguably, if you accept that a three
10 point difference is significant, clinically, arguably,
11 they would provide direct evidence refuting the sort
12 of adverse effect that the study was designed to
13 detect, and if you combine the evidence from the two
14 studies, maybe it's more persuasive. I think the
15 caveat there obviously is that although these studies
16 I think followed the children for five or more years,
17 it is relatively short term in terms of how long those
18 children, and when they become adults, might be
19 exposed to the two different types of filling.

20 And secondly, one study certainly mentions
21 this. That a lot of the fillings obviously were in
22 primary teeth, which were lost early in follow-up. So
23 the extent of exposure to amalgam fillings, there's
24 clearly a difference, if you look, even at five or
25 seven years. The extent of exposure may be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comparatively small compared with levels that are--or
2 exposures being seen in a broader population. But I
3 think in my mind, they provide as close as we get,
4 some measure of direct evidence of the effect of
5 amalgam devices, and it's hypothesized that any
6 difference there would be due to the mercury vapor, I
7 presume.

8 DR. BURTON: Dr. Goldstein.

9 DR. GOLDSTEIN: I agree, you know, a
10 prospective randomized trial is always the gold
11 standard for detecting effects, but again, I think you
12 need to also, in addition to the limitations Dr.
13 Hughes had mentioned, there are also other potential
14 ones. For example, what we're doing here is looking
15 at a given population with a standard error around it.

16 That's not to say that there isn't a group within
17 there that might have had divergent effects, that you
18 couldn't detect because it's underpowered to detect it
19 and the study wasn't designed to look for those types
20 of things.

21 So you get the answer to the question that
22 you ask. If you take this group of children, given
23 what happens to them in an intention to treat, this is
24 what you get.

25 But in terms of refuting a potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 detrimental effect in a potentially very clinically
2 relevant sub group, you won't see that unless you look
3 for it and the study is empowered to do that.

4 So with that additional caveat, I agree.

5 DR. BURTON: Dr. Goldman.

6 DR. GOLDMAN: Yes. Actually, that's
7 almost exactly what I wrote down before we started the
8 discussion. I even think, from those two studies,
9 that we can even have some idea, if there is a
10 subpopulation of that type, kind of, you know, how
11 important it is.

12 I mean, it would probably--you know, I
13 think what we're talking about is that it could be a
14 subpopulation that is in the range of, you know, one
15 percent, one in a 100, one in a thousand. These
16 studies couldn't detect subpopulations and effects in
17 subpopulations that are that small, and it's just the
18 limitation--you know, this kind of epidemiology just
19 wouldn't be able to. It's not a "knock" on the
20 studies. It's just simply an inherent limitation to
21 the kind of studies that are here.

22 I would also say--and I read her study and
23 now I don't remember her name, the person who
24 presented to us this morning, and the study that was
25 published in Environmental Health Perspective on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dental fillings in adults, I think also provides the
2 same kind of evidence, even though it was not a
3 prospective clinical trial, I was very impressed that
4 they did a pretty good job controlling for, you know,
5 potential confounders, had decent exposure measures,
6 not just, you know, teeth filled, and that it does
7 provide some information about safety, again, not
8 necessarily that there isn't a subpopulation that's
9 more sensitive but in the general population of
10 safety, and I felt that that study was reassuring in
11 terms of, you know, fillings, in the general
12 population. Again cannot refute that there might be
13 more sensitive groups, and that, in fact, I think to
14 find that, you would need to go about looking for them
15 in a completely different way, and I hope we can get
16 to discussion on that at some point, because I think
17 at some point, we ought to talk about what we might
18 recommend to the FDA in terms of being able to not
19 necessarily refute but seeing if more specificity can
20 be put around some of these--I don't want to say
21 hypersensitivity because I agree with what Michael
22 said. We don't want to confuse it with allergy,
23 because we're not just talking about allergy. But if
24 we have sensitive subpopulations, who they are, how
25 those might be identified, and some of the issues that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we've heard about for the last couple of days might be
2 able to be studied.

3 DR. BURTON: A last comment from Dr.
4 Goldstein, and then we'll break.

5 DR. GOLDSTEIN: Yes, and again, I think
6 the way to frame our approach to all of these
7 questions is that list that I ticked off when we had
8 the presentation of the white paper, looking at the
9 adequacy of the methodology that was used to produce
10 in the papers and the studies that are actually
11 included here.

12 That's the database that we're working
13 from and I can't be assured that that's adequate or
14 not, based on the methodological issues that I raise.

15 DR. BURTON: Thank you to all of you, and
16 I'll be happy to let Dr. Kieburtz take over this
17 afternoon and I appreciate your support, and perhaps
18 what we should think about during our break is that,
19 you know, one of the things I'm sort of hearing in
20 here is that as we go through, you know, A, B, C, and
21 D, are sort of issue raisers, but in a lot of ways
22 they don't really answer a question.

23 And I'm not sure that they're framed as a
24 question. You can say yes or no, or you could say,
25 well, we vote one way or the other. Perhaps the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 components of A through D really just give us a little
2 guidance then to affect questions two and three.
3 They're really contributors to that because they make
4 up a point of whether we feel that they clearly and
5 objectively present the knowledge that we have, and we
6 can say yes or no, and given that, do we have
7 conclusions, and if not, then we need to be able to go
8 back to the FDA with why we feel that their white
9 paper--so I guess I feel that--we can think about this
10 during lunch--is that we really have two questions to
11 answer, which is two and three, and then A through D
12 really are sort of the factors or the contributors to
13 how we feel that those two questions should be
14 answered.

15 DR. KIEBURTZ: Can I just remind people to
16 even think about it but don't talk about it. That
17 talk happens here on the record. Okay. It's very
18 important for the public to hear that talk. Think
19 about it but talk about architecture.

20 DR. BURTON: We'll break for lunch at this
21 time. Please be back shortly after 1:00 o'clock.
22 Thank you.

23 [Whereupon, a luncheon recess was taken at
24 12:19 p.m., the Advisory Committee to reconvene at
25 1:00 p.m., the same day.]

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. KIEBURTZ: Well, maybe, even the
2 absence of some of folks being here, we don't have
3 that much time to discuss these matters, so I think we
4 will reconvene, even in the absence of the executive
5 secretary.

6 So just to mention some points about this
7 afternoon. The open public hearing is closed. This
8 is a period of time for discussion amongst the members
9 of the committee.

10 Members of the committee can address
11 questions to each other. They can address questions
12 basically to anyone they want to--prior speakers,
13 members of the public. You're allowed to address
14 questions to whom you want. The public cannot address
15 questions to the committee. No one's theoretically
16 supposed to ask anyone anything, unless I recognize
17 you.

18 So even though Michael or Darrell are not
19 here right now, between the two of us, we'll try to
20 catch your eye, get a list of people. So if you want
21 to put your hand up, put your microphone on, once
22 Darrell or I catch your eye, we'll put your name down
23 and make sure we have you on the list.

24 Try to get around, to make sure that
25 everybody says something who wants to say something,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 before we go back to someone who's already had
2 something to say.

3 I think it is important for the FDA and
4 for the public, for people to have their say. This is
5 a chance. 4:30, we're done. We will have voted and
6 we will have had our say. And then it's over. So
7 this is it. There's no other information to be
8 gathered, or timeframe in which this is going to
9 happen. It's now.

10 The consultants are deputized as voting
11 members. The only individuals who do not vote in this
12 are the industry representative for the device panel,
13 the consumer representative for the device panel, the
14 patient representative from the device panel, and the
15 industry representative from the PCNS. So those four
16 individuals at that end of the table cannot vote.
17 However, active members in the conversation,
18 everyone's point of view is important and valued and
19 should contribute as they see fit.

20 But when we actually do come to the vote,
21 which will be on questions two and three, the really
22 only votable questions, the discussion will be around
23 question one.

24 I think, in fact, we'll probably, not to
25 presage things, I think the discussion we want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really engender around one will lead to voting around
2 two and three.

3 When you vote, you vote yes or no. You
4 can abstain, but I don't think that's so useful. In
5 fact, in many of these things, I think for the agency
6 Dr. Alderson can comment, he's here, if he wants to,
7 but it's better to say no and why or yes and why than
8 just a no and a yes. Not a lengthy discussion. And
9 when we vote, if someone says I vote yes, and such and
10 such, this is the reason, I won't entertain engagement
11 of that person's statement.

12 So if Dr. Goldstein votes yes and says
13 blah, blah, blah, I won't let Richard ask him a
14 question about his reasoning. I'll go around and let
15 everybody vote and then--so you'll have an
16 unrestricted coda. You know, you can say your little
17 piece about why you vote. Do people understand that,
18 or are comfortable with that scenario? Questions
19 about that? A question here?

20 Yes, please.

21 DR. O'BRIEN: Yes. The question regarding
22 the vote. I was made to understand there are three
23 categories of response, one being, I suppose, no,
24 one's yes, and then there's qualified yes or no. Am I
25 correct in that or am I misunderstanding?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. KIEBURTZ: It would be useful to vote
2 yes or no and explain yourself, either way, because,
3 again, this is not like--Dr. Alderson, do you want to
4 comment at all about that, on what would be useful for
5 the record and for the agency.

6 DR. ALDERSON: I would be glad to. I
7 think you're thinking the same way we are. Any
8 qualification that you can give us on your thoughts on
9 these issues, we want to receive that. We really
10 genuinely want your input on the issues we've
11 presented before you. And it's clear from listening
12 to the discussion, you've got a lot of issues.

13 So, you know, give us that feedback. And
14 while I'm on this, there are a couple of things that
15 we've talked about during the lunch period, that we
16 really see you having some difficulty with and we'd
17 like to encourage you to help us on that, and the
18 first one is on this issue of whether to use the urine
19 levels or not as a measure.

20 Yes; that's one thing we've been using.
21 But if that's not appropriate, give us feedback on
22 that. We will want to know how to do this the best
23 way. So that's the reason you're here; you're the
24 experts. Help us.

25 The second point that the folks picked up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on is from Dr. Porter. He has mentioned two studies
2 regarding brain levels. We're not aware but one. So
3 help us identify both of those, if you will.

4 But as you're in the discussion this
5 afternoon, as things come up, that we're going to prod
6 you a little, beyond maybe where you are, we will do
7 that. Is that helpful?

8 DR. KIEBURTZ: Yes; thank you. I think
9 that's very helpful.

10 So to reorient ourselves. Dr. Zero?

11 DR. ZERO: The point that was just raised,
12 I think to me, personally, is a fundamental issue, and
13 that is, are urine mercury levels a validated circuit,
14 a valid circuit of body burden? Is body burden the
15 issue here or is it--what are we talking about here?
16 And if we're talking about body burden, are urine
17 mercury levels a valid circuit?

18 Frequently, when I work on the FDA on the
19 other end of the street, you know, when we're putting
20 in submissions for consideration, they come back to us
21 with the question, Is this a valid surrogate?

22 So I want to turn the tables here because
23 I really think it is a pivotal and key point here.

24 DR. KIEBURTZ: Thank you.

25 Let me just frame up, at least from my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perspective, having the bully pulpit, part of where we
2 are.

3 I think it's important for us to realize
4 that we do have to come with some concrete responses
5 to the questions, and which are--as you've already
6 seen laid out and I won't reiterate them--but you can
7 see that, you know, an important aspect is objectively
8 and clearly present the current state of knowledge
9 about the health effects of dental amalgams, and are
10 the conclusions of the white paper reasonable.

11 Now one thing we may want to point out,
12 which I've already heard articulated, is that the
13 current state of knowledge is inadequate to fully
14 address some of the questions. And I think that would
15 be very important.

16 But a very important thing here is does
17 the white paper, again, objectively and clearly
18 present the current state of knowledge?

19 We could want the current state of
20 knowledge to be a lot different than it is. But I
21 just want to keep those two issues separate.

22 Dr. Alderson.

23 DR. ALDERSON: And that's an important
24 point to us, that I failed to mention earlier. The
25 word data gap has come out in a number of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 committee members. We need that badly. Your
2 identification of the data gaps that exist, to help us
3 make this decision on the safety of amalgams, is so
4 vital to us.

5 So, you know, in your consideration of the
6 questions, yes or no, you know, a qualifier is "But
7 here's a data gap." That's critical.

8 DR. KIEBURTZ: So returning to some of the
9 discussion about the questions 1A through Part D, I
10 think that some of the things we heard--and Dr. Burton
11 already summarized it but I'll just say it again.
12 Some of the things we already heard, there are two
13 randomized trials. There's the ranch hands and the
14 New Zealand defense forces, and I'm going to forget,
15 say her name wrong--the Factor-Litvak study, all of
16 which are fairly large population based kinds of
17 studies, looking at the issue of is there an
18 association between amalgams and adverse health
19 effects, and those relatively high quality kind of
20 studies in terms of drawing inferences about a
21 relationship.

22 To my read, and I haven't heard comment to
23 the contrary, do not provide evidence of adversity
24 from dental amalgams.

25 There are limitations to all those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies, and I'm not aware of other studies of that
2 quality, not just using the white paper but doing my
3 independent searches of the literature, of other
4 studies of that character and quality which have
5 substantively different observations.

6 Is that in keeping with people's--I'm not
7 discussing the issue of urinary measures or other--but
8 just the phenomenological association of amalgams and
9 adverse clinical effects.

10 DR. GOLDMAN: I'm in agreement with that
11 and it's just with the same, you know, proviso that we
12 talked about earlier, which is that these studies, by
13 design, cannot tell you about subpopulations that
14 have, you know, genetic susceptibilities or other
15 special susceptibilities.

16 But, you know, for what they are designed
17 to do, I would agree with what you said.

18 DR. KIEBURTZ: I think that brings to mind
19 another point. Several of the articles, again,
20 already alluded to Dr. Factor-Litvak's paper. The
21 Kingman paper. Also with Kingman is the ranch hands,
22 U.S. Air Force data, and the Ellingson is the chlor-
23 alkali workers, so slightly different.

24 But one of the interesting things in
25 looking at those are there are urinary concentrations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the reference population as well as exposed
2 populations. Also the Bellinger randomized trial.

3 It's interesting to see just how much
4 variability there are in these measures of urinary
5 excretion. I believe it's in the Kingman, there are
6 excretions which are graphed out, you see there's
7 tails out, but there are quite high excretions,
8 thirty-five as opposed to the sort of lower levels,
9 five to ten. So anyway, just picking up on that, that
10 even with the non-exposed populations, and certainly
11 within exposed populations, a great deal of
12 variability in whatever measure we have of mercury
13 exposure.

14 DR. PORTER: I'd just like to point out,
15 the questions, since you're talking about urinary
16 levels, that in the back, in the note from Dr. Boyd
17 Haley, he makes the point--and I think that this may
18 or may not be correct--but if it is, in part, correct,
19 it needs to be part of the document, so that we know
20 where the excretion of this drug comes from. At the
21 moment it's not in the document.

22 "It has been published and verified that
23 over 90 percent of mercury excreted by humans leaves
24 through the biliary transport system of the liver and
25 that mercury is found in the feces, not the urine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Urine mercury levels are well-documented and do not
2 reflect exposure under many conditions."

3 Now I don't know if that's a 100 percent
4 correct, but the document, again, from a
5 pharmacological standpoint, is weak, in that it does
6 not address this issue.

7 DR. KIEBURTZ: And, you know, the WHO
8 document is different in that it says that urinary
9 excretion is the best measure. So there's
10 inconsistencies amongst reputable documents, about the
11 relative utility of these measures, of exposure.

12 DR. ASCHER: I would argue that urinary
13 excretion is the best estimation of inorganic mercury
14 excretion but it's not a very adequate measure. There
15 are tremendous differences and especially in people
16 that are under conditions of steady state. The amount
17 of mercury in the urine is not a good measure of
18 exposure of body burden.

19 So I don't think that necessarily having
20 measurements of mercury in stool will give you a
21 better estimate of inorganic exposure. Most of the
22 mercury that's excreted in the feces is from the
23 methylmercury because it cycles from the
24 gastrointestinal tract to get the hepatobiliary
25 excretion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And there's another surrogate measure of
2 mercury which hasn't been used very much, and it's
3 also riddled with problems, but one can certainly look
4 at--couldn't do it with me but people that have a lot
5 of hair, you can get a very nice profile of mercury
6 exposure in those individuals. It will be more recent
7 but it's a good measure.

8 DR. KIEBURTZ: Dr. Klaassen.

9 DR. KLAASSEN: You know, in regard to
10 urine concentrations, you know, this is one biomarker
11 of exposure. Is it perfect? No. And I can give a
12 classic example. A number of years ago, Tom Clarkson,
13 who's a big mercury expert, as most of you know, had
14 an NIH site visit coming.

15 So for ten days before the NIH site visit
16 came, the only thing he ate was fish, and measured his
17 urine concentration of mercury and he got the \$10
18 million grant.

19 So, you know. But it is an approximation-
20 -you know, there's nothing better that we have right
21 now for measuring exposure to amalgams, that I'm aware
22 of. But, you know, it's not perfect and, again, so
23 much of it depends on which form of mercury people are
24 exposed to, and are concerned about, and, you know, a
25 lot of the information that laypeople have on mercury

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is from methylmercury, and so, you know, we want to
2 make sure that we separate the methylmercury from the
3 elemental mercury.

4 DR. KIEBURTZ: Dr. Dourson.

5 DR. DOURSON: Yes. I have, if you don't
6 remind, a response to an earlier question of Dr.
7 Fleming and then I have a question myself for the
8 panel and perhaps Dr. Klaassen.

9 So Dr. Fleming, you asked earlier about
10 dose response and safe doses and safe concentrations
11 and things like that. Our colleagues at FDA have done
12 a very good summary of safe concentrations, the RFC or
13 the minimal risk level in the document. I worked on
14 the EPA's value many years ago. I'm not beholden to
15 that value. It was done by an expert committee at one
16 time. So it was derived by an expert committee and we
17 just did some work inside EPA and then put it up on
18 EPA's IRIS.

19 EPA's done some good work since then.
20 ATSDR's done lots of good work, confirming that, and
21 the Dutch, also the RIVM, which is the Dutch Institute
22 of Environmental Protection and Public Health, has
23 also come up with a similar safe dose or concentration
24 for methylmercury. All of those definitions include
25 words to the effect of sensitive sub groups are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 protected, and there are certain factors used when a
2 science is not sure and they tend to be somewhat
3 conservative.

4 None of those definitions say every
5 sensitive individual and so each of these
6 organizations has used words on hyper-susceptible, or
7 immune-sensitive people might not be protected, or an
8 idiosyncratic response, which means a response that
9 just isn't predicted.

10 But all sensitive sub groups are
11 considered in those estimations of safe dose and in
12 this particular case, the safe doses that are being
13 used by our colleagues at FDA look to be well wrought,
14 especially the other two, I won't talk about the EPA
15 guy since I had part of it. They look to be very well
16 wrought and the literature review that the FDA has
17 done is consistent with looking at other studies that
18 might affect these chronic, little bit every day safe
19 doses or concentrations.

20 So that's the dose response question, and
21 I hope that helps a little bit. That doesn't mean
22 that every sensitive individual is protected but it
23 does mean sensitive sub groups are considered.

24 DR. FLEMING: May I respond to that, just
25 quickly?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. KIEBURTZ: Yes.

2 DR. FLEMING: My concern is, as I read all
3 this literature, is there are so many expressions of
4 probability used, which is an entire discipline in
5 itself. For example, you see the words rare, small,
6 isolated. So to me, I think that term needs to have
7 some kind of definition meaningful for us, and if
8 we're trying to say that there are no adverse effects
9 from dental amalgam, I think we need to have some kind
10 of definition of what sensitive means, what rare
11 means.

12 In other words, is there a number we can
13 attach to it, realistically?

14 DR. DOURSON: Well, the idea of sensitive
15 sub group has been fairly well established. There are
16 either populations of children or pregnant mothers, or
17 elderly individuals as sub groups, that are considered
18 in these estimations of safe dose. However, when you
19 get into rare and hypersusceptible, those kinds of
20 words are not defined because the science is not
21 precise enough, the risk assessment science, to define
22 those.

23 What is of more concern to me in his dose
24 response is not whether the safe dose protects every
25 last person on the planet--it may not; it may; it may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not--is do we have exposures that are exceeding the
2 safe dose?

3 And that's when I talked about
4 characterizing the off-gassing of inorganic vapor from
5 dental amalgams, either acutely, you know, day one
6 through day 14, to chronically, or long term.

7 And it looks like we've got a handle on
8 the long term off-gassing; it's just a little bit.
9 But what about acutely? And that's maybe an open
10 question.

11 And actually that leads to my question to
12 anybody on the panel, and this is prompted, Dr.
13 Klaassen, by you. You know, mercury is not mercury,
14 etcetera.

15 We do have papers in here, and I'm looking
16 at the paper, in particular, by Dye, et al. It's
17 urinary mercury concentrations associated with dental
18 restorations, etcetera. They do have mean levels in
19 the urine and also standard deviations, very good at
20 getting to, you know, the variability in the
21 population.

22 And they have in here a mean value,
23 creatinine adjusted, of in pregnant people, 1.2, two
24 standard deviations above that is 3.38, and this is
25 micrograms per liter, creatinine adjusted.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And in the white paper we have an
2 adjustment for that, to find out what would be in air.

3 Now I can make that adjustment; it's real easy. I
4 just divide 3.38 by 1.22 to the paper. The question
5 is, is it legitimate to do that adjustment, because it
6 assumes that everything in the urine is coming from
7 the amalgams? And so that's the question. Is that a
8 fair assumption? Is it 50 percent? Do we not know?

9 DR. KIEBURTZ: Dr. Goldman.

10 DR. GOLDMAN: And I've asked, those that
11 come from the CDC, and I've asked them and they tell
12 me that actually the majority, when they do speciate,
13 the mercury in the urine, the majority of it is from
14 methylmercury in the general population. It's not an
15 occupational population but in the general population.

16 And actually, that they kind of assume,
17 when they're looking at those levels, that they're
18 looking at a methylmercury exposure.

19 Now in this paper, is it not broken down?

20 I thought the Dye paper, they actually gave a
21 breakdown of inorganic versus the methylmercury. I
22 might have them confused, though.

23 It seemed to me that they did give the
24 inorganic mercury level in that paper, though.

25 DR. DOURSON: Well, the footnote to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 table says total mercury--well, that's in blood.
2 Okay. I'll look.

3 DR. GOLDMAN: There's a urine--

4 DR. DOURSON: Right.

5 DR. GOLDMAN: Yes.

6 I mean, you know, there are other issues
7 as well, because as has already been said, the urinary
8 mercury measurement is not perfect, and even less so
9 when it's a spot measure, because there can be
10 variability, even within a day, about excretion that
11 has to do with metabolic state, and numerous things,
12 and then the creatinine correction is to at least try
13 to correct for the dilution of the urine.

14 But that still doesn't give you as good a
15 picture as if you do it like a 24 hour collection, or
16 a longer collection, but, you know, in practice,
17 nobody's going to do that with a large population.
18 You're just not going to be able to do that.

19 DR. KIEBURTZ: So two issues, I hear
20 people identifying a knowledge gap. Is one, an
21 accurate measurement of the exposure burden with acute
22 manipulation of amalgams, that, for example, could be
23 the placement or removal.

24 Dr. Dourson, am I hitting on that
25 accurately?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DOURSON: I believe so.

2 DR. KIEBURTZ: And perhaps even, but to a
3 lesser degree, the chronic exposure from them.

4 And secondly is a good measure--there's
5 tolerable good measures but perhaps with a certain
6 degree of imprecision regarding current exposure vis a
7 vis body burden. If I understood correctly, Dr.
8 Ascher, there are individuals who will have different
9 body burdens but might have the same urinary excretion
10 just based on the chronicity of exposure.

11 Dr. Porter.

12 DR. PORTER: I just wouldn't downgrade,
13 too much, the total body burden on a chronic basis,
14 because we know that there's accumulation of this drug
15 in various body tissues, and what that accumulation
16 means right now, in my view, we really don't know.

17 DR. KIEBURTZ: You wouldn't downgrade body
18 burden too much. What do you mean?

19 DR. PORTER: You emphasize the acute
20 event, and nothing wrong with the acute event. I'm
21 sure that there's a lot of mercury floating around
22 with the acute event. But you said and to a lesser
23 degree, the chronic exposure, and I just want to make
24 sure we don't downgrade that too much because that may
25 be a very important factor.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. KIEBURTZ: Yes. I think some of the
2 things we saw with chelation and that transiently
3 reducing blood levels but then they go back up, and
4 other evidence in the white paper already suggests
5 that there's a depot effect in storing, and an
6 increasing body burden, and I did not see--and perhaps
7 people are familiar with other, more direct measures--

8 DR. PORTER: There's a beautiful animal
9 study that shows that there's--and in 11 days--there's
10 tremendous accumulation in the kidney. It's the Davis
11 study, page 231 in the white paper.

12 You have to be careful because the urinary
13 levels are in nanograms and the other are in
14 micrograms.

15 DR. KIEBURTZ: And this is from amalgams.

16 DR. GOLDMAN: Inorganic.

17 DR. ASCHER: It's the vapor?

18 DR. PORTER: Yes, the vapor page.

19 We are in agreement that there is a
20 substantial accumulation of this drug in body tissues
21 and we don't know what this means, either on an acute
22 or chronic basis.

23 DR. KIEBURTZ: Actually, I guess we should
24 be careful, Dr. Porter. There is an accumulation of
25 elemental mercury, is what we're saying. I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lingo is going to be very important--

2 DR. PORTER: Fair enough; fair enough. I
3 stand corrected.

4 DR. ASCHER: Well, once it crosses into
5 the brain, it's not elemental. It's going to get
6 oxidized to AG plus plus. It's inorganic but it's not
7 elemental at that point.

8 DR. PORTER: Inorganic.

9 DR. KIEBURTZ: Dr. Taylor.

10 DR. TAYLOR: I just had a point of
11 clarification. In the chronic exposure where would we
12 put the vapor that might be created with the chewing
13 and the brushing, and, you know, as we talk about our
14 exposure? Because we have the acute exposure with the
15 removal of, insertion of the amalgam. Then we have
16 the chronic exposure, if I understand, with the
17 accumulation in the tissues.

18 So then we have one other potential
19 exposure, which might be the volatile mercury that
20 occurs with the brushing and chewing and things like
21 that.

22 DR. KIEBURTZ: So there have been several
23 things we've seen, one, mechanical disturbance, such
24 as chewing, can increase levels. There's at least one
25 report of nicotine gum chewing increasing level. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there appears to be ability to increase, enhance
2 release, a certain thing. So I don't know how--I
3 don't think we know how those relate to the other
4 acute perturbations.

5 DR. DOURSON: Let me just step in a little
6 bit. In the risk assessment science, we've tried to
7 keep things as simple as possible, so--not that we
8 always succeed.

9 But in the case of the chronic safe dose,
10 we're going to say--or safe concentration, we've got
11 three examples, three different organizations giving
12 almost the same thing for organic vapor. I'm sorry.
13 Vapor itself; mercury vapor.

14 It's going to be that concentration daily,
15 24/7, for your whole life, and if you're below that,
16 if you're off-gassing in your teeth, the amount of
17 vapor that's going to be less concentration, 24/7,
18 that's going to be considered safe, including
19 sensitive sub groups, not necessarily every sensitive
20 individual.

21 Now the other side of the coin is if you
22 are worried about an acute event, risk assessment
23 scientists estimate a safe concentration for acute
24 exposure.

25 At ATSDR--I should have looked it up--they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 also do one day and I believe ten day, or shorter,
2 intermediate, safe doses, and a chronic value. Not
3 surprisingly, those safe concentrations for the acute
4 tend to be higher, just because that's how toxicology
5 works out.

6 Usually you can take a larger
7 concentration in a shorter time.

8 DR. GOLDMAN: Unless it's fetal.

9 DR. DOURSON: Unless it's fetal effect.
10 So there are ways to do that safe dose and compare
11 that, then, to the exposure, if you know it.

12 Hopefully that helped a wee bit.

13 DR. KIEBURTZ: Dr. Luster.

14 DR. GOLDMAN: People couldn't understand--

15 DR. LUSTER: I'm a little confused,
16 though, Michael, because, you know, you put a lot of
17 weight in those, the reference concentration from EPA,
18 and it was duplicated by ATSDR and by the Dutch.
19 You know, I don't know about the Dutch study but both
20 the ATSDR study and the EPA study used the same data
21 set to establish that reference dose, and, you know,
22 the 24 hours and the uncertainty factors, it's not
23 going to make it change that much, the differences
24 between how the different agencies do it, and that
25 study was a little frightening to me.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 From what I understand, this is a follow-
2 up study -- it was a good study, and probably the best
3 at the time to do that with. But it didn't have--it
4 was a small population, it was 25 workers, and it
5 didn't have a no-effect level, and so you probably
6 designed it with a--I mean, you guys have an interest
7 in cancer, you're not interested in mini
8 relationships, so you probably did a threshold sort of
9 thing and drew a line. So, you know, how good is that
10 data set at the low end?

11 DR. DOURSON: Well, those are good
12 questions and that's what the reevaluation by ATSDR
13 and RVM, and EPA, and now FDA, they looked at all the
14 newer studies to try to replace that study, and it
15 was, as you said, it's got some flaws. They use an
16 uncertainty factor of, I believe three, with all three
17 organizations. That's RVM, and ATSDR and EPA, to
18 adjust the minimal low observed adverse effect level
19 down to the expected no observed adverse effect level,
20 and then a tenfold uncertainty factor for within human
21 variability. And then EPA used some database
22 considerations as well, which is their habit of doing.

23 So in all cases the uncertainty factor was
24 thirtyfold for each of those organizations and
25 subsequent reviews by each of these agencies has not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 found something better than that study, even though it
2 has its limitations.

3 DR. GOLDMAN: Could I explain that a
4 little more? You know, the thing about risk
5 assessment is that it's very narrow. What you're
6 trying to do is very narrow, and that is that you're
7 trying to kind a come up with the equivalent of what's
8 the speed limit? What is a number that we can say is
9 a safe number?

10 And only a very narrow band of studies,
11 even animal studies, will support the development of
12 that kind of a limit. And so there are lots and lots
13 of studies that are quite relevant to the discussion,
14 the questions we've been asked, you know, that we've
15 asked, that you could not use for doing a different
16 risk assessment.

17 And so when they say we reviewed the newer
18 studies and there's nothing better to replace what
19 we've used before, it doesn't mean that the newer
20 studies didn't provide new scientific insights or new
21 information about risk. That's not what it means.

22 What it means is there was nothing in the
23 newer studies that would allow them to set a different
24 speed limit. You know, very few studies are actually
25 designed to do that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701