

## U.S. FOOD AND DRUG ADMINISTRATION

+ + + + +

NATIONAL MAMMOGRAPHY QUALITY ASSURANCE ADVISORY  
COMMITTEE

+ + + + +

THURSDAY,  
SEPTEMBER 28, 2006

+ + + + +

The above-entitled matter convened in Remington 1&2 of the Atrium Court Hotel, 3 Research Court, Rockville, Maryland, at 10:00 a.m., Carolyn B. Hendricks, M.D., Chair, presiding.

## PRESENT:

CAROLYN B. HENDRICKS, M.D.	Chair
JEFFREY W. BYNG, PH.D.	Industry Rep
SCOTT FERGUSON, M.D.	Member
JACQUELIN S. HOLLAND, R.N., C.R.	Consumer Rep
DEBRA L. MONTICCIOLO, M.D.	Member
CAROL J. MOUNT, R.T., (R) (M)	Member
JOHN M. SANDRIK, PH.D.	Industry Rep
JANE B. SEGELKEN, B.S., M.A.	Consumer Rep
JULIE E. TIMINS, M.D.	Member
MARGARET S. VOLPE, M.B.A.	Consumer Rep
MARK B. WILLIAMS, PH.D.	Member
NANCY WYNNE,	Exec. Sec.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

FDA PARTICIPANTS:

CHARLES FINDER, M.D.

CDR SEAN BOYD  
Chief, Electronic Products Branch

HELEN J. BARR, M.D.  
Director, Division of Mammography Quality and  
Radiation Programs

MIKE DIVINE  
Chief, Inspection and Compliance Branch

PUBLIC SPEAKERS:

PENNY BUTLER  
American College of Radiology

KAYE J. GOSS-TERRY, R.T. (M)  
Mammography Accreditation Program  
Texas Department of State Health Services

STEPHEN VASTAGH  
NEMA XR Section - Mammography Group

PAM WILCOX  
American College of Radiology

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

A-G-E-N-D-A

Conflict of Interest Statement, Nancy Wynne, Executive Secretary, National Mammography Quality Assurance Advisory Committee (NMQAAC) ..... 5

Committee Business, Carolyn Hendricks, Chair, NMQAAC ..... 9

Approved Alternative Standards, Charles Finder .... 10

Radiological Health Program Update, CDR Sean Boyd, Chief, Electronic Products Branch ..... 12

Open Public Hearing ..... 21

Update of Recently Issued Guidance Documents, Charles Finder ..... 31

Directions for Discussion, Charles Finder ..... 34

Discussion of: Application for approval as an accreditation body - 900.3 and Standards for accreditation bodies - 900.4 ..... 36

Discussion of: Evaluation - 900.5; Withdrawal of approval - 900.6; Hearings - 900.7; Requirements for Certification - 900.11 and Scope - 900.20; Application for approval as a certification agency - 900.21; Standards for certification agencies - 900.22; Evaluation - 900.23; Withdrawal of approval 900.24 and Hearings and appeals - 900.25 ..... 63

Discussion of: Quality Standards - 900.12 a, c, f, g, h, i, and j ..... 101

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

Discussion of: Revocation of accreditation and  
revocation of accreditation body  
approval - 900.13; Suspension or revocation  
of certificates - 900.14; Appeals of adverse  
accreditation or reaccreditation decisions that  
preclude certification or  
recertification - 900.15; Appeals of  
denials of certification - 900.17;  
Alternative requirements for Sec. 900.12 quality  
standards - 900.18 ..... 233

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 10:03 a.m.

3 MS. WYNNE: Welcome everyone. At this  
4 point I would like to read the FDA's Conflict of  
5 Interest Statement. FDA Conflict of Interest  
6 Disclosure Statement. Particular matter of general  
7 applicability, National Mammography Quality Assurance  
8 Advisory Committee, September 28, 2006.

9 The Food and Drug Administration is  
10 convening today's meeting of the National Mammography  
11 Quality Assurance Advisory Committee under the  
12 authority of the Federal Advisory Committee Act of  
13 1972. With the exception of the industry  
14 representatives, all members of the Committee are  
15 special Government employees or regular federal  
16 employees from other agencies and are subject to  
17 federal conflict of interest laws and regulations.

18 The following information on the status of  
19 the Committee's compliance with federal ethics and  
20 conflict of interest laws covered by, but not limited  
21 to, those found in 18 U.S.C. 208 are being provided to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 participants in today's meeting and to the public.

2 FDA has determined that members of this  
3 Committee are in compliance with federal ethics  
4 conflict of interest laws. Under 18 U.S.C. 208  
5 Congress has authorized FDA to grant waivers to  
6 special Government employees who have financial  
7 conflicts when it is determined that the agency's need  
8 for particular individual services outweighs his or  
9 her potential financial conflict of interest.

10 Member of this Committee who are special  
11 Government employees have been screened for potential  
12 financial conflict of interest of their own as well as  
13 those imputed to them including those of their  
14 employer, spouse, or minor child in areas related to  
15 the discussion of today's meeting.

16 These interests may include investments,  
17 consulting, expert witness testimony, contracts,  
18 grants, CRADAs, teaching, speaking, writing, patents  
19 and royalties, and primary employment.

20 Today's agenda involves the review and  
21 discussion of the following general issues: 1)  
22 Amendments to the current MQSA regulations; and 2) All

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guidance documents issued since the last meeting.

2 The Committee will also receive updates on  
3 recently approved alternative standards and the  
4 radiological health programs. Based on the agenda for  
5 today's meeting and all financial interest reported by  
6 the members of the Committee, conflict of interest  
7 waivers have been issued in accordance with 18 U.S.C.  
8 Section 208(b)(3) to Dr. Julie Timins, Dr. Mark  
9 Williams, and Ms. Carol Mount.

10 The waivers allow these individuals to  
11 participate fully in today's deliberations. Copies of  
12 these waivers may be obtained by visiting the agency's  
13 website [www.fda.gov/ohrms/documents/default.htm](http://www.fda.gov/ohrms/documents/default.htm), or by  
14 submitting a written request to the agency's Freedom  
15 of Information Office, Room 630 of the Parklawn  
16 Building.

17 A copy of this statement is also available  
18 for review at the registration table during the  
19 meeting and will be included as part of the official  
20 transcript.

21 Drs. John Sandrik and Jeffrey Byng are  
22 serving as the industry representatives acting on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       behalf of all related industry and are employees of GE  
2       Healthcare and Eastman Kodak Company respectively.

3               We would like to remind members that if  
4       the discussion involves any other matters, products or  
5       firms not already on the agenda for which the FDA  
6       participant has a personal or imputed financial  
7       interest, the participant needs to exclude themselves  
8       from such involvement and their exclusion will be  
9       noted for the record.

10              FDA encourages all other participants to  
11       advise the Committee of any financial relationships  
12       that they may have with any firm at this time. Thank  
13       you.

14              DR. HENDRICKS: Good morning. My name is  
15       Carolyn Hendricks and I'm chairing the meeting and I  
16       want to announce that the members present represent a  
17       quorum for the meeting. I would like to begin with  
18       the members of the panel introducing themselves.

19              DR. SANDRIK: John Sandrik, GE Healthcare.

20              MS. VOLPE: Margaret Volpe, consumer  
21       representative.

22              MS. SEGELKEN: Jane Segelken, consumer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 representative.

2 DR. MONTICCIOLO: Debbie Monticciolo. I'm  
3 a radiologist specializing in breast imaging, Texas  
4 A&M.

5 MS. HOLLAND: Jackie Holland, consumer  
6 representative. I'm from James Cancer Hospital at  
7 Ohio State University.

8 DR. TIMINS: Julie Timins. I'm a  
9 diagnostic radiologist. I practice mammography and  
10 general radiology at an intercity hospital in New  
11 Jersey.

12 DR. FERGUSON: I'm Scott Ferguson. I'm  
13 also a general radiologist. I practice mammography  
14 and general radiology in West Memphis, Arkansas.

15 DR. HENDRICKS: I'm a medical oncologist.  
16 My name is Carolyn Hendricks and I specialize in  
17 breast disease and I practice in Bethesda, Maryland.

18 MS. WYNNE: Nancy Wynne employed with the  
19 FDA, executive secretary.

20 DR. WILLIAMS: I'm Mark Williams. I'm a  
21 physicist from the University of Virginia.

22 MS. MOUNT: Carol Mount. I'm the manager

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the Breast Imaging and Intervention Department,  
2 Mayo Clinic, Rochester, Minnesota.

3 DR. BYNG: Jeff Byng, Eastman Kodak  
4 Company.

5 DR. HENDRICKS: The next item on the  
6 agenda is approved alternative standards by Dr.  
7 Finder.

8 DR. FINDER: Good morning. For those not  
9 familiar with Section 900.18 of the regulations, FDA  
10 may approve an alternative to a quality standard under  
11 Section 900.12 when the agency determines that (1) The  
12 proposed alternative standard will be at least as  
13 effective insuring quality mammography as the standard  
14 it proposes to replace; and

15 (2) The proposed alternative is too  
16 limited in its applicability to justify an amendment  
17 to the standard or offers an expected benefit to human  
18 health that is so great that the time required for  
19 amending the standard would present an unjustifiable  
20 risk to human health; and

21 (3) The granting of the alternative is in  
22 keeping with the purpose of the statute which is 42

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 U.S.C. 263(b).

2           Since last September's meeting the  
3 division has approved one alternative standard and  
4 modified one other. The new one deals with the  
5 allowed corrective action periods when using the Fuji  
6 computed radiography mammography system.

7           As the regulations are currently written,  
8 anytime a full-field digital mammography system fails  
9 any quality control test, the problem must be  
10 corrected prior to returning the system to use.

11           For screen film systems we allow 30 days  
12 for certain failed quality control tests. This  
13 alternative allows the same 30-day period for tests  
14 that are the same or similar to those screen film  
15 tests. The alternative also is consistent with  
16 previously approved alternative standards that were  
17 granted to other full-field digital mammography  
18 systems.

19           The second one deals with modifications to  
20 an alternative standard granted to General Electric  
21 for their software upgrades which was originally  
22 issued in 2002. The approved alternative permits the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 post-upgrade testing to be performed under medical  
2 physicist oversight. The modification lists the  
3 specific software upgrades that were added in March  
4 and July of 2006.

5 These alternative standards in their  
6 entirety are available on our website in the policy  
7 guidance help system if anybody has any questions.

8 DR. HENDRICKS: Thank you very much. Next  
9 we'll welcome to the podium Commander Sean Boyd who is  
10 Chief of the Electronics Products Branch, Radiologic  
11 Health Program Update. Welcome.

12 CDR BOYD: Thank you. As said, I'm here  
13 to provide a brief update on FDA's Radiological Health  
14 Program, some new initiatives that we have undertaken  
15 over the past couple of years to revise the program to  
16 meet today's public health needs.

17 I'll wait for the projector. Just to give  
18 everybody a brief overview of FDA rad. health mission  
19 and goals, it has remained unchanged since the  
20 program's inception is to protect the public from  
21 hazardous or unnecessary electronic product emissions.

22 This includes all radiation emitting products and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 medical device and all types of radiation.

2 The program has been somewhat unchanged  
3 since its inception about 30 to 40 years ago and we  
4 are now working to refocus our efforts to address  
5 today's public health problems which we see as less  
6 related to product design and manufacture and more  
7 related to product use.

8 That is one of our main focuses is to  
9 shift from a sole focus on manufacture of products and  
10 to work more on the radiological health program to  
11 look at use conditions and inform professionals and  
12 the public of proper use.

13 Some of the initiatives within this  
14 program are to focus on equipment and procedures that  
15 expose patients to high radiation doses. In the case  
16 of nonmedical products we would focus on equipment  
17 that exposed the public to potentially high radiation  
18 or for products that are used in either national  
19 security or in law enforcement applications. We will  
20 use both regulatory and nonregulatory mechanisms to  
21 have manufacturers build equipment with radiation and  
22 safety and dose reduction in mind.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Examples include routine and targeted  
2 manufacturer inspection and testing, which is  
3 something the agency has always done. But we would  
4 also like to rely more on increased use of available  
5 voluntary consensus standards rather than our own  
6 performance standards alone for manufactured products.

7           We also want to promote technology and use  
8 practices that reduce dose such as automatic exposure  
9 or rate control, last image hold, dose display for  
10 medical imaging systems, and factor in facility  
11 quality control programs. This also means we are  
12 focusing our work with state radiation control  
13 programs to encourage and assist users to minimize  
14 dose and exposure.

15           We also want to educate the public on the  
16 risks and benefits of radiation emitting products and  
17 devices to ensure that patients and users are better  
18 informed of the products that they might be subject  
19 to. We want to continue to reinforce professional's  
20 knowledge of radiation safety and dose reduction  
21 concepts.

22           In the case of medical imaging we want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ensure that professionals know the exposures and doses  
2 being delivered by the equipment, periodically monitor  
3 these doses, record patient doses, and possibly  
4 compare the information to national averages all of  
5 which will allow minimization of dose to the patient  
6 and medical personnel.

7 I'll cover some areas of accomplishment  
8 over the past year to include fluoroscopy amendments  
9 that we published as well as some areas where we are  
10 reducing effort and increasing effort.

11 Fluoroscopy amendments to the performance  
12 standard for diagnostic x-ray systems and their major  
13 components were published June 10 of 2005. They went  
14 into effect June 10 of this year and affect equipment  
15 manufacturers after June 10, 2006.

16 The amendments address different  
17 performance features that help users ensure they are  
18 delivering the right dose to the right place and have  
19 a record of what dose was delivered to patients.  
20 Performance requirements exist to assure the x-ray  
21 beam is in the desired location by providing tighter  
22 controls on x-ray field size.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The x-ray beam has a desired intensity and  
2 displays duration exposure rates and cumulative dose  
3 and has limited intensity in case of fluoroscopic  
4 systems which are accomplished by increased filtration  
5 and last image hold for this equipment.

6           These changes will continually inform the  
7 user of exposure rate and cumulative dose and allow  
8 these measures to be recorded and included as part of  
9 our facility QA program.

10           Some of the areas that we've focused on  
11 reducing activities for low-risk products and in areas  
12 that we haven't seen a large impact on public health  
13 protection.

14           The first of these areas where we have  
15 reduced reporting requirements and imports review for  
16 low-risk consumer products, laser products would  
17 include optical drives, fax machines, laser printers,  
18 television products and microwave ovens that pose  
19 little risk of personal exposure.

20           We are also shifting our monitoring focus  
21 away from examination of installed equipment in favor  
22 of conducting full manufacturer inspections because we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 believe there is greater benefit to reviewing design  
2 and production process at the manufacturing facility  
3 than conducting a single unit test in the field.

4 We have also reduced requirements for MQSA  
5 inspection radiation measurements where we no longer  
6 perform a measurement during inspection, but rather  
7 accept the dose measurements made annually by the  
8 medical physicist and tri-annually by the  
9 accreditation body. Over the 10-year life of the  
10 program 100,000 measurements have been collected  
11 showing no problem with equipment dose.

12 These areas where we have reduced effort  
13 we have freed some resources to increase effort in the  
14 area of focusing on report review and imports review  
15 for high-risk electronic products and medical devices  
16 and, as I said before, as a result of decreasing our  
17 inspection of installed units in our field test  
18 program we can redirect our investigational resources  
19 toward looking at manufacturing facilities.

20 This doesn't mean we are not going to  
21 conduct any field tests in the future. We are just  
22 scaling back that effort and focusing on targeted

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 field tests as opposed to having routine programs.

2 Some of the activities we are currently  
3 working on and will continue to pursue over the coming  
4 year including electronic reporting, website redesign  
5 training programs, and dose monitoring program.

6 First we have developed an electronic  
7 reporting system that provides software templates to  
8 replace all paper reporting guides that currently  
9 exist for medical and nonmedical radiation safety  
10 product reports.

11 We made available software that can be  
12 downloaded from FDA's website and allows manufacturers  
13 to report and submit required reports electronically.

14 Use of this software increases efficiency at the  
15 manufacturer's side of preparing the report and  
16 submitting the report to us in electronic form rather  
17 than paper and better allows us to quickly process and  
18 provide feedback to the manufacturer.

19 It also helps us identify information  
20 contained within the reports so that we can triage  
21 things for review, take action when a manufacturer  
22 specifically request it, and then better trend the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data that we have inside those reports to look at  
2 either industry, manufacturer, or product line trends.

3 A critical piece toward our education  
4 campaign will be our website redesign where we want to  
5 better educate the public and professionals with  
6 information that we provide over the web.

7 We are developing a new site with a  
8 different look and content that will provide all the  
9 same information, or similar information that we  
10 provide now to manufacturers on how they need to  
11 comply with our requirements but also add additional  
12 information on things that we would like consumers and  
13 professionals to know about, safe use of radiation  
14 emitting electronic products and devices.

15 We are also are developing online training  
16 programs for FDA and state inspectors that will cover  
17 basic health physics and medical imaging equipment  
18 testing. Essentially radiographic and fluoroscopic  
19 FDA field tests.

20 Last, but not least, we are planning a  
21 pilot study right now to capture medical imaging dose  
22 and formation. This is to explore the possibility of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 creating a national dose registry or facilities would  
2 report doses to an organization delivered for various  
3 procedures and receive information back on how their  
4 facility compared with others in the nation, in the  
5 region, in their state or within another grouping.

6 We plan to coordinate our study with  
7 MedSun Hospitals who currently provide FDA voluntarily  
8 with adverse event reporting information. Working  
9 with these hospitals we would like to design a program  
10 to collect dose this year on CT procedures alone and  
11 hope to expand that program with other organizations  
12 outside of FDA toward the end of the year.

13 That was the overview that I wanted to  
14 provide. If you have any questions for me, I can take  
15 them now. I have also provided my e-mail address as  
16 well as Rad Health and our new initiatives webpage  
17 where you can get more information.

18 DR. HENDRICKS: Any questions for  
19 Commander Boyd? Yes.

20 DR. WILLIAMS: Are there plans to expand  
21 the dose monitoring program to include modalities like  
22 CR and DR in the near future?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CDR BOYD: The last slide where I talked  
2 about those?

3 DR. WILLIAMS: Yes. Correct.

4 CDR BOYD: Ultimately we would expand it  
5 to other modalities but right now we are doing a pilot  
6 study, just kind of a proof of concept to see whether  
7 or how facilities would be able to share the  
8 information with us and ultimately expand that to  
9 other modalities other than CT, but that is a next  
10 year and beyond project.

11 We are also aware that other organizations  
12 like ACR and AAPM have similar interests and are  
13 looking into it. We also want to look to DOD and VA  
14 and see what information they can share with us as  
15 well.

16 DR. HENDRICKS: Thank you very much. The  
17 next item on the agenda is the open public hearing and  
18 I will begin by making the following comments. Both  
19 the Food and Drug Administration and the public  
20 believe in a transparent process for information  
21 gathering and decision making.

22 To ensure such transparency at the open

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 public hearing session of this Advisory Committee  
2 meeting, the FDA believes it is important to  
3 understand the context of an individual's  
4 presentation. For this reason, the FDA encourages  
5 you, the open public hearing speaker, at the beginning  
6 of your written or oral statement, to advise this  
7 Committee of any financial relationship that you may  
8 have with the sponsor, its product, and, if known, its  
9 direct competitors.

10 For example, this financial information  
11 may include the sponsor's payment of your travel,  
12 lodging, or other expenses in connection with your  
13 attendance at this meeting.

14 Likewise, FDA encourages you at the  
15 beginning of your statement to advise this Committee  
16 if you do not have any such financial relationships.  
17 If you choose not to address the issue of financial  
18 relationships at the beginning of your statement, it  
19 will not preclude you from speaking.

20 MS. WYNNE: At this time I would like to  
21 read a statement into the record. The statement is  
22 from Judith A. Wagner, breast cancer patient advocate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and breast cancer survivor.

2 Statement to the National Mammography  
3 Quality Assurance Advisory Committee, September 2006.

4 "I spoke before this FDA Committee last  
5 year and, unfortunately, am unable to attend the  
6 second meeting. I appreciate the opportunity to have  
7 my comments read into the record.

8 "I continue my advocacy to inform women  
9 about how to make quality breast care decisions and  
10 recently spoke to an engineering firm for a wellness  
11 luncheon at which six of the 23 who attended were men.

12 I continue to be asked to speak as one person tells  
13 another. Knowledge opens minds to search for quality  
14 of care and we must be able to provide it for women.

15 "MQSA reauthorization is scheduled for  
16 2007. Three studies have been a part of the  
17 information that will set this reauthorization apart  
18 from any previous MQSA reauthorization: The Institute  
19 of Medicine Study, Improving Quality Breast Imaging  
20 Quality Standards; The National Mammography Quality  
21 Assurance Advisory Committee meeting; the GAO study,  
22 Mammography. The Current Nationwide Capacity is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Adequate but Access Problems may Exist in Certain  
2 Locations, July 2006.

3 "Senator Mikulski's committee will be  
4 reviewing the gathered information in order to make  
5 informed decisions for the reauthorization of MQSA. I  
6 continue to hear the same response from those  
7 physicians concerned with improving the delivery of  
8 breast care to women.

9 "Poor reimbursement; liability issues;  
10 shortages of technologists and qualified clinical  
11 breast radiologists; need for more educational  
12 opportunities in breast care; need to make breast care  
13 a subspecialty of radiology; need to standardize the  
14 breast diagnostic procedures for all physicians  
15 performing them; need for breast care to be an area of  
16 medicine that will create the desire for physicians to  
17 enter fellowship programs.

18 "On the other hand, I hear the following:  
19 That to mandate more requirements will decrease the  
20 number of physicians reading mammography and  
21 performing diagnostic breast procedures. Increasing  
22 the standards of care may cause the closure of some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 breast centers and, thus, decrease access to breast  
2 care for women.

3 "Breast care and interventional procedures  
4 are evolving at a very rapid pace. Senator Barbara  
5 Mikulski introduced MQSA in 1992. I am sure these  
6 requirements initially set the medical community in a  
7 tailspin. Yet, they rose to the challenge and breast  
8 cancer detection has improved. For example, diagnosis  
9 of DCIS increased by 25 percent due to improved  
10 screening. But as with anything, as breast care  
11 evolves, so must the requirements.

12 "I advocate mandating accreditation for  
13 all image guided needle breast biopsies. I realize  
14 that until MQSA has had a name change to Breast  
15 Imaging Quality Standards Act, the FDA will not be  
16 able include ultrasound or MRI guided breast biopsies,  
17 only stereotactic breast biopsies.

18 "With new imaging modalities on the  
19 horizon such as tomosynthesis and the increasing use  
20 of digital mammography, standards need to be put in  
21 place to ensure that the patient is receiving breast  
22 care delivered by those who are most qualified and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 performing these procedures with the highest standards  
2 possible.

3 "As I listened to commentaries about the  
4 evolution of the Betamax to the VCR tape, and now to  
5 CDs and beyond, it is evident that if we do not  
6 require standards for breast care delivery, then it  
7 will quickly become an overwhelming task if it isn't  
8 already.

9 "A most recent article in Diagnostic  
10 Imaging, 'Screening Mammography. Practitioners  
11 Consider Europe in the Quest for Better Quality,'  
12 gives a clear picture of the obstacles involved with  
13 the U.S. breast care model.

14 "In Europe breast care is performed by  
15 dedicated clinical breast radiologists who have  
16 standards under which they must practice with  
17 continued job training and performance testing.

18 "Work flow issues. Work flow issues are  
19 also of a great significance. Digital imaging  
20 technology has opened the way for a more streamlined  
21 method to send mammograms and other imaging modalities  
22 from one place to another, which certainly could

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 influence issues of access and radiology shortages in  
2 the lower populated areas.

3 "The article by Jerry Kolb, 'Going  
4 Filmless. Lessons From a Swedish Breast Care Center.'

5 We need to look at the European example of efficiency  
6 with a more receptive attitude.

7 "A recent article, January/February 2006,  
8 Breast Care Services, titled, 'Improving Access and  
9 Quality for Breast Health Services,' describes a  
10 hospital in Staten Island, New York, that wanted to  
11 develop a breast center approach for the provision of  
12 breast health services.

13 "The hospital had a three-fold objective  
14 which it did accomplish. (1) To ensure wait times for  
15 the complete spectrum of breast imaging services,  
16 reducing them; (2) to improve the quality of care; (3)  
17 to improve the patient's overall experience.

18 "The hospital had a group of 10  
19 radiologists providing services, each with different  
20 levels of training. No radiologists spent more than  
21 50 percent of his or her time doing breast imaging  
22 which was identified as a key area for improvement.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The evolution of this breast center and the key role  
2 of the dedicated breast radiologists are explained in  
3 detail in this article, 'An Evolution for Quality.'

4 "In our very global society we need to  
5 look forward and towards centers of excellence that  
6 will receive mammography from outlying sources such as  
7 mobile units and satellite clinics, one centralized  
8 place where the best and most up-to-date equipment  
9 will be located, and the breast radiologist is the key  
10 coordinator.

11 "Lastly, regarding electronic work flow.  
12 Electronic management takes any paper flow pattern and  
13 ascertains the most efficient means of increasing  
14 productivity of the organization utilizing  
15 electronics. This would increase productivity and  
16 revenues as well as reduce unnecessary work for the  
17 breast care team leaving more productive time to  
18 actually perform and interpret mammography.

19 "You have to take the final outcome and  
20 break it down into individual steps on how to get the  
21 desired result. This methodology needs to be  
22 streamlined and refined for ultimate efficiency in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 breast center. Data programs to gather statistical  
2 information, standards of performance related to  
3 outcomes will be extremely important.

4 "Time cannot be recaptured, and what is  
5 done in this fleeting time will have significance for  
6 the future of breast care. Those radiologists who had  
7 been the pioneers of breast care will need to be  
8 replaced by new pioneers who will have the same  
9 passion and determination to save lives by diagnosing  
10 and treating breast cancer at an early, curable stage.

11 Their challenges will be even greater as the  
12 diagnostic equipment reaches far beyond the early  
13 methods of sciagraphy and then mammography.

14 "This is a world of change where you can  
15 e-mail someone in Europe and have a response in  
16 minutes. So it is with breast care. Digital  
17 mammography has opened the breast care communication  
18 network and now we have to put standards in place to  
19 ensure women will receive the best care as time  
20 quickly slips away.

21 "Thank you for this opportunity to speak.

22 Judith A. Wagner."

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HENDRICKS: At this time I would like  
2 to invite any other open public hearing speakers to  
3 the podium beginning with Stephen Vastagh.

4 MR. VASTAGH: Good morning, Madam  
5 Chairman, and members of the Committee. My name is  
6 Stephen Vastagh and I am the Secretary of the NEMA X-  
7 Ray Section Mammography Group which includes  
8 mammography equipment manufacturers. NEMA is a vendor  
9 who supports the trade association and I have a small  
10 financial relationship to the vendors this way. I  
11 keep telling my boss he should increase my financial  
12 relationship.

13 NEMA is pleased to participate in the  
14 process of developing standards and QC plans for  
15 digital mammography. We are also pleased to be able  
16 to participate directly in the work of this Committee  
17 through the two industry representatives that sit with  
18 you on this Committee today for the first time.

19 I wanted to call your attention to two  
20 standards that NEMA published recently, specifically  
21 for the digital mammography community. These two  
22 standards will bring greater uniformity to the quality

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 control plans for displays and printers that are  
2 approved for digital mammography.

3           They are the quality control manual  
4 template for manufacturers of hardcopy output devices  
5 and displays and work stations labeled for final  
6 interpretation of full-field digital mammography.  
7 These standards -- I have a few copies with me. If  
8 you are interested I will be happy to share one with  
9 you. They are only also uniquely available from the  
10 NEMA website for free downloading and in the handout  
11 which is available at the desk outside I have  
12 identified the links from which you can download these  
13 standards free of charge.

14           This may be of interest to physicists  
15 particularly and we have provided this information to  
16 APM at their annual meeting. Thank you very much,  
17 Madam Chair.

18           DR. HENDRICKS: Are there any other open  
19 public hearing speakers to approach the podium? If  
20 not, then we will move to the next item on the agenda  
21 which is by Dr. Charles Finder involving an update of  
22 recently issued guidance documents.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. FINDER: Since the last meeting, FDA  
2 has issued three guidance documents. They are the  
3 Mammography Quality Standards Act Final Regulations,  
4 Modifications and Additions to Policy Guidance Help  
5 System No. 9 and No. 10, and also the MQSA Inspection  
6 Procedures. Document No. 9 was issued on April 19,  
7 2006. That document dealt mainly with the following  
8 issues.

9 It included some definitions of final  
10 interpretation in lossless and lossy digital  
11 compression; use of small field digital mammography  
12 image receptors; the impact of the health insurance  
13 portability and accountability act requirements on  
14 certain MQSA activities; retention of medical outcomes  
15 audit records; steps for facilities to take when  
16 patients do not wish to receive their summaries;  
17 combining medical reports; the effect of film  
18 digitization and compression of full-field digital  
19 mammography; digital data on retention; transferring  
20 interpretation of mammographic images; clarification  
21 of continuing education requirements; U.S. and foreign  
22 trained physicians; and similar type issues.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 Document No. 10, which was issued on  
2 October 31, 2005, was basically a major updating of  
3 the rest of the policy guidance help system. It  
4 included a simplification of the policy guidance help  
5 system by deleting a number of topics dealing with  
6 inspection issues and incorporating them into a  
7 separate inspection procedures document which is the  
8 third guidance document that was issued.

9 It also had information regarding  
10 accreditation and certification extension for full-  
11 field digital mammography units. It included tables  
12 indicating the acceptable uses for attestation, for  
13 personnel requirements, mechanisms for physicists to  
14 obtain a physicist credentialing letter from FDA.  
15 Talked about major repairs for FFDM units and these  
16 were added to existing tables for film screen. And  
17 the list of inspection questions were updated.

18 The third document was the detailed  
19 inspection procedures document. This document  
20 contained the actual instructions given to our  
21 inspectors on how they are supposed to inspect the  
22 mammography facility. This way facilities would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 given the information to know exactly what to expect  
2 during an inspection.

3 All these guidance documents have been  
4 incorporated into the policy guidance help system  
5 which can be found on our website. Does anybody have  
6 any questions? Okay.

7 DR. HENDRICKS: The next item on the  
8 agenda are some directions for the ongoing discussion,  
9 again by Dr. Finder.

10 DR. FINDER: The main purpose of the  
11 meeting today and tomorrow is to discuss possible  
12 changes to the final regulations. Prior to the  
13 meeting the Committee members were given a copy of the  
14 regulations along with certain sections highlighted  
15 for possible revision based on our experience  
16 implementing the regulations, as well as questions and  
17 comments we have received over the years.

18 They were also instructed to make their  
19 own suggestions to any portion of the regulations. We  
20 will be projecting the document that they were given  
21 on the screen as we proceed through the regulations  
22 and have made the document available to the audience

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 also as a hardcopy handout. It is also available on  
2 our website.

3           Once we get into this you'll see that  
4 there is a lot of material to cover so I am going to  
5 suggest that we go through each item in turn asking  
6 for a show of hands for either a yes or no opinion.

7           In cases where there is a significant  
8 disagreement among the Committee, Dr. Hendricks will  
9 ask for brief comments from the Committee and then we  
10 will go for another show of hands. We are not asking  
11 for detailed wordsmithing but rather a consensus on  
12 whether or not to make a change and in which direction  
13 to move.

14           After the meeting the FDA will take the  
15 Committee's ideas, develop detailed amendments to the  
16 regulations, and then issue them for public comment.  
17 Does anybody have any questions before we begin?

18           DR. SANDRIK:           Are the industry  
19 representatives voting in this matter?

20           DR. FINDER:   Yes, they can raise their  
21 hands during this matter. Any other questions? Okay.

22           We are going to try to go through these in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the order in which they are listed in the agenda. The  
2 first one that we're going to be talking about,  
3 Application for Approval as an Accreditation Body  
4 which is 900.3, the requirements, and Standards for  
5 Accreditation Bodies, 900.4.

6 In your handouts it begins on page 9 and  
7 will go through page 21, footnotes No. 31 through 50.

8 The first one starts on page 10 actually and what we  
9 are talking about here there is a listing of the  
10 procedures and policies that an accreditation body  
11 must submit for initial approval.

12 The question that we're asking is should  
13 state accreditation bodies be required to provide  
14 explanations of how adverse actions taken by the state  
15 functioning as a state under more stringent state  
16 requirements will be distinguished from those taken by  
17 the state functioning as an accreditation body. We  
18 will be asking for a show of hands who believe that we  
19 should go ahead and require that or not. Yes? Okay.  
20 No? Okay.

21 Next one. Should there be policies and  
22 procedures for issuing accreditation extensions for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reaccrediting facilities? Yes? No? Okay. It's  
2 going quickly, faster than I thought.

3 Next one is on page 12, footnote No. 33.  
4 Should FDA protect the accreditation body applications  
5 from disclosure until final approval has been given?  
6 Yes? No? Not a lot of disagreement so far.

7 Next page is No. 34. It deals with the  
8 requirement about the accreditation body obtaining  
9 authorization from FDA for any changes it may make in  
10 its procedures. The question really here is should  
11 this requirement be reworded to clarify that the  
12 accreditation body needs to obtain FDA approval prior  
13 to implementing any changes. Yes? No? Okay.

14 Moving right along. Comment 35 deals with  
15 who should be notified when an accreditation body  
16 deals with a problem facility. The question here is  
17 should the State Certification Agency be added to the  
18 notification list of who the accreditation body needs  
19 to notify. That would be important in those cases in  
20 which a facility is actually certified by a State  
21 Certification Agency rather than FDA. Yes on that or  
22 no? Yes? Okay. I'm glad we worded these questions

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so well.

2 Next one is requirement regarding the  
3 frequency for review. Should the requirement be  
4 reworded to clarify that the images must be obtained  
5 from each mammography unit at the facility? I do want  
6 to clarify that the regulation itself requires that  
7 but it is not written in this space so it is somewhat  
8 a little confusing. It's not the fact the facility  
9 cannot or could get away without having their units  
10 accredited and the films reviewed for each unit.

11 DR. TIMINS: I think that is further dealt  
12 with on page 15, lines 33 and 34 but I think, indeed,  
13 it would clarify to have it stated here as well.

14 DR. HENDRICKS: Do we need a show of hands  
15 on that line item?

16 DR. BYNG: Dr. Finder, just a  
17 clarification. Did the technologist -- is each  
18 technologist required to provide images?

19 DR. FINDER: No. The accreditation  
20 process reviews images from each mammography unit but  
21 not necessarily from each technologists.

22 Page 15, footnote No. 37. We are asking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here in terms of examination identification there is a  
2 requirement that the cassette and screen be  
3 identified. We are asking should image receptor be  
4 added basically for full-field digital units. Yes?  
5 No? Okay.

6 DR. SANDRIK: Comment?

7 DR. FINDER: Yes.

8 DR. SANDRIK: I just would lightly suggest  
9 that you try to more generalize the situation, even to  
10 delete cassette screening and make it image receptor  
11 by itself. Basically they mean the same thing and, in  
12 fact, image receptor is defined in the performance  
13 standards so I don't think there would necessarily be  
14 ambiguity there.

15 MS. VOLPE: May I make a comment? I would  
16 suggest you add image receptor to the list of  
17 definitions.

18 DR. FINDER: Okay. Next, footnote No. 38.

19 Should a system for determining when an additional  
20 mammography review when it's indicated be added in  
21 here? Should there be a specific requirement that  
22 when images are being reviewed during any clinical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 image review by the accreditation body that there be  
2 an assessment of whether additional review needs to be  
3 done?

4 This would be a case where the images are  
5 of such poor quality that the concept of a problem  
6 that is significant enough to require an additional  
7 mammography review, should that be indicated. Should  
8 we put it specifically in the regulations? Yes?

9 DR. MONTICCIOLO: I have a question. How  
10 does that change from what the situation is as it is  
11 now?

12 DR. FINDER: In effect, the accreditation  
13 bodies are doing that. It is just a question of  
14 putting it into the regulations and specifically  
15 stating it. There is good feedback from the  
16 accreditation bodies. We haven't had a true problem  
17 with it. Again, it's more clarification in terms of  
18 placing it directly in the regulations.

19 DR. FERGUSON: There would be no change in  
20 the way things are done today?

21 DR. FINDER: There might be some change in  
22 the form with a specific box for this type of thing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 but most of the accreditation bodies have already  
2 taken care of that.

3 DR. TIMINS: I would just argue that if  
4 it's not a problem, it doesn't need to be addressed.

5 DR. WILLIAMS: Would the intent be to  
6 suggest some circumstances under which that was  
7 appropriate but not necessarily make it bounded?

8 DR. FINDER: Yes. Part of the issue there  
9 is to set up some type of criteria as part of the  
10 evaluation process so that it is written. They would  
11 have to include that in the policies. Again, they  
12 have pretty much done that. It is more a matter of  
13 clarifying it in the regulations. If we can have  
14 another show of hands whether we should or shouldn't.  
15 Yes, we should? No, we shouldn't? Okay.

16 Next is on page 16. Yes?

17 DR. TIMINS: I'm just responding  
18 preemptively to No. 39. Please, define the question.

19 DR. FINDER: Number 39. Should there be  
20 an additional requirement stipulating what percentage  
21 of a person's practice be a mammography or breast  
22 imaging? This is dealing with the review physicians

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that look at the clinical images for the accreditation  
2 bodies. Yes?

3 DR. TIMINS: I would argue against that  
4 because I think volume and numbers is more important  
5 than percentage of practice.

6 DR. FINDER: Okay. Would you then be --  
7 let's take the original question. Should there be an  
8 additional requirement based on percentage. Yes? No?  
9 All right. How about yes? No? Okay. What about  
10 requiring certain volume numbers? Yes? Yes for  
11 volume? No for volume? Okay.

12 DR. FERGUSON: I would like a little more  
13 clarification on that. When you say volume, you are  
14 asking for the reviewers for mammography, like I said  
15 on the review panel, review films. You are saying  
16 there should be a minimum volume that I would read  
17 before I would sit on the review panel. Is that  
18 correct?

19 DR. FINDER: Either a minimum volume or a  
20 percentage of your practice would have to be in  
21 mammography, or we could even be talking about, for  
22 example, if you reviewed full-field digital

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mammography what percentage or volume of your practice  
2 would have to be in that mammographic modality versus  
3 a different mammographic modality.

4 DR. FERGUSON: Your record of the sense of  
5 the committee was?

6 DR. FINDER: Split vote.

7 DR. HENDRICKS: Can I have a clarification  
8 on currently what the -- where do we stand right now  
9 in terms of the radiologists that are providing this  
10 review function? Where do they stand in terms of  
11 their clinical practice in terms of either percentage  
12 or volume to kind of see where the benchmark is set  
13 right now, Dr. Timins or Dr. Monticciolo.

14 DR. MONTICCIOLO: Well, currently people  
15 are recommended for that function and the committee  
16 that is involved with that reviews to make sure they  
17 are qualified to read mammograms, so they have to meet  
18 the qualifications of the accreditation body and then  
19 their involvement in breast is reviewed. I have never  
20 seen that as a problem.

21 That's why I'm opposed to that. I can't  
22 imagine you would want to count numbers. There could

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be somebody who is very, very skilled who might have  
2 retired and is willing to work or is qualified but is  
3 in a smaller community. I don't see how that would  
4 benefit.

5 DR. HENDRICKS: Dr. Timins.

6 DR. TIMINS: That effectively puts it at  
7 the 960 mammograms per two years which is the basic  
8 for reading mammography. It puts it at the same rate  
9 as other mammographers.

10 DR. HENDRICKS: Can we invite input from  
11 ACR? Just for clarification. Please introduce  
12 yourself.

13 MS. BUTLER: Yes. My name is Penny  
14 Butler, Senior Director for Breast Imaging  
15 Accreditation Programs at the ACR. Currently the  
16 requirements for clinical image reviewers at the ACR  
17 is that they must have at least 50 percent of their  
18 practice in breast imaging.

19 DR. HENDRICKS: Thank you very much.

20 Dr. Finder, just to clarify, do we invite  
21 members from the audience to also come to the podium  
22 to clarify with questions?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. VASTAGH: Can Dr. Finder state the  
2 results of the votes because they will not be  
3 reflected on the record.

4 DR. FINDER: I will do that. It's a good  
5 idea.

6 DR. HENDRICKS: So the clarification has  
7 been made now that the ACR standard is that 50 percent  
8 of the practice must be in breast imaging. Do you  
9 want to revote then with the additional discussion?

10 DR. FINDER: Sure. Yes, we go with the  
11 percentage or some type of volume? Yes? No? Okay.  
12 I would say it was split with the greater number no.  
13 As a corollary to that, should the types of clinical  
14 images that are to be reviewed be clarified to include  
15 specifically the term mammographic modalities?

16 This, again, goes to one of the earlier  
17 points of should these reviewers have certain  
18 percentages or volumes in the exact mammographic  
19 modality in which they are actually reviewing. If  
20 they are going to be reviewing full-field digital,  
21 should they have certain specifications there. Again,  
22 I would ask for a yes or no. Yes?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SANDRIK: Just a point of  
2 clarification here. In a sense you have two  
3 questions. One is, I think a matter of making  
4 consistency in the language of the regulation and not  
5 having both modalities and types as a way of  
6 describing something about mammography. I think that  
7 is one aspect of the question.

8 Now you have introduced another aspect of  
9 actually subdividing the requirement in terms of  
10 fractions along each type.

11 I think one is just do you even have  
12 enough data to support a number for general  
13 mammography. I wonder even further if there is enough  
14 data to support how you would subdivide that if you  
15 would even consider that.

16 DR. FERGUSON: I would like to comment.  
17 If I understand it correctly, that you are talking  
18 about requiring a volume or percentage of your  
19 practice say in digital mammography in order to review  
20 digital mammography. I would like to say from the  
21 state accreditations, a small state like Arkansas,  
22 Iowa, whoever else reviews, we may have three digital

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 units in the whole state and we may have eight or nine  
2 radiologists on our review panel. You are going to  
3 significantly impact our ability to do reviews if you  
4 limit it.

5 DR. FINDER: Okay. So with that  
6 discussion, after that discussion, do we have hands  
7 for yes? Should we include this? No? I would say  
8 that the majority says no on that.

9 Forty-one is actually a repeat. Well, I  
10 take it back. This one deals with the same issue we  
11 had before except for phantom image. Should there be  
12 a phantom image required specifically at this point  
13 from each of the units? Again, this is just a  
14 clarification in wording because it is clarified in  
15 other areas. Should we make the clarification also  
16 here in the regulations? Yes? No? The yeses have  
17 it.

18 Page 17, No. 42. For phantom image  
19 scoring, this is phantom image scoring, should at  
20 least two independent reviewers be specified in the  
21 regulations? Yes or no? Yes?

22 DR. BYNG: Is that the current

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 requirement?

2 DR. FINDER: It's the current standard.  
3 It is not the requirement because it doesn't appear in  
4 the regulation. I can tell you that early on in the  
5 program we did have an accreditation body that no  
6 longer is an accreditation body that had only one  
7 reviewer for each of these so the standard now is two.  
8 But, again, it's not in the regulations. The  
9 question is whether to put it in there.

10 DR. WILLIAMS: Has there been any  
11 experience on the part of the ACR as to whether or not  
12 two is an appropriate number, whether it should be  
13 fewer or greater?

14 MS. BUTLER: Penny Butler, ACR. Yes, we  
15 think two is adequate. Occasionally we'll need three  
16 but definitely not less than two.

17 DR. FINDER: Any other comments? Okay.  
18 So, yes? No? Okay. I would say the majority are  
19 yeses. Again, this is now referring for the phantom  
20 image reviewers. Should there be an additional  
21 requirement stipulating what percentage of the  
22 person's practice be in mammography. This is for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 medical physicist or the person reviewing. I  
2 shouldn't say medical physicist but the person  
3 reviewing the phantom images. Yes? No? I would say  
4 the nos.

5 Should types again here be clarified to  
6 include demographic modalities? Yes?

7 DR. HENDRICKS: Dr. Finder, if I could  
8 just get clarification. Currently in terms of ACR is  
9 there a distinction in terms of mammographic  
10 modalities that is in current practice related to the  
11 reviewers?

12 MS. BUTLER: Penny Butler, ACR. With  
13 regards to both clinical and phantom image reviewers,  
14 we require that they be qualified under MQSA to read  
15 digital if they review digital. As far as  
16 percentages, we do not have a requirement there for  
17 digital.

18 DR. HENDRICKS: Thank you.

19 DR. FINDER: So, yes?

20 DR. SANDRIK: I've got one clarification  
21 again. The question of is it a matter of changing the  
22 wording just for consistency in the regulations or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 also then adding to the percentage of practice.

2 DR. FINDER: The question would be both.  
3 In terms of should we be including specific  
4 requirements for each mammographic modality type?  
5 Yes? No? The majority I would say is no.

6 No. 45 which is at the bottom of the page.  
7 This deals with accreditation and reaccreditation.  
8 This is a major question in terms of rewriting the  
9 regulations to clarify the differences. There are  
10 some subtle differences between a facility that is  
11 undergoing accreditation initially versus  
12 reaccreditation. There have been in the past some  
13 confusion between what are the requirements for a  
14 facility in those different types. Should we  
15 undertake a revision of the regulations to basically  
16 split these into two separate areas so that the  
17 requirements for each is specifically stated?

18 DR. TIMINS: A question. What is the  
19 difference between accreditation and reaccreditation  
20 in the process?

21 DR. FINDER: There are a number of  
22 differences that are involved. For example, a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 facility that is undergone initial accreditation has  
2 to have mammography equipment evaluation done on their  
3 equipment prior to becoming accredited.

4 Once they are already accredited, the  
5 reaccreditation process does not require a mammography  
6 equipment evaluation. It requires an annual survey,  
7 the results of the annual survey, and those two things  
8 are somewhat different.

9 In addition, a facility that is in the  
10 process of undergoing accreditation is actually going  
11 from a nonaccreditation status to a fully accredited  
12 status. In those six months where they are applying,  
13 they are not officially given "accreditation." That  
14 is something else we need to clarify, I think, in the  
15 other areas of the regulations.

16 But until they actually finish the process  
17 and are granted accreditation by an accreditation  
18 body, they are operating under a provisional six-month  
19 certificate rather than a full three-year certificate  
20 and the changes from going from a six-month to a  
21 three-year certificate is different than from a  
22 facility going from a full three-year to another full

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three-year.

2 So we would be looking in this area to  
3 kind of break these two different pathways out and  
4 spell it out in the regulations so that I think it  
5 would be clearer for facilities what they are  
6 expected. Again, I must say this is, from my  
7 standpoint, purely a clarification in the regulations.

8 The accreditation body has already handled  
9 these things. It's if we are going to be rewriting  
10 the regulations should we kind of specify it. Again,  
11 unless somebody has any other questions, should we go  
12 ahead and split these up or no? Yes? No? I'll take  
13 that as a yes.

14 Next page is No. 46. This deals, again,  
15 with these differences between initial accreditation  
16 and full accreditation. It says, "Prior to  
17 accreditation a survey that was performed no earlier  
18 than six months before the date of application for  
19 accreditation."

20 Should this be modified to state that the  
21 survey must have been completed since the initial  
22 application? I think the wording actually here in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regulations was somewhat confusing. What we are  
2 looking for is a survey that is done after the  
3 facility is actually applied to the accreditation  
4 body.

5 A facility couldn't have done a survey six  
6 months before it applied. It wouldn't have been doing  
7 any cases. It wouldn't have been doing any QC. This  
8 is an attempt to kind of correct miswording in the  
9 regulation here.

10 DR. FERGUSON: I have a question about  
11 this.

12 DR. FINDER: Yes.

13 DR. FERGUSON: It says "be modified since  
14 the initial application." Would it be possible that  
15 somebody bought a unit and wanted to apply and had  
16 their -- you know, when you equipment is installed you  
17 have a physicist come out and do whatever they do  
18 prior to turning it over to you. Would there be like  
19 a technicality that this physicist report was done on  
20 initial installation and then they made application?  
21 I haven't done that in a while so I don't know if you  
22 can discard that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FINDER: This is one of those issues  
2 again that deals with the differences between the  
3 mammography equipment evaluation, which actually is in  
4 the paragraph right above this, which I think would  
5 address your issue about evaluating the equipment.  
6 That would be allowed before the actual application  
7 would be acceptable.

8 The survey, however, also includes quality  
9 assurance procedures that the facility would have done  
10 and they couldn't have been doing quality assurance  
11 procedures because they wouldn't have been operating  
12 before they had been granted at least the approval of  
13 the application. Again, I don't think it's going to  
14 make any difference to the way facilities are being  
15 treated but I do think it kind of clarifies in the  
16 regulation what is expected and resolves an issue that  
17 we have been dealing with.

18 DR. SANDRIK: One question is does this  
19 mean repeating data that may have already been  
20 acquired as part of the mammography equipment  
21 evaluation or just adding in those parts that were  
22 necessary once the facility started doing clinical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exams?

2 DR. FINDER: As far as I'm concerned, and  
3 the way we would have to write it to make sure that is  
4 the case, is that it would just include the new  
5 aspects of it, not require another mammography  
6 equipment evaluation. Certainly not that.

7 DR. FERGUSON: And I appreciate his  
8 comment because that is exactly what happened to me  
9 one time. I had to have the physicist come out and  
10 completely redo everything that had been done within  
11 six weeks because of a technicality. If it can be  
12 just the stuff that wasn't covered, that would be very  
13 good in my mind.

14 DR. FINDER: Okay. So we have a yes vote  
15 here? Yes? No? I would say the yeses have it.

16 Okay. Now going down to the next one, No.  
17 47. This deals with the facility that is undergoing,  
18 in effect, reaccreditation. Should we modify this  
19 requirement to allow that the survey be up to 14  
20 months old for accreditation or reaccreditation  
21 purposes?

22 This is, again, to get at the issue of not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 having a facility repeat a survey just because it is  
2 older than six months and now they are coming up for  
3 accreditation to allow the accreditation body to use a  
4 survey that had been done as far back as 14 months  
5 which is the standard that we allow for the annual  
6 inspection when we go in.

7 Here it is a question of revising the  
8 regulations to allow facilities that leeway so that  
9 they don't have to have a survey repeated because they  
10 are undergoing reaccreditation. Yes? No? Again, yes  
11 carries the day there.

12 Next is a reporting requirement for the  
13 accreditation body to us. Should this reporting be  
14 reduced from annual to every three years? Should  
15 there also be a requirement that facilities notify the  
16 accreditation body of significant changes to personnel  
17 and equipment within a specified time frame?

18 Let's take the first one. Should the  
19 reporting to FDA be reduced from annual to every three  
20 years? Yes? No? Okay, it's split. Should there be  
21 a requirement that facilities notify the accreditation  
22 body of significant changes within a specified time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 period?

2 DR. MONTICCIOLO: What is meant by  
3 significant personnel?

4 DR. FINDER: That's a good question. We  
5 would basically be talking about or could be talking  
6 about lead interpreting physician or individual  
7 physicians. Could be technologists. It depends on  
8 what we would be dealing with and it could go anywhere  
9 from anyone of the three personnel categories to just  
10 lead interpreting physician.

11 Certainly if there were equipment changes  
12 we would like the accreditation body notified of that.

13 Again, it would be the renew unit or processor, those  
14 types of things. We could have a little discussion on  
15 what you think is appropriate and what kind of detail  
16 to go down into.

17 DR. MONTICCIOLO: My concern is for a  
18 facility that has a temporary technologist or some  
19 help. Every time they do that are they going to have  
20 to go through a lot of paperwork? It seems like that  
21 would be counterproductive.

22 DR. FERGUSON: I would like to see some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more detail. A lot of times when you have guidelines  
2 and it gets down to the people in the field, well,  
3 there are rules and it might be subject to, "Well, I  
4 think this is a significant change." It might be what  
5 one of us might consider a minor change. I think we  
6 ought to have some more detail in it.

7 DR. HENDRICKS: Just from a practical  
8 standpoint, what happens right now, for example, when  
9 the lead radiologist leaves a facility? Just in a  
10 practical sense what happens from the standpoint of  
11 the accreditation body?

12 DR. FINDER: I believe that the facility  
13 is supposed to notify the accreditation body, but I  
14 think it's in the annual update. Or do they have to  
15 notify you right away? All right. For the lead  
16 interpreting physician, at least for the American  
17 College of Radiology, they are supposed to notify  
18 right away.

19 Do you have a time frame? No time frame  
20 established. For individual physicians and other  
21 personnel, I believe that you get notified during the  
22 annual update. Three-year accreditation. Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. GOSS-TERRY: Kaye Goss-Terry with the  
2 State of Texas. Sometimes we get forgotten, us and  
3 Iowa and Arkansas. The State of Texas has a 30-day  
4 rule for personnel so they have to submit their  
5 information within 30 days except for locals we don't  
6 require that.

7 We require the facility to check the  
8 credentials. Also the equipment part on here. The  
9 accrediting bodies require them to notify us of new  
10 equipment before they are installed or at the time. So  
11 I think that part needs to be taken out.

12 MS. BUTLER: The ACR's requirements are a  
13 little bit different. We require notification of lead  
14 interpreting physician change and mammography unit  
15 change. Processor and other personnel, we get  
16 notified of those changes when they go through  
17 reaccreditation.

18 And part of the rationale behind this is  
19 lead interpreting physician is the individual  
20 responsible for the quality that is being performed at  
21 that so we put the responsibility on the professional  
22 at the facility.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FERGUSON: And how would that  
2 differentiate between the state accrediting bodies?  
3 You say it's a little different. What is the  
4 difference?

5 MS. BUTLER: Penny Butler, ACR. The  
6 difference from what Kaye just mentioned is we do not  
7 require facilities to tell us if they have hired a new  
8 tech or hired a new physician, for example, within the  
9 30-day period. That's one of the differences. We  
10 also don't require them to tell us if they have  
11 installed a new unit. Way ahead of time they are  
12 supposed to notify us that they have installed a new  
13 unit and send us all the required material before they  
14 start using it.

15 DR. HENDRICKS: I wonder then if it makes  
16 sense to clarify that for either lead interpreting  
17 physician and new equipment.

18 DR. FINDER: If we are only talking about  
19 notifying the accreditation bodies for lead  
20 interpreting physicians and for new equipment. Would  
21 people say yes or no? Yes? No? Okay. It looks like  
22 a yes for the lead interpreting physician. All right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BYNG: Dr. Finder, is there a  
2 distinction on equipment much in the same way you are  
3 making a distinction on personnel?

4 DR. FINDER: I think we are actually going  
5 to be talking about that a little bit more because I  
6 do think we need to break down what equipment truly  
7 is. And it becomes even more complicated when we  
8 start dealing with digital units because the way they  
9 are separated out. Basically, you are talking about  
10 for film screen the processor and the unit itself.

11 With digital, are we talking about each  
12 new monitor or each new printer? I think if we are  
13 going to require something like this, I think we would  
14 have to stay at a fairly high level in terms of major  
15 component, major piece of equipment.

16 DR. SANDRIK: I think this issue will come  
17 up in many of the regulations where you try to put a  
18 regulation listing things that something applies to  
19 and then trying to decide whether it is something that  
20 should be added or subtracted from the list later on.

21 I think in many cases this may well be  
22 sort of a detail that is handled when the FDA reviews

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an accreditation body's accreditation plan and decides  
2 whether they are going to accept it or not. It need  
3 not necessarily be explicitly part of the regulation.

4 DR. WILLIAMS: The other thing is I think  
5 it would be consistent with the philosophy that it  
6 needs to be articulated with the ACR which is that the  
7 responsibility be on the lead radiologist to oversee  
8 all of the quality assurance that goes on and the  
9 equipment change, therefore, would fall under that.

10 DR. FINDER: Moving right along, page 20,  
11 footnote No. 49. Again, in terms of these reporting  
12 requirements, should the State Certification Agency be  
13 added for these reporting requirements. That's the  
14 same for 49 and 50.

15 Again, that would only apply to those  
16 specific situations where there is a State  
17 Certification Agency and it would be limited to the  
18 facilities in those states and for the accreditation  
19 body also.

20 Let's take both of them together, I think.  
21 Yes on that? No? So both of those are yes.

22 That concludes the first section. Moving

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right along. We are way ahead of schedule. I guess  
2 we can all take a two-hour break. Okay.

3 The next section -- do we want to move  
4 ahead or take a break?

5 DR. HENDRICKS: I think we should move  
6 ahead.

7 DR. FINDER: The next section deals with  
8 evaluation which is 900.5. Also withdrawal of  
9 approval, 900.6, hearings 900.7. Then we'll move to  
10 another section dealing with the requirements for  
11 900.11, requirements for certification.

12 Then all the SAC requirements which are  
13 900.20 through 900.25. We'll take them in sections.  
14 The first group is included in pages 21 through 25 and  
15 consist of footnotes 51 through 53. Let's do those  
16 first. Okay.

17 Basically we are asking the question here  
18 about major deficiencies. Should one of these  
19 deficiencies be -- if the accreditation body does not  
20 fulfill all its requirements under its own policies  
21 and procedures should that be considered a major  
22 deficiency. Yes or no?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. FERGUSON: I would like to know what  
2 we are trying to get at with this. This is a change  
3 and probably a significant change I would think.

4 DR. FINDER: It's basically a question of  
5 at what point do you consider problems sufficient  
6 where an accreditation body isn't following its own  
7 procedures to declare them having a significant  
8 deficiency and take action. Is it any policy and  
9 procedure or is it just the ones that have already  
10 been listed?

11 DR. FERGUSON: I guess, again, I would  
12 want to know -- we have listed minor things and major  
13 things. What will we be trying to drill down to?

14 DR. FINDER: If we included language of  
15 this type, any accreditation body that failed to  
16 follow any of its procedures could theoretically end  
17 up as a major deficiency.

18 Right. Yes.

19 DR. TIMINS: I feel that is unnecessarily  
20 harsh. Jots and tittles get missed all the time and I  
21 think it should be substantive.

22 DR. FINDER: Okay. So let's just take a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 show of hands. Yes for this? No for this? I would  
2 say it's a no.

3 DR. HENDRICKS: Just to clarify, so then  
4 the major deficiencies still stand?

5 DR. FINDER: As currently written. I  
6 believe that is the consensus of the group.

7 Next is on page 23, footnote No. 52.  
8 Should all four types of certificates and the  
9 requirements for obtaining certificates be enumerated  
10 here? This would just be a clarification. Yes?

11 DR. TIMINS: When I first saw this  
12 question I had to search through the document to find  
13 the definition. I would like to see the definitions  
14 of all four types of certificates in the definitions  
15 to begin with so that you start off at a full run.

16 DR. FINDER: I believe that is question  
17 No. -- no (laughing.) We actually do have that and  
18 we'll get to that issue in the definition section when  
19 we get there. Okay. That's a good point. With that  
20 understanding that there would be definitions for what  
21 the four types of certificates are, should we place in  
22 this area the requirements for obtaining those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certificates. Yes? No? I would say that's a yes.

2 On page 24 this deals with reinstatements  
3 and the interaction between FDA and the accreditation  
4 body should the State Certification Agency be added  
5 where appropriate to this section. Again, this would  
6 apply to just those facilities that are certified by  
7 the State Certification Agency. Yes? No? That would  
8 be a yes.

9 The next group of questions, continually  
10 moving on, starts on page 54. We begin with footnote  
11 No. 148. Let's take a minute to get the projector to  
12 the right group, 148. These are the regulations  
13 dealing with the State Certification Agencies.

14 Page 54, footnote No. 148. Everybody  
15 found it? Okay. The question that we're asking here,  
16 "Should the State Certification Agency be limited to  
17 enforcing the quality standards set forth in the  
18 regulations? Any stricter enforcement would have to  
19 be under the state's own authority." Yes? Show of  
20 hands. No? Okay. I'll take that as a yes.

21 As a corollary to that, under footnote  
22 149, "Should the statement 'at least as stringent' be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 changed to `substantially the same?'" Again, the  
2 concept behind this is to ensure that the State  
3 Certification Agency enforces the MQSA regulations and  
4 if they have more stringent state regulations that  
5 they wish to enforce, they can do that but they would  
6 have to make it clear that they are operating under  
7 their own state requirements rather than under an MQSA  
8 umbrella. Yes on that? No? The nos again? Two nos  
9 so that would be a split.

10 DR. BYNG: Dr. Finder, can you add further  
11 clarification to "substantially the same" versus "at  
12 least as stringent?"

13 DR. FINDER: The concept here is that if  
14 it says, "at least as stringent" there is the issue  
15 about that they can basically enforce under MQSA  
16 anything that is more stringent.

17 If somebody wanted to say that -- if a  
18 state wanted to say, for example, and had this in  
19 their own regulations that only, let's say, board  
20 certified radiologists could read mammography, whereas  
21 we allow board certified or physicians with a certain  
22 amount of training.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The question comes up would they enforce  
2           that as an MQSA violation or as a state violation?  
3           What we are trying to clarify here is they can go  
4           ahead and enforce their own regulations but it would  
5           be under the state auspices. They wouldn't claim this  
6           was an MQSA violation because, again, that is not our  
7           requirement per se.

8           This is a similar type issue with the  
9           accreditation bodies but there it has been clarified  
10          before where accreditation bodies basically enforce  
11          the MQSA regulations. If they are going to enforce  
12          something more stringent, they do it under their state  
13          authority.

14          DR. FERGUSON: And you're just saying that  
15          to make that clear?

16          DR. FINDER: The idea here would be that  
17          it would be to make that issue clear under whose  
18          authority they would be taking certain actions.

19          DR. HENDRICKS: Just from a practical  
20          standpoint, are there instances where there is a  
21          significant number of violations that would occur  
22          under these state agencies as opposed to the MSQA? Is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that a significant problem where there is a big  
2 difference in the violations, for example?

3 DR. FINDER: Dr. Barr wants to speak.

4 DR. BARR: Hi and welcome. Helen Barr,  
5 Director of the Division of Mammography Quality and  
6 Radiation Programs. I think this is one of these  
7 circumstances where Dr. Finder is being a little too  
8 PC. The bottom line is that states can go out there  
9 and do some things that are really outside of the  
10 spirit of MQSA and by this language we are trying to  
11 prevent that.

12 They do some things that were really not  
13 envisioned by MQA which we don't endorse or believe in  
14 and we want to ensure that when they do these things,  
15 which they have the right to do under their state law,  
16 that it is clear that it's not part of MQSA, that they  
17 are enforcing these types of actions under the state,  
18 and that this is not the spirit of MQSA. That is  
19 really what we are trying to accomplish here if that  
20 makes it any easier. Thank you.

21 DR. FERGUSON: I appreciate that. It  
22 brought to my mind one question. You said state

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agencies. Does ACR have anything outside of what --

2 DR. BARR: No. I'm talking about states  
3 as governmental entities themselves. No, this doesn't  
4 have to do with the accreditation bodies.

5 DR. FINDER: Okay. So we want to take  
6 that vote again now that we've had all this  
7 discussion? Why don't we take votes. Well, the first  
8 one we already got a yes. I assume that there was no  
9 change for 148? All right. 149, yes? No? Okay. So  
10 it's just a yes.

11 150 is the same issue about "at least as  
12 stringent" versus "substantially the same." Yes? No?

13 That was a yes. 151. This deals with policies and  
14 procedures. Should there be a policy and procedure  
15 for denying a certificate versus just suspending or  
16 revoking? This is another one of the actions or  
17 situations that can occur. It is not specifically  
18 stated in the policies and procedures that are  
19 required. Should we add one that talks about denying  
20 a certificate? Yes? No? Yes has it.

21 Should there be policies and procedures  
22 for taking action against the facility that performs

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mammography without a certificate? Yes? No? Okay.  
2 Should there be policies and procedures for  
3 maintaining the certification status of facilities  
4 whose accreditation body has been withdrawn by FDA?

5 Yes, do you have a question?

6 DR. TIMINS: I was wondering if this  
7 particular item was already dealt with on page 48,  
8 Section 900.13(b)?

9 DR. FINDER: Let's go back. You said 48.  
10 What line would that be on?

11 DR. TIMINS: 900 --

12 DR. FINDER: No, line number on the page.  
13 It should be over on the left-hand side page numbers  
14 and line numbers.

15 DR. TIMINS: It would be 11.

16 DR. FINDER: Okay. Right. That would be  
17 another area where this could be dealt with in terms  
18 of specifically stating that the State Certification  
19 Agency what it will do if FDA withdraws the  
20 accreditation body's ability to accredit facilities in  
21 that state. But here we are talking about should they  
22 have procedures in their application for dealing with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this situation. This section that you're talking  
2 about basically deals with just what FDA will do.

3 DR. HENDRICKS: I just have a question to  
4 clarify that also. What occurs right now, for  
5 example, when an accreditation body's approval is  
6 withdrawn? What currently happens to the facilities  
7 that are overseen by that body?

8 DR. FINDER: That has happened only once  
9 in the history of the MQSA. And what basically  
10 happened was that the facilities were given time to  
11 switch over to a different accreditation body and the  
12 certification status was maintained. The situation,  
13 however, was that FDA held all those certificates.

14 It was not a situation where the state was  
15 the certifying agency. Again, this is more for  
16 clarification. We had our procedures in place to deal  
17 with that situation. We just want to make sure the  
18 State Certification Agencies have that same type of  
19 procedure in place.

20 Going back to 153. Yes? No?

21 DR. SANDRIK: Just one comment. It's  
22 somewhat maybe the wording part of it but you talk

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 about maintaining. I think maybe there needs to be  
2 some consideration, say, for reviewing the  
3 accreditation because if, in fact, you find that the  
4 accreditation body has not been performing properly,  
5 possibly the accreditations that they have provided  
6 aren't really valid and maybe some method of actually  
7 going back and seeing the validity of those at the  
8 facilities in question should be part of this.

9 DR. FINDER: I think that we would be  
10 talking about them including some type of language  
11 similar to what we have in the regulations dealing  
12 specifically with FDA when they encounter this type of  
13 situation.

14 It deals with the fact that FDA can make  
15 those decisions and can shorten the amount of time  
16 that the remaining certificate is valid in cases such  
17 as that where we believe there has been such a big  
18 problem that the question of all those accredited  
19 facilities might be in question. So, again, we are  
20 not wordsmithing here.

21 We are talking about the concept of just  
22 requiring that they have policies and procedures in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 place, how we would actually -- what we would  
2 actually be looking for from the State Certification  
3 Agencies at that time would obviously go along with  
4 that type of reasoning. Again, on this question 153,  
5 yes? No? The yeses have it.

6 Again, for 154 we are back in that same  
7 issue about at least as stringent versus substantially  
8 the same. Should we modify it to be consistent with  
9 the other sections we've already talked about? Yes?  
10 No? Same for 155. Yes? No?

11 DR. HENDRICKS: I just have a quick  
12 question related to the last items that we approved.  
13 Do we see then that if we made this modification to  
14 substantially the same for these various line items  
15 that the state agencies would then have to go back and  
16 do a fair bit of revision of their regulations?

17 DR. FINDER: No, the states wouldn't have  
18 to change their regulations at all. It then comes  
19 down to only a question of whatever action they would  
20 take just to clarify that they are taking that action  
21 under their state authority, not under MQSA, but they  
22 don't have to change their regulations at all.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Okay, 156.   Should there be an approval  
2 term established for the State Certification Agencies?

3                   We have one for the accreditation bodies.   They have  
4 to reapply every seven years.   There is no such  
5 requirement in the state certification requirements.

6                   DR. BYNG:   Is there a review at some  
7 period besides the reapplication?

8                   DR. FINDER:   Both the accreditation bodies  
9 and the State Certification Agencies undergo an annual  
10 review, and they are also updating us continually on  
11 any changes to their procedures and their policies.  
12 For whatever reason the State Certification Agency  
13 does not have a reapplication date, whereas the  
14 accreditation bodies do.

15                   The question is why is there that  
16 difference?   Should they be the same?   I will say that  
17 the fact that they actually come up for reapproval  
18 sometimes makes it a little easier to deal with  
19 various bodies.

20                   Not in terms of major problems but just  
21 clearing up some minor things that have taken, or may  
22 have taken awhile to deal with.   I will bring out one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point. The one accreditation body -- accreditation,  
2 not certification, but the one accreditation body that  
3 stopped being an accreditation body did so at the time  
4 it was up for reapproval. That is when several issues  
5 came to a head and they decided to drop out of that  
6 process.

7 DR. FERGUSON: I'm curious because that's  
8 my fear in this. Tell me why did they drop out? Did  
9 FDA say, "Okay, we don't like the way you've been  
10 doing things up to this point. If you don't change  
11 it, we're not going to reapprove it." They decided  
12 that what you wanted was so onerous that they decided  
13 not to do it. Is that how it transpired?

14 DR. FINDER: I can't give an estimate of  
15 what they thought but we were not requiring them to do  
16 anything else that anybody else wasn't doing. I think  
17 the final issue that brought it to a head really had  
18 nothing to do with the requirements. It had to do  
19 with financial issues within the state.

20 DR. FERGUSON: You did say that they are  
21 reviewed every year and if you find a problem, I'm  
22 sure you bring that out every year. Why would you if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you review them every year then also want to have a  
2 redo in, say, seven years?

3 DR. FINDER: As I say, there are times  
4 when you encounter minor issues with these various  
5 agencies or bodies. You bring it up and it really  
6 doesn't get 100 percent changed the way you would like  
7 it and this goes on for a while.

8 The concept here being that when they come  
9 up for reapproval you have an added kind of incentive  
10 for people to make the changes you've been asking for.

11 Again, this is not -- if it's a major issue, it is  
12 dealt with right away but sometimes you have these  
13 minor things that kind of drag on for a while.

14 DR. SANDRIK: I mean, you do have two  
15 upcoming sessions, one on both the evaluation we  
16 talked about and a subsequent one on withdrawal of  
17 approval. It seems like you do have the mechanisms in  
18 place to do this without necessarily adding an  
19 additional layer of bureaucracy to the process.

20 DR. FINDER: I believe that is true.  
21 Again, it is a question of degree. Do you want to go  
22 through the process of denying somebody or revoking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 versus kind of dealing with this at a formal  
2 reapproval process. As I say, the main issue why this  
3 comes up is because we already require it for the  
4 accreditation bodies. They undergo that. You could  
5 recommend, if you wanted to, to suggest that we get  
6 rid of the other requirement.

7 DR. FERGUSON: I think that's the nod of  
8 approval if you're looking at them every year.

9 DR. FINDER: Okay. So let's go back to  
10 this issue. Should we set a term of approval for the  
11 State Certification Agency? Yes? No? I would say  
12 that's split.

13 While we're at it, we might as well ask  
14 the same question for the accreditation bodies.  
15 Should they -- they currently do have a reapproval  
16 term of seven years. Should we maintain that? Yes?

17 SANDRIK: Do you have the same authorities as pointed  
18 out here of both the annual review and authority for  
19 withdrawal?

20 DR. FINDER: Yes. Again, let's take the  
21 vote for the accreditation bodies. Yes, keep it the  
22 way it is? And no? Again, split for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 accreditation bodies.

2 Okay. Next page, No. 158, same question  
3 again. I missed one? Oh, I'm sorry, yes, 157.  
4 Should there be a regulation dealing with public  
5 disclosure of State Certification Agency applications?

6 On this, we would basically be talking about  
7 protecting information submitted from the state at  
8 least until there has been a final decision made on  
9 that application process. Right now it is moot on  
10 that point. Should we include language dealing with  
11 that? Yes?

12 DR. SANDRIK: Could you identify them?  
13 What would be disclosed? Is it just a matter of  
14 saying this facility got an accreditation or  
15 something?

16 DR. FINDER: No.

17 DR. SANDRIK: Or the personnel  
18 qualifications and things?

19 DR. FINDER: No. What we would be talking  
20 about here is the actual application that was  
21 submitted to FDA from the state in terms of their  
22 policies and procedures, those types of things.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Obviously the regulations would be known to the public  
2 anyhow through the state process but their internal  
3 policies and procedure that they would be submitting.

4 DR. FERGUSON: Again, what are we trying  
5 to get to with this and is this a burden or an  
6 expense? I mean, if it's necessary and there's a good  
7 reason, I'm for it.

8 DR. FINDER: It comes down to an issue  
9 about what we as FDA should be releasing during the  
10 evaluation process. It would make it easier for us, I  
11 believe, if it was spelled out under what conditions  
12 what materials would be released and when they would  
13 be released so that if somebody applied to us under  
14 Freedom of Information Act, let's say, to see what had  
15 been submitted to us, what we would be releasing and  
16 when.

17 Yes.

18 DR. TIMINS: Would this be material that  
19 already appears in the state register?

20 DR. FINDER: No. Part of it would be  
21 because we would be talking about the regulations.  
22 Those obviously would be public knowledge because it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 would be made that way by the state. However, the  
2 individual procedures and policies that would be  
3 submitted to us would not be generally made known to  
4 the public. Certainly not while they were applying.  
5 states don't have to and we wouldn't want to probably  
6 announce that somebody has applied and made an  
7 application until the final decision had been made on  
8 whether we would approve them or not. Those are the  
9 types of issues. It's basically a nondiscoverability  
10 issue until at least the decision would be made one  
11 way or the other on the status of the applicant.

12 So 157, yes or no? Yes? Okay. No? I'll  
13 take that as a yes. I will thank the audience member  
14 for picking that up.

15 158, again we get back to at least the  
16 stringent versus the substantially the same. The  
17 question there is yes, should we make that change, and  
18 no. And the yeses have it.

19 159, appeals. Should this section be  
20 modified to clarify that FDA retains authority over  
21 appeals regarding accreditation bodies? In the  
22 beginning we had some question about if somebody

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appealed and in an accreditation body decision, would  
2 it go to the State Certification Agency or would it  
3 come to FDA?

4 The regulations actually give FDA that  
5 authority. It's just a matter, again, of clearing it  
6 up and clarifying that if somebody appeals an  
7 accreditation body decision, it comes to us as FDA is  
8 the oversight agency of the accreditation body.

9 Yes.

10 DR. SANDRIK: One comment on that. Some  
11 states can be both accreditation bodies and certifying  
12 bodies so I think maybe having like a third party that  
13 is looking at that in case there is an accreditation  
14 body problem that is not being reviewed by the same  
15 people basically the problem might be posed against.

16 DR. FINDER: Again, what we would be  
17 talking about is not accreditation body appeals going  
18 to the certification agency. They would come directly  
19 to FDA no matter who was the certification agency  
20 because only FDA has oversight authority over the AV,  
21 not a State Certification Agency so it would come to  
22 us. That is the purpose of hopefully the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clarification language. Yes on that? No? That would  
2 be a yes.

3 160 is should state certification agencies  
4 have the flexibility to have reviews performed by  
5 nonaccreditation body reviewers? The review that  
6 we're talking about here are additional mammography  
7 reviews. We have on occasion used reviewers who were  
8 not from that accreditation body, from the facilities  
9 accreditation body, to perform additional mammography  
10 reviews.

11 It occurs very occasionally specific  
12 circumstances but it has occurred in the past. The  
13 question here is should the State Certification  
14 Agencies have that same flexibility? The answer is  
15 yes? No? That's a yes.

16 DR. BYNG: Just a clarification here. You  
17 are clarifying in the regulation that the state will  
18 have the same authority as you? Did I hear you  
19 correctly on that?

20 DR. FINDER: Yes, that would be the  
21 intent.

22 Next, 161 where we are talking about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 changes to standards. Should we expand this to  
2 specifically state fees, staffing policies, et cetera?

3 They have to obtain an authorization from us when  
4 they are making changes. Do we want to include those  
5 types of specifics? Yes? No? I'll take that as a  
6 no.

7 Okay. Next, page 58, footnote No. 162.  
8 Should this section be rewritten to clarify that the  
9 changes should already have been approved by FDA prior  
10 to this review? If you look at this section, it kind  
11 of gives you the impression that we would be reviewing  
12 changes that we didn't know about beforehand and that  
13 shouldn't be happening.

14 All changes to their policies and  
15 procedures should have been authorized by us prior to  
16 them actually making the change so we would be talking  
17 about revising this language to be consistent with the  
18 fact that they would be getting approval before  
19 instituting any of these changes. That's what we're  
20 talking about here. Yes on that? No? That's a yes.

21 Last is on page 59 where we are dealing  
22 with footnote 163. Should certificates issued by the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 State Certification Agency remain in effect for some  
2 time period after withdrawal of approval? Here we are  
3 talking about approval of an accreditation body so  
4 this would be a situation where FDA withdrew the  
5 approval of an accreditation body.

6 What would the State Certification  
7 Certificates do? What would those facilities do?  
8 Again, we would be talking about giving the state the  
9 same authority that we have in order to extend those  
10 certificates out for some time period and the language  
11 presumably would be similar to the authorities that we  
12 give ourselves to deal with these situations.

13 Yes.

14 DR. FERGUSON: If you weren't to do this,  
15 I suppose, and your state body was decertified, then  
16 every facility in the state would be effectively out  
17 of business. Right? What is the current time period  
18 that you have, six months?

19 DR. FINDER: I believe it's up to one year  
20 in order to carry those certificates over. This is a  
21 little bit different situation than with the  
22 accreditation body if they go out because

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       theoretically we could just take over for some time  
2       but it would require a process for us to issue new  
3       certificates.

4                   I don't believe that if a State  
5       Certification Agency actually went out of business  
6       that it would take a full year for us to deal with  
7       that situation. The other certification agency would  
8       take over fairly quickly but, again, we have no  
9       regulatory language in here to deal with that  
10      situation. We would like to put something in about  
11      it.

12                   Yes.

13                   DR. TIMINS: I think it's very important  
14      that there be this protective period put in the  
15      regulation to protect the consumer and the facility.  
16      Reimbursement could be tied to this. Insurance plans  
17      could require that a patient go to a facility with  
18      such certification.

19                   DR. FINDER: That's a very good point  
20      because the certification status is tied to billing  
21      and reimbursement so any even short-term lapse of that  
22      could result in significant problems.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FERGUSON: I would suggest a generous  
2 period of time because I think it can get more  
3 complicated than a few months.

4 DR. SANDRIK: Yes, I guess I would actually  
5 suggest writing the rule that a period of time is not  
6 explicitly part of it. It would be looked at on a  
7 case-by-case basis how many accreditation bodies are  
8 affected or how many facilities or affected, what the  
9 nature of the problems were and that sort of thing.  
10 That should help determine how long it's going to take  
11 to solve the problems.

12 DR. FINDER: A show of hands yes for this?  
13 No? I think that is a yes.

14 Should we have regulations dealing with a  
15 reapplication by a State Certification Agency after  
16 FDA withdraws approval. Yes? No? Okay.

17 DR. FERGUSON: I was going to say I would  
18 assume it would be just like an initial application.

19 DR. FINDER: Again, we haven't written  
20 anything. I would assume that it would actually  
21 involve more than that in the sense we would certainly  
22 be looking at whatever problems got them to lose their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certification status to make sure those have been  
2 corrected. But I'm sure it would involve an entire  
3 review of the entire system but, yes.

4 DR. FERGUSON: I should have said at a  
5 minimum an initial application.

6 DR. FINDER: Yes on that? Show of hands?  
7 No? That was a yes. Okay. We've gone through those  
8 sections pretty quickly. Do we want to go and  
9 continue on or do we want to break for lunch now?

10 DR. HENDRICKS: I propose that we break  
11 for lunch. In that instance --

12 DR. FINDER: Before you do that --

13 DR. HENDRICKS: Yes.

14 DR. FINDER: -- I think we need to check  
15 and make sure that lunch is ready before we break for  
16 it.

17 DR. HENDRICKS: If we do break for lunch,  
18 after we confirm that lunch is ready, we want the  
19 committee to return by 1:00. Apparently right next  
20 door is an inclusive brunch for \$15 for the panel  
21 members.

22 We request that we exit this room as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 expeditiously as possible when we break for lunch  
2 because it will be secured by the FDA staff during  
3 this break. You are advised to take any personal  
4 belongings that you want with you during the break  
5 because you will not be allowed to enter into this  
6 room until we reconvene the meeting.

7 DR. FINDER: Let me check on that because  
8 the last I heard we were going to be allowed to eat  
9 lunch in here but let me check on that. Nancy is  
10 actually going to check on that.

11 DR. HENDRICKS: Okay. The lunch really  
12 isn't ready. It's not ready until noon. Do you want  
13 to clarify whether we can eat in here or whether we'll  
14 be able to reenter the room?

15 Penny? I'm sorry. I didn't hear the  
16 question. Yes, we certainly have time remaining  
17 before lunch.

18 MS. BUTLER: Thank you. Penny Butler, ACR.  
19 I wanted to revisit or just bring up item 47 or is it  
20 48? Yes, I'm sorry, 48. Footnote 48 on page 18.  
21 Thank you, Charlie. This is the regulation that  
22 requires accrediting bodies to ask their facilities to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 submit the results of such surveys and any other  
2 information that the body may require to the body at  
3 least annually.

4 If I recall correctly, this was a split  
5 vote. Should the reporting be reduced from annual to  
6 every three years? I wanted to bring up a  
7 clarification from the ACR because this was actually  
8 an issue that we had presented to the ACR.

9 During the ACR's annual update that we  
10 request of all facilities we asked them for an update  
11 on pertinent information related to the facility like  
12 address, phone numbers, interpreting physician, that  
13 kind of thing. We also asked them for a copy of their  
14 medical physicist report that we are required to  
15 review.

16 We felt that this was a duplication of  
17 effort for the facility and it's a lot of extra work  
18 that really is not necessary because these facilities  
19 are already going extremely detailed annual inspection  
20 by their FDA inspector. We had requested FDA to  
21 consider eliminating the request of the annual survey  
22 being sent to the medical physicist.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   We still think it's very important to have  
2 an annual update to get this pertinent information but  
3 it was just the annual survey that we thought wasn't  
4 necessary because of the complete review it was  
5 already going through every single year. Thank you.

6                   DR. FINDER: Okay. With those comments we  
7 can actually look again and see a show of hands. Does  
8 anybody want to change that aspect of it? Yes? From  
9 one to three years, yes. To go from one to three  
10 years. No? Okay. It's more toward the yes than the  
11 split. Okay.

12                   DR. HENDRICKS: Right now we'll break for  
13 lunch and then we'll reconvene at 1:00.

14                   (Whereupon, at 11:59 a.m. recessed for  
15 lunch to reconvene at 1:00 p.m.)

16                   DR. HENDRICKS: Let's take our seats in  
17 preparation for resuming the meeting. I would like to  
18 welcome everyone back to the afternoon session. We  
19 are going to begin this afternoon's session by  
20 petitioning the members of the panel as to whether  
21 they have any comments on the items that were  
22 previously covered or whether they had any writing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 items or items that they wanted to be brought for  
2 discussion specifically on the topics that we have  
3 already covered to get input from members of the  
4 panel. Yes.

5 MS. MOUNT: I would just say that having  
6 the clarification and having Dr. Finder kind of  
7 explain where the question is coming from may have  
8 changed my view from what I sent in previously but I  
9 think everything we have covered I better understand  
10 now and I have no change.

11 DR. HENDRICKS: Yes.

12 DR. TIMINS: Actually, this was something  
13 that I had written in to Dr. Finder when we answered  
14 these questions. I would like to have the differences  
15 between certification and accreditation and also  
16 certification or certifying body and accreditation or  
17 accrediting body stated clearly both in definitions  
18 and in the body of the report, and also perhaps  
19 clarified here.

20 DR. HENDRICKS: Do you want to take a  
21 minute to clarify?

22 DR. FINDER: Okay. I think many of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions that we have in here are an attempt to try  
2 and clarify those exact differences between  
3 accreditation, initial accreditation, reaccreditation,  
4 certification. I can go over just a little bit in  
5 terms of what general accreditation is, what  
6 certification is.

7 In order for mammography facilities to  
8 perform lawfully, it has to be certified by either the  
9 FDA or one of the State Certification Agencies. These  
10 are agencies that we have approved to do that.

11 In order to become certified you have to  
12 either be accredited or in the accreditation process.

13 What that process entails, amongst other things, and  
14 there are a number of things here, but I think the  
15 most important of the accreditation body functions is  
16 the review of the clinical images to ensure that the  
17 quality is there.

18 What a facility needs to do if it wants to  
19 become accredited and certified, it must go out, set  
20 up a facility, must have equipment. That equipment  
21 has to be not inspected but have an equipment  
22 evaluation performed by a medical physicist showing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that it meets all of our qualifications.

2 Then the facility applies to the  
3 accreditation body, sends in an application saying  
4 that it wants to become accredited. It submits  
5 certain information at that time. The accreditation  
6 body notifies the certification agency that issues a  
7 certificate allowing that facility to perform  
8 mammography legally.

9 That certificate the first time around is  
10 what is called a provisional certificate or a six-  
11 month certificate. That allows the facility to  
12 operate and to generate the clinical images that can  
13 be submitted as part of the accreditation process  
14 amongst other things that have to be submitted.

15 Once that material is submitted to the  
16 accreditation body, the accreditation body reviews it  
17 and makes a decision on whether the quality is there  
18 at that facility. If it is, it transmits that  
19 information to the certification body which then  
20 issues a three-year certificate which allows that  
21 facility to perform mammography services legally.

22 Then at the end of that three-year process

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it begins again. They reapply. But, again, here's  
2 the difference. In the initial accreditation there is  
3 that six month provisional status. When you go from  
4 an accreditation status to a reaccreditation there is  
5 no provisional.

6 You just get a three-year certificate if  
7 you go through the process successfully. That is in a  
8 nutshell what's involved. As part of the  
9 certification responsibilities, the facilities are  
10 inspected by either an FDA or a state inspector  
11 annually and they review the quality control  
12 procedures.

13 They check personnel qualifications and  
14 there is a report generated from those annual  
15 inspections. If there are any deficiencies found  
16 during those inspections, it's the responsibility of  
17 the facility to correct those things and in certain  
18 cases to notify the certification agency of how they  
19 have corrected those problems.

20 In a nutshell, does that answer your  
21 question or do you have any other specific questions  
22 about the process? No? Okay. But I agree that I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think we do need to do a better job in the definition  
2 section and in the procedures themselves about  
3 differentiating some of these factors.

4 DR. HENDRICKS: Any other comments from  
5 the panels?

6 MS. VOLPE: I have several comments. I am  
7 assuming that you will be going through and cleaning  
8 up the dates that are way past and using only dates  
9 that are in the future. The current documents use  
10 1999, 2002, and things of that sort.

11 DR. FINDER: Yes. Those dates are the  
12 dates when the final regulations went into effect and  
13 when certain requirements, mainly equipment  
14 requirements, went into effect. Those are basically  
15 the 2002 dates. Yes, the idea would be to update or  
16 get rid of those requirement dates because now they  
17 are required of all facilities in all equipment.

18 MS. VOLPE: I have several other comments.  
19 I am assuming you will allow for electronic  
20 submission to the FDA instead of having to mail in all  
21 of the stuff that the different bodies have to do when  
22 they apply for accreditation and so forth.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           Then I have other definitions that I  
2 believe should be added which we can talk about later.

3           On the area I believe we did page 46, where it's  
4 talking about on line 38 the artiste training and  
5 breast implants, I believe the artiste should also be  
6 trained as to the proper method of administering  
7 compression to someone with an implant.

8           I have an implant and as a breast cancer  
9 survivor I have never had to have a mammogram on that  
10 breast but I have never seen a question on any form I  
11 filled out asking if I had implants when I go in to  
12 have the mammogram.

13           DR. FINDER:   Okay.   We haven't gotten to  
14 that section yet but we certainly can address that at  
15 that time.

16           DR. FERGUSON:   Will you be addressing the  
17 electronic submission that she mentioned because that  
18 is something we talked quite a bit about last time  
19 about physicians who read for multiple clinics and the  
20 people in the field being able to access that without  
21 having to submit for multiple sites.

22           DR. FINDER:   I think there are two or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maybe even more issues. Are you talking about  
2 electronic submission for the accreditation process,  
3 the approval?

4 MS. VOLPE: Yes, I was.

5 DR. FINDER: In terms of applying to FDA  
6 for, let's say, an accreditation body wants to become  
7 an accreditation body approved by us, we will accept  
8 electronic transfers of documents. That's not a  
9 problem. The issue about facilities, let's say,  
10 keeping their records which is the one I think you're  
11 addressing, again, it can be electronic.

12 We don't necessarily need to have them on  
13 paper. Probably a deeper issue there is does it have  
14 to be available at each facility at the time of the  
15 inspection. That is something certainly that we can  
16 discuss about this.

17 I think part of the issues are what we  
18 require and if we change some of the regulations, it  
19 may not be as onerous as it was in the past. I think  
20 when we get to that section, we certainly can address  
21 that type of issue.

22 DR. FERGUSON: As I recall, it had to do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with warehousing the information where the guy in the  
2 field could access it immediately and you didn't have  
3 to run around getting all your documentation to each  
4 side.

5 DR. FINDER: Right. And, again, I guess  
6 it's a question that has always been brought up to us,  
7 why doesn't FDA keep a central database of this  
8 information also? That was one of the other  
9 suggestions to us. There are multiple problems with  
10 us trying to maintain that information, get that  
11 information, and release that information to only to  
12 the right people at the right time.

13 Up until the time we have required that it  
14 is the facility's responsibility to have that  
15 information available at the time of the inspections.  
16 Again, those are issues we can certainly address as  
17 we go into those sections if you want to.

18 DR. TIMINS: On one of the questions that  
19 we covered was No. 32 on page 10. Should there be  
20 policies and procedures for issuing accreditation  
21 extensions for reaccrediting facilities? Is this to  
22 assist the accreditation body that is late in getting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through this or falling behind in the renewal process?

2 DR. FINDER: This section deals with the  
3 situation where, for lack of a better term, through no  
4 fault of the facility the decision hasn't yet been  
5 made by the accreditation body. This allows a process  
6 so that they don't have to stop performing  
7 mammography. They can be issued this extension.

8 It actually was, I believe, a change in  
9 the last reauthorization that allows this type of  
10 process to go in and that is why we want the  
11 procedures in the regulations in the policies of the  
12 accreditation bodies to address this new aspect of the  
13 accreditation process.

14 DR. TIMINS: The vote on that was  
15 affirmative?

16 DR. FINDER: Yes.

17 MS. VOLPE: I have another comment. On  
18 page 20 under the consumer complaint mechanism, I  
19 think it would be worthwhile to add a section to  
20 require a mechanism for a consumer to file a complaint  
21 directly with both the accreditation body and the FDA  
22 and that will give the consumer more confidence that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701