

1 DR. WESTNEY: Lenaine Westney. No.

2 DR. SHANKLIN-SELBY: Elizabeth Shanklin-Selby.

3 No.

4 DR. DAVIDSON: No?

5 DR. SHANKLIN-SELBY: No.

6 DR. TULMAN: Lorraine Tulman. No.

7 DR. DAVIDSON: Ezra Davidson. No.

8 MS. WATKINS: If the committee members will

9 kindly turn their mikes off after voting. Thank
10 you.

11 DR. DAVIDSON: Thank you. The next question has

12 a B and a C, B being 35 weeks and C being 32 weeks.

13 Let's start with Dr. Tulman on B. If not, would

14 prevention of preterm birth prior to 35 weeks

15 gestation be an adequate surrogate?

16 DR. TULMAN: Yes.

17 DR. SELBY: No.

18 DR. DAVIDSON: No?

19 DR. SELBY: No.

20 DR. WESTNEY: Lenaine Westney. No.

21 DR. CARSON: Sandra Carson. Yes.

22 DR. HENDERSON: Cassandra Henderson. I said yes

1 for 37, so --

2 DR. DAVIDSON: Hold just a second. That's not
3 an option. This is yes. Selby is no. And Westney
4 is no? Is that right? Two yes. That's -- Tulman
5 is no.

6 MS. WATKINS: Dr. Tulman?

7 DR. DAVIDSON: Tulman is yes.

8 MS. WATKINS: Dr. Tulman, please restate your
9 vote.

10 DR. TULMAN: Yes.

11 MS. WATKINS: Yes.

12 DR. DAVIDSON: Okay. And Shanklin-Selby is no,
13 and Westney is no, and Carson is yes. Okay.

14 DR. HENDERSON: I voted yes for 37 weeks,
15 and I think either -- I think 37, 35, 32 --

16 DR. DAVIDSON: You can't change the question
17 now.

18 DR. HENDERSON: Well, but I'm -- yes, but --
19 okay. Yes for both.

20 DR. SCOTT: What do we do if we voted yes the
21 first time?

22 DR. HENDERSON: Then say yes the second time

1 too.

2 DR. DAVIDSON: You can say yes both times.

3 DR. SCOTT: 35 weeks better, yes.

4 DR. DAVIDSON: Dr. Henderson, would you restate
5 your vote?

6 DR. HENDERSON: Yes.

7 DR. VISCARDI: No.

8 DR. GILLEN: Daniel Gillen. No.

9 DR. HARRIS: Joseph Harris. No.

10 DR. WENSTROM: Kathy Wenstrom. Yes.

11 DR. LEWIS: Vivian Lewis. Yes.

12 DR. SIMHAN: Hy Simhan. Yes.

13 DR. LIU: James Liu. Yes.

14 DR. STEERS: William Steers. No.

15 DR. JOHNSON: Julia Johnson. Yes.

16 DR. MERRITT: Diane Merritt. No.

17 DR. BUSTILLO: Maria Bustillo. Yes.

18 DR. BURNETT: Arthur Burnett. No.

19 DR. NELSON: Karin Nelson. Yes.

20 DR. HANKINS: Gary Hankins. Yes.

21 DR. DAVIDSON: What are the totals? Oh, 21?

22 Well, we didn't -- maybe we should read the totals

1 for the first one. For the record, we are reading
2 the totals -- I'm going -- you've already done this.
3 This is 1-A. The yes votes are -- I'm doing the
4 first one now. The yes voted are five and the no
5 votes -- it couldn't be. Have to be 16. The no
6 votes are 16.

7 DR. DAVIDSON: On Question 1-B, Ezra Davidson
8 votes yes. So 1-B, the yes votes are 13, the no
9 votes, eight. Question 1-C, if not, would
10 prevention of preterm births prior to 32 weeks
11 gestation be an adequate surrogate? Let's start
12 with Dr. Harris and go back around. Oh, I'm sorry.
13 I intended to do the first one here, Dr. Wenstrom.

14 DR. WENSTROM: Kathy Wenstrom. Yes.

15 DR. LEWIS: Vivian Lewis. Yes.

16 DR. Simhan: Hy Simhan. Yes.

17 DR. LIU: Jim Liu. Yes.

18 DR. STEERS: William Steers --

19 DR. DAVIDSON: Wait, hold, hold -- hold just a
20 minute. Hold just a minute. My multi-tasking here
21 isn't -- what do you have for Harris? I mean, so
22 far, all yeses. Okay. Dr. Liu? Yes?

1 DR. LIU: Yes.

2 DR. DAVIDSON: Okay.

3 DR. STEERS: William Steers. Yes.

4 DR. JOHNSON: Julia Johnson. Yes.

5 DR. MERRITT: Diane Merritt. Yes.

6 DR. BUSTILLO: Maria Bustillo. Yes.

7 DR. BURNETT: Arthur Burnett. No.

8 DR. DAVIDSON: No?

9 DR. BURNETT: No. No.

10 DR. DAVIDSON: Okay.

11 DR. NELSON: Karin Nelson. Yes.

12 DR. HANKINS: Gary Hankins. Yes.

13 DR. DAVIDSON: Tulman?

14 DR. TULMAN: Lorraine Tulman. Yes.

15 DR. SHANKLIN-SELBY: Elizabeth SHANKLIN-SELBY.

16 Yes.

17 DR. WESTNEY: Lenaine Westney. Yes.

18 DR. CARSON: Sandra Carson. Yes.

19 DR. HENDERSON: Sandra Henderson. Yes.

20 DR. SCOTT: Jim Scott. Yes.

21 DR. VISCARDI: Rose Viscardi. Yes.

22 DR. GILLEN: Daniel Gillen. Yes.

1 DR. HARRIS: Joseph Harris. Yes.

2 DR. DAVIDSON: Ezra Davidson. Yes. So there is
3 20 yes and one no. Question 2. Do the differences
4 in the incidence of preterm birth in Study 02 prior
5 to 37 weeks in the vehicle control group, 55%,
6 compared to those in the control arms of another
7 maternal-fetal medicine unit network trial,
8 approximately 37%, in Study 17IF01, 36%, evaluating
9 similar high-risk populations, indicate the need to
10 replicate the findings of Study 17B02 in a
11 confirmatory trial? Dr. Lewis, why don't we start
12 with you and go around the table?

13 DR. LEWIS: No. Vivian Lewis.

14 DR. Simhan: Dr. Davidson, can I append my
15 vote with a little comment? Was I allowed to do
16 that?

17 DR. DAVIDSON: Sure.

18 DR. Simhan: Okay. Hy Simhan, no. I'm
19 reassured that the frequency of preterm birth in
20 the control arm, in fact, represents an expected
21 frequency of preterm birth in a population with a
22 risk profile that was actually enrolled in the

1 study.

2 DR. LIU: I also vote no. Jim Liu.

3 DR. STEERS: William Steers. No.

4 DR. JOHNSON: Julia Johnson. No.

5 DR. MERRITT: Diane Merritt. Yes.

6 DR. DAVIDSON: Yes?

7 DR. BUSTILLO: Maria Bustillo. Yes.

8 DR. BURNETT: Arthur Burnett. Yes.

9 DR. NELSON: Karin Nelson. No.

10 DR. HANKINS: Gary Hankins. No. And I would
11 like to also note that if you drop down to the
12 35-week and lower categories, those huge changes
13 disappear and look much more close to the other
14 trial data that exists.

15 DR. DAVIDSON: Tulman?

16 DR. TULMAN: Lorraine Tulman. No.

17 DR. SHANKLIN-SELBY: Elizabeth SHANKLIN-SELBY.

18 Yes.

19 DR. WESTNEY: Lenaine Westney. No.

20 DR. CARSON: Sandra Carson. Yes.

21 DR. HENDERSON: Cassandra Henderson. No.

22 DR. SCOTT: Jim Scott. No.

1 DR. VISCARDI: Rose Viscardi. Yes.

2 DR. GILLEN: Daniel Gillen. Yes.

3 DR. HARRIS: Joseph Harris. Yes.

4 DR. WENSTROM: Kathy Wenstrom. No.

5 DR. DAVIDSON: Ezra Davidson. Yes. I have nine

6 yes and 12 no. Question 3-A. Now remember, again,

7 we have a 3-B and C, so A and B are separated, A

8 being 35 weeks and B being 32 weeks.

9 Okay. Why don't we start with you again, Dr.

10 Hankins? And the question is, do the data

11 reviewed by the committee provide substantial

12 evidence that 17HPC prevents preterm birth prior to

13 35 weeks gestation age?

14 DR. HANKINS: Yes.

15 DR. DAVIDSON: Yes, this way.

16 DR. NELSON: Karin Nelson. Yes.

17 DR. BURNETT: Arthur Burnett. No.

18 DR. BUSTILLO: Maria Bustillo. Yes.

19 DR. MERRITT: Diane Merritt. No.

20 DR. DAVIDSON: No?

21 DR. JOHNSON: Julia Johnson. Yes.

22 DR. STEERS: William Steers. No.

1 DR. LIU: James Liu. Yes.

2 DR. Simhan: Hy Simhan. Yes.

3 DR. LEWIS: Vivian Lewis. Yes.

4 DR. WENSTROM: Kathy Wenstrom. Yes.

5 DR. HARRIS: Joseph Harris. No.

6 DR. GILLEN: Daniel Gillen. No.

7 DR. VISCARDI: Rose Viscardi. No.

8 DR. SCOTT: Jim Scott. Yes.

9 DR. HENDERSON: Cassandra Henderson. Yes.

10 DR. CARSON: Sandy Carson. No.

11 DR. WESTNEY: Lenaine Westney. No.

12 DR. SHANKLIN-SELBY: Elizabeth Shanklin-Selby.

13 Yes.

14 DR. TULMAN: Lorraine Tulman. No.

15 DR. DAVIDSON: Ezra Davidson. Yes. And the
16 tally: yes, 12; no, nine. Question 3-B. Do the
17 data reviewed by the committee provide substantial
18 evidence that 17HPC prevents preterm birth prior to
19 32 weeks gestation? Let's start with Dr. Tulman.

20 DR. TULMAN: No.

21 DR. SELBY: Yes.

22 DR. WESTNEY: Lenaine Westney. No.

1 DR. CARSON: Sandy Carson. No.

2 DR. HENDERSON: Cassandra Henderson. Yes.

3 DR. SCOTT: Jim Scott. Yes.

4 DR. VISCARDI: Rose Viscardi. No.

5 DR. GILLEN: Daniel Gillen. No.

6 DR. HARRIS: Joseph Harris. No.

7 DR. WENSTROM: Kathy Wenstrom. Yes.

8 DR. LEWIS: Vivian Lewis. No.

9 DR. Simhan: Hy Simhan. Yes.

10 DR. LIU: Yes.

11 DR. DAVIDSON: Wait a minute, I think I have --

12 let me just confirm. Okay.

13 DR. LIU: Jim Liu. Yes.

14 DR. STEERS: William Steers. No.

15 DR. JOHNSON: Julia Johnson. No.

16 DR. MERRITT: Diane Merritt. No.

17 DR. BUSTILLO: Maria Bustillo. No.

18 DR. BURNETT: Arthur Burnett. No.

19 DR. NELSON: Karin Nelson. No.

20 DR. HANKINS: Gary Hankins. Yes.

21 DR. DAVIDSON: That it? Ezra Davidson. No.

22 Okay, what's your tally? Yes, six; 15 no. Question

1 -- which is now 3-C. Do the data reviewed by
2 the committee provide substantial evidence that
3 17HPC reduces fetal and neonatal mortality or
4 morbidity? Start with Dr. Wenstrom.

5 DR. WENSTROM: Kathy Wenstrom. Yes.

6 DR. DAVIDSON: And let's go around.

7 DR. HARRIS: Joseph Harris. No.

8 DR. GILLEN: Daniel Gillen. No.

9 DR. VISCARDI: Rose Viscardi. No.

10 DR. SCOTT: Jim Scott. No.

11 DR. HENDERSON: Cassandra Henderson. No.

12 DR. CARSON: Sandy Carson. No.

13 DR. WESTNEY: Lenaine Westney. Yes, but an
14 addendum; only in relation to morbidity, not
15 mortality.

16 DR. SHANKLIN-SELBY: Liz Selby. No.

17 DR. TULMAN: Lorraine Tulman. No.

18 DR. HANKINS: Gary Hankins. No. And I would
19 again like to state that's why I asked for if it's
20 either/or versus both, and it was clarified, so the
21 answer is no.

22 DR. NELSON: Karin Nelson. No.

1 DR. DAVIDSON: That was no, Dr. Nelson?

2 DR. NELSON: Correct.

3 DR. BURNETT: Arthur Burnett. No.

4 DR. BUSTILLO: Maria Bustillo. No.

5 DR. MERRITT: Diane Merritt. No.

6 DR. JOHNSON: Julia Johnson. No.

7 DR. STEERS: William Steers. No.

8 DR. LIU: Jim Liu. No.

9 DR. Simhan: Hy Simhan. No.

10 DR. LEWIS: Vivian Lewis. No.

11 DR. DAVIDSON: Ezra Davidson. No. I have two

12 yes, 19 no. Question 4. Well, let me read the

13 preface. There was a numeric increase in the

14 percentage of second trimester miscarriages,

15 pregnancy loss prior to week 20 of gestation, and

16 stillbirths in the 17HPC group. Overall, 11 of 306

17 subjects, 3.6 in 17HPC group, and two of 153

18 subjects, 1.3 in the vehicle group, had a second

19 trimester miscarriage or stillbirth.

20 Question 4-A. Is further study needed to

21 evaluate the potential association of 17HPC with

22 increased risks of second trimester miscarriage and

1 stillbirth? Dr. Lewis, why don't we start with you
2 and go around?

3 DR. LEWIS: Vivian Lewis. Yes.

4 DR. SIMHAN: Hy Simhan. Yes.

5 DR. LIU: James Liu. Yes.

6 DR. STEERS: William Steers. Yes.

7 DR. JOHNSON: Julia Johnson. Yes.

8 DR. MERRITT: Diane Merritt. Yes.

9 DR. BUSTILLO: Maria Bustillo. Yes.

10 DR. BURNETT: Arthur Burnett. Yes.

11 DR. NELSON: Karin Nelson. Yes.

12 DR. HANKINS: Gary Hankins. Yes.

13 DR. TULMAN: Lorraine Tulman. Yes.

14 DR. SHANKLIN-SELBY: Liz Selby. Yes.

15 DR. WESTNEY: Lenaine Westney. Yes.

16 DR. CARSON: Sandy Carson. Yes.

17 DR. HENDERSON: Cassandra Henderson. Yes.

18 DR. SCOTT: Jim Scott. Yes.

19 DR. VISCARDI: Rose Viscardi. Yes.

20 DR. GILLEN: Daniel Gillen. Yes.

21 DR. HARRIS: Joseph Harris. Yes.

22 DR. WENSTROM: Kathy Wenstrom. Yes

1 DR. DAVIDSON: Ezra Davidson. Yes. Twenty-one
2 yes, zero no. Question 4-B. If so, should this
3 information be obtained prior to approval for
4 marketing or post-approval? Dr. Tulman, let's start
5 with you.

6 DR. TULMAN: Clarification, so the vote is
7 either pre or post; is that the two choices?

8 DR. DAVIDSON: Your vote is to be pre or post.

9 DR. TULMAN: Okay. Pre.

10 DR. SHANKLIN-SELBY: Liz Selby. Pre.

11 DR. WESTNEY: Lenaine Westney. Post.

12 DR. CARSON: Sandy Carson. Post.

13 DR. HENDERSON: Cassandra Henderson. Post.

14 DR. SCOTT: Jim Scott. Post.

15 DR. VISCARDI: Rose Viscardi. Pre.

16 DR. GILLEN: Daniel Gillen. Post.

17 DR. HARRIS: Joseph Harris. Pre.

18 DR. WENSTROM: Kathy Wenstrom. Post.

19 DR. LEWIS: Vivian Lewis. Pre.

20 DR. Simhan: Hy Simhan. Post.

21 DR. LIU: Jim Liu. Post.

22 DR. STEERS: William Steers. Post.

1 DR. DAVIDSON: Post?

2 DR. STEERS: William is post.

3 DR. DAVIDSON: I'm sorry?

4 DR. STEERS: Post.

5 DR. DAVIDSON: Post? Okay.

6 DR. JOHNSON: Julia Johnson. Pre.

7 DR. MERRITT: Diane Merritt. Post-approval.

8 DR. BUSTILLO: Maria Bustillo. Pre.

9 DR. BURNETT: Arthur Burnett. Pre.

10 DR. NELSON: Karin Nelson. Post.

11 DR. HANKINS: Gary Hankins. Post.

12 DR. DAVIDSON: Ezra Davidson. Post. Eight yes

13 -- I mean, eight pre-approval, 13 post-approval.

14 Question 5, yes or no. Are the overall safety

15 data obtained in Study 1701, 02, and long-term

16 follow-up adequate and sufficiently reassuring to

17 support marketing approval of 17HPC without need

18 for additional pre-approval safety data? Dr.

19 Hankins, why don't we start with you?

20 DR. HANKINS: Yes. Gary Hankins. Yes.

21 DR. DAVIDSON: Let's go this way.

22 DR. NELSON: Karin Nelson. Yes.

1 DR. BURNETT: Arthur Burnett. No.

2 DR. BUSTILLO: Maria Bustillo. Yes.

3 DR. MERRITT: Diane Merritt. No.

4 DR. JOHNSON: Julia Johnson. No.

5 DR. STEERS: William Steers. Yes.

6 DR. LIU: Jim Liu. Yes.

7 DR. Simhan: Hy Simhan. Yes.

8 DR. LEWIS: Vivian Lewis. No.

9 DR. WENSTROM: Kathy Wenstrom. Yes.

10 DR. HARRIS: Joseph Harris. Yes.

11 DR. GILLEN: Daniel Gillen. No.

12 DR. VISCARDI: Rose Viscardi. No. And I would

13 just comment that the follow-up study was inadequate

14 because of the methods used to identify all children

15 with disabilities.

16 DR. SCOTT: Jim Scott. Yes.

17 DR. HENDERSON: Cassandra Henderson. Yes.

18 DR. CARSON: Sandy Carson. Yes.

19 DR. WESTNEY: Lenaine Westney. Yes.

20 DR. SHANKLIN-SELBY: Liz Selby. No.

21 DR. TULMAN: Lorraine Tulman. No.

22 DR. DAVIDSON: Ezra Davidson. Yes. Thirteen

1 yes, eight no. Post-approval clinical studies.
2 Question 6-A. If 17HPC were to be approved for
3 marketing without additional pre-approval clinical
4 studies, would you recommend that the applicant
5 conduct a post-approval clinical trial to
6 investigate further safety or effectiveness? Dr.
7 Lewis, why don't we start with you?

8 DR. LEWIS: Yes.

9 DR. Simhan: Hy Simhan. Yes.

10 DR. STEERS: William Steers. Yes.

11 DR. JOHNSON: Julia Johnson. Yes.

12 DR. MERRITT: Diane Merritt. Yes.

13 DR. BUSTILLO: Maria Bustillo. Yes.

14 DR. BURNETT: Arthur Burnett. Yes.

15 DR. NELSON: Karin Nelson. Yes.

16 DR. HANKINS: Gary Hankins. Yes.

17 DR. TULMAN: Lorraine Tulman. Yes.

18 DR. SHANKLIN-SELBY: Liz Selby. Yes.

19 DR. WESTNEY: Lenaine Westney. Yes.

20 DR. CARSON: Sandy Carson. Yes.

21 DR. HENDERSON: Cassandra Henderson. Yes.

22 DR. SCOTT: Jim Scott. Yes.

1 DR. VISCARDI: Rose Viscardi. Yes.

2 DR. GILLEN: Daniel Gillen. Yes.

3 DR. HARRIS: Joseph Harris. Yes.

4 DR. WENSTROM: Kathy Wenstrom. Yes

5 DR. DAVIDSON: Ezra Davidson. Yes. Twenty-one

6 yes, zero -- oh, I'm sorry.

7 DR. LIU: Jim Liu. Yes.

8 DR. DAVIDSON: Oh. Twenty-one yes, zero no.

9 Okay. I hear you have a chance at a narrative.

10 Should we put a time limit on these? If so, what

11 would be the primary objective of the trials? What

12 unanswered questions would the study investigate?

13 Since we started with you, Gary, let's end with you.

14 DR. HANKINS: Since I think every one of us

15 voted that the issue of stillbirth and early loss

16 needs to be looked at, I think that's certainly a

17 part of the surveillance that we would hope, even

18 post-marketing of the drug. That's one issue.

19 The second issue is I would like to see more

20 long-term follow-up of the children in a more

21 formalized testing fashion. I understand how this

22 study was conducted, that was never the goal of it,

1 etc., but post-marketing, I think there should be a
2 leveled requirement to follow at least a cohort of
3 these children in a prospective fashion for neural
4 development.

5 DR. NELSON: Karin Nelson. Maternal gestational
6 diabetes, fetal death, neonatal death, days in
7 hospital, days on ventilator, abnormal neonatal
8 neuron-imaging, I'd love to see a lengthy late
9 testing, but I think the numbers -- unless you get
10 really -- it just doesn't seem clearly realistic.

11 DR. BURNETT: This is going to sound a little
12 bit like a broken record, but I echo their
13 comments. I think we need long-term follow-up on
14 the children, and I do think that there are some
15 concerns raised in the mother with regard to
16 gestational diabetes and some of the other
17 co-morbidities, and I think follow-up on that side
18 is required, as well.

19 DR. BUSTILLO: Being an endocrinologist, I'm
20 very interested in pubertal development, so I
21 certainly would like long-term studies looking at
22 the children in terms of their genital development

1 and their internal general structures, etc.

2 DR. MERRITT: Being a pediatric gynecologist,
3 internal structures on children are very difficult
4 to assess, short ultrasound. So I would vote for
5 more immediate neonatal data that is already being
6 -- started to be looked at, as well as maternal
7 data, and post-marketing stillbirth and first
8 trimester data, second trimester -- the
9 post-marketing is second trimester pregnancy loss
10 data, sorry.

11 DR. JOHNSON: Yes, Julia Johnson. I hear Dr.
12 Nelson's argument about not following patients
13 long-term, but I would like to see the effect
14 on reproductive health, fertility, because of the
15 issue about sperm production, on reproductive health
16 for both men and women who were exposed to this
17 in utero.

18 DR. STEERS: William Steers. Based on the
19 spermatogenesis, or sperm count data, and the lack
20 of long-term data, I'd like to recommend a more
21 practical approach, and not necessarily a study, but
22 a registry of all children exposed to this with

1 fertility, rather than a strict study, per say, but
2 at least they're registered and they can be tracked.

3 DR. LIU: I haven't expressed my views on this,
4 but judging from the way that this compound is
5 handled in the body, I think we should consider this
6 a new type of progestogen as opposed to thinking
7 that this is progesterone or 17-hydroxyprogesterone,
8 because the caproate moiety is not broken down.

9 I am concerned that we may be dealing with a
10 different steroidal exposure, even though it does
11 bind to progesterone receptors, and I think a
12 registry is the minimum I would recommend, if
13 nothing else, as well as long-term pubertal
14 development follow-up. Because I'm afraid that we
15 may be forced to use this compound for preterm labor
16 prevention, but yet, we don't know what the
17 downstream side effects are.

18 DR. Simhan: I echo the support for surveillance
19 for mid trimester loss, whether that be stillbirth
20 or birth prior to 24 weeks. I think a practical
21 methodology for surveying some of these other issues
22 is a registry, so I echo support for that, as well.

1 DR. LEWIS: I concur with a registry. That's
2 certainly a good idea. It's true that there are --
3 I think valid concerns have been raised about
4 potential pubertal or reproductive effects
5 downstream in both sexes. As well, of course, I'm
6 concerned about the incidence of very early
7 stillbirth and/or second trimester loss.

8 Some of these questions can be answered through
9 a registry. Also, I would wonder whether there
10 aren't data available by studying European
11 populations which are easier to track the -- after
12 all, this compound has been available for many, many
13 years and in wide use, and perhaps a study, even a
14 case control study, could be designed on populations
15 who are already out there, rather than thinking that
16 we have to wait another 20 years to get some of this
17 information.

18 DR. WENSTROM: I would like to see all
19 future losses evaluated by a fetal pathologist with
20 a complete protocol. Several studies have shown
21 that with a complete evaluation, you can determine
22 the cause of a loss in over 90% of cases. And then,

1 because this drug is already being used for all
2 sorts of perceived or imagined risk factors, I think
3 we should start looking at it in other kinds of
4 high-risk women.

5 DR. HARRIS: Yes, I'd like to agree with the
6 increased examination of second trimester
7 miscarriages and stillbirths that's already been
8 mentioned on the safety side, and on the efficacy
9 and effective side, better data on neonatal outcome.

10 And under maternal complications, perhaps at
11 least screening women for depression to make sure
12 that this drug is not increasing their risk of
13 depression in the postpartum period for this
14 population. And maybe be more user-specific, since
15 we now have described at least four etiologies or
16 four pathways for preterm labor, some which are
17 contraindications to even preventative therapy, to
18 look at that to see how that holds up in a
19 post-marketing evaluation.

20 DR. GILLEN: I think it's pretty hard to argue
21 with days in the hospital following birth and
22 long-term follow-up being clinically relevant, and

1 so I would like to see both of those evaluated, with
2 penalties taken for some sort of penalization for
3 miscarriages and stillbirths in that way.

4 DR. VISCARDI: I second that about hospital days
5 as being probably an appropriate thing to track,
6 as well as, for long-term follow-up, probably an
7 appropriate comparison would be comparing these
8 children that were exposed to the progesterone to
9 their siblings who were born preterm, since the
10 indication is going to be a prior preterm birth, to
11 see whether, in fact, there is any difference as a
12 result of being exposed to that drug.

13 DR. SCOTT: Even though I voted for it, I'm
14 still skeptical. I think that premature labor and
15 preterm birth is such a huge and devastating
16 problem that the potential benefits way outweigh
17 the risks of non-approval, but I still think that
18 there are potential problems with the control group
19 that was presented.

20 And so I'd like to see longer and additional
21 studies that really do prove the efficacy. I think
22 that that's necessary. I think that it should be

1 possible to get much better data on the exact risk
2 of premature labor in the next pregnancy by week of
3 gestation, and I think that's a crucial thing.

4 I'd like to see more biologic data to prove
5 that it really works. In other words, why not just
6 even do simple 17-hydroxyprogesterone levels in
7 mothers in which it worked -- in other words,
8 premature labor was prevented -- versus those that
9 were a failure? In other words, I think that those
10 things are important.

11 I pretty much second the March of Dimes'
12 recommendations, in which they outlined how this
13 ought to be done and followed up.

14 DR. HENDERSON: I'd like to see
15 investigation for the losses, the stillbirths and
16 the spontaneous abortions, looking for infectious
17 etiologies that could potentially be treated. And
18 I'd also like to -- well, I think a clinical trial
19 to really prove that this works would be useful.

20 I think it would also be helpful to just even
21 go back and survey all the networks to see what
22 their rate of preterm delivery has been,

1 understanding that this drug is so widespread now
2 -- urologists are using it if they have a
3 complicated pregnancy; they don't tell the GYN to
4 give it.

5 So, I mean, understanding that it's out there
6 and people are using it, that it would be nice to
7 know what the networks preterm delivery rates
8 were now. Because if they were approaching 50%,
9 then it would make sense that the control group had
10 a 50% incidence of preterm delivery.

11 DR. CARSON: Well, I'm very concerned about how
12 much we don't know about just regular
13 pharmacokinetics and dynamics of this drug. The
14 studies that we've read in preparation to this gave
15 25 milligrams to a rodent model. That's about -- it
16 seems to me, doing the math, that that's
17 probably, on a per-kilogram basis, about 25 times
18 larger than the dose that was administered.

19 The axle (phonetic) study gave 1000 milligrams
20 to squirrel monkeys. I don't know how big they are,
21 but I guess they're about like this. And so I would
22 think you're getting four times the dose in that

1 model at -- which is maybe about a fifth the size of
2 an adult non-pregnant woman. And we don't have --
3 and in those studies, it's very variable about
4 efficacy and drug levels are not that high.

5 We don't have any idea about what kind of drug
6 levels we have in women who have BMIs all over the
7 board who have at least a 30% increase in their
8 blood volume. I'm very concerned about exactly
9 whether any of these women really did have an
10 effective drug in their circulation. And when one
11 -- so I think that we need to ask for, (1) some dose
12 ranging studies and (2) some concentrations of
13 drugs.

14 I did ask for a repeat study because I think
15 when you look at the data, again, not -- at least
16 as presented, not controlled to BMI -- you see that
17 one site had a huge efficacy, but every other site
18 had maybe five patients. I'm not at all sure that
19 this is -- that we can really say it's efficacious.

20 And along those lines, the -- when -- it would
21 be nice to have larger numbers at what sites. If
22 you really look at the data and rather than call it

1 drug and placebo, call it Drug A and Drug B, you can
2 actually say B was a very potent stimulator of
3 labor, because the Drug A, which was the 17-hydroxy
4 B, has the same background risk of preterm delivery
5 as the population studies presented by Dr. Romero,
6 and Drug B, which we call placebo, has a higher
7 than background risk. So I'm quite concerned about
8 efficacy and I think we need to have at least those
9 parameters.

10 DR. WESTNEY: I would agree in whole with what
11 Dr. Carson said. I think we really should have
12 some rigorous pharmacokinetic studies to allow for
13 dose adjustment and in addition to that, I would
14 advocate also an extension of the current follow-up,
15 and that would decrease the -- that would give us a
16 lead time in those children to really evaluate them
17 in the late teen and early adult years.

18 DR. SELBY: Yes. I'm a preemie mom and I had
19 delivered my son at 30 weeks, and he died five
20 months later due to complications of sepsis. That
21 said, I still don't feel that the efficacy data is
22 strong enough to me. I would not want to be -- I

1 would not want to trade -- I would not be ready,
2 based on this data, to trade one set of problems for
3 another. I don't feel comfortable enough with the
4 efficacy data.

5 Because I would be afraid, looking down the
6 road -- some of the -- I would be concerned about
7 long-term, about a possible -- that 17P might
8 have a potential carcinogenic potential in the adult
9 children of these moms who have been treated with
10 Delalutin, and I was -- I didn't hear anything about
11 whether they had looked at that or there was any
12 increased incidence of reproductive cancers. So I
13 would be concerned about that.

14 I didn't see enough to convince me that -- I
15 mean, gaining a week didn't seem to make any
16 difference, as far as the long-term neuro-
17 developmental outcomes, and that would be something
18 that would be very important to me, but I didn't see
19 enough proof with that to take the risk with 17P.

20 I would also want them to evaluate more
21 studies on mortality and morbidity and repeat
22 studies on stillbirth and miscarriage. And I was

1 also wondering if they've been looking at these
2 patients who are being treated currently, if there's
3 any data coming in from those patients, as far as
4 efficacy and safety. They said that, what, 67% of
5 maternal-fetal specialists were using this -- using
6 the compound, and I was wondering if any data had
7 come in from them. So I would want that looked at,
8 too.

9 DR. TULMAN: Lorraine Tulman. I agree, it
10 seems like there's two types of things that have
11 been proposed. One is a registry for follow-up on
12 mothers and infants who would be getting the
13 medication in terms of stillbirth, miscarriages,
14 gestational diabetes for the mother, neonatal
15 morbidity, pubertal development, reproductive health
16 problems in the generation of children born. And I
17 agree with the notion of a registry.

18 No one has addressed -- and I don't know if
19 this is a procedural matter that should be
20 addressed or not -- but exactly who is going to keep
21 that registry. If it is the pharmaceutical company,
22 they have a -- if the drug is approved, they have a

1 patent on the drug, the patent runs out, what is
2 their responsibility after that? Does that revert
3 to the FDA or some other government agency? How
4 does that work exactly?

5 And I think we need the mechanisms for that
6 registry spelled out very clearly. And I think
7 we need the notion of -- rather than just saying we
8 need a registry, but I think we need the mechanisms
9 put in place; otherwise, it won't get done.

10 The other things that have been proposed
11 that I'm in agreement with is we know very little
12 about how this thing actually works, in terms of the
13 basic biology, and some of the pharmacokinetics, and
14 what does it mean in women of different weights and
15 how exactly is it working?

16 And again, I'm concerned about the mechanism
17 for getting that done. Are we saying this is what
18 the sponsor should be doing? Is that what other
19 drug companies should be doing? But if so, they
20 don't have the incentive if we have a patent -- they
21 have a patent on it.

22 Is it something that the NIH would pose as an

1 RFA request for applications and proposals? Would
2 it be contract work? My concern is that's not the
3 FDA's purview, but it becomes an NIH, perhaps,
4 purview.

5 So I'm very concerned that we can voice all
6 these concerns, but it won't happen. So I'd like
7 that sort of for the record, that -- I'd like to
8 hear more from, I guess, the FDA on how this works.

9 DR. SHAMES: Well, we can facilitate these
10 issues. I mean, we can't -- we don't have the
11 appropriate funds or -- to address the monetary
12 issues, but we can facilitate and bring together
13 partners to come up with a group of ideas or
14 partners that will allow us to do some of these
15 things, once we go back and decide exactly what we
16 want to do.

17 So we can sort of leverage and facilitate
18 with the company, with NIH, with we talked
19 about epi studies, things like that, so -- we see
20 ourselves as having a more facilitative role more
21 than just a regulatory body. So we can -- we do try
22 to be more aggressive in this area in more recent

1 years, I would say.

2 DR. SHAMES: We would try to stimulate the
3 appropriate studies, if that's what we decide, what
4 we decide to do. Okay?

5 DR. DAVIDSON: I tend to agree with the
6 recommendations about post-marketing studies
7 that's in the March of Dimes testimony. I think it
8 is very important in the short term to answer this
9 miscarriage/stillbirth question, because that has --
10 and it probably could be answered in the shorter
11 term.

12 I don't have very much faith, I think, in the
13 long-term follow-up being done by a pharmaceutical
14 company, but I hope that NICHD understands that all
15 of the definitive work around this has not been
16 completed, and they probably would be in the best
17 position to either do or fund long-term studies into
18 the reproductive lives of these kids.

19 Because if there are some adverse effects, it
20 ought to be found as soon as possible. And I think
21 those are two of the really large things that ought
22 to be done and encouraged, one on the shorter term

1 and one on the longer term.

2 Well, did anybody miss saying or proposing
3 something?

4 DR. LEWIS: Adjournment.

5 DR. DAVIDSON: There is a motion and a second to
6 adjourn. All in favor, say I. Oppose? Well,
7 you've done a lot of work. Thank you for everybody.

8 (Off the record and adjourned at 4:40 p.m.)