

0100

1 And you can see that in the multivariate analysis that
2 -- if we look at time to breast recurrence and relapse-free
3 survival that they tended to still be positive, but it was
4 not statistically significant in this particular analysis.

5 So that's kind of interesting, but you stop there.
6 One of the things that you'll notice is that we did not
7 account for inhibitors. So as has already been alluded to,
8 patients who have normal CYP2D6 metabolism who are taking
9 Peroxitene have significantly lower levels of Endoxifen.
10 And this is represented here in this chromatogram, which has
11 already been referred to by Dr. Yasuda.

12 And here you see that -- from David Flockhart's group
13 that plasma Endoxifen concentrations are significantly
14 reduced in patients that are on weak or moderate inhibitors
15 as well as potent inhibitors, such that you need to look at
16 the patients that received -- who are wild-type that
17 received Peroxiten, their Endoxifen concentrations really
18 are about the same as patients who are a genotype poor
19 metabolizer.

20 So when you think about that, you know it is actually
21 wrong to try to look at the effect of genotype without
22 accounting for inhibitors.

0101

1 Now, why is this important? Well, Peroxitene,
2 Fluoxiten, Benlothoxin have been studied extensively to
3 treat hot flashes in women who take Tamoxifen. And these
4 drugs are very effective. They reduce the number and
5 severity of hot flashes in Tamoxifen treating women.

6 Now, how often does this occur? Well, if you look at
7 the current practice surveys, anywhere from 30 to 40 percent
8 of Tamoxifen-treated patients are prescribed
9 anti-depressants for either depression or hot flashes.

10 We also know that there are other commonly
11 administered medications that also inhibit CYP2D6, such as
12 Amioterone, Doxted, and Subenedine; such that when you look
13 at this, an analysis of CYP2D6 metabolism in
14 Tamoxifen-treated patients is really incomplete without
15 accounting for inhibitors.

16 So when we first analyzed -- when we first looked at
17 the 893252 trial, we did not have the concomitant medication
18 history. But we did obtain IRB approval. We went back.
19 And we went back and obtained information at each
20 randomizing site. We were able to get information on 225
21 charts, and what we did was to ask the question, did
22 patients receive potent CYP2D6 inhibitors, such as Fluoxetine

0102

1 and Peroxitene or weak or moderate inhibitors such as
2 Certralene, Cimetidine, Amioterone and Endoxifen,
3 Tyclopanine or Haloperidol.

4 We looked at the duration of co-administration, we
5 realized sometimes this is very difficult to look at because
6 patients are seen once, twice a year. So we're asking
7 simply did they receive the drug as best as we can say for
8 less than a year, one to two years, two to three, three to
9 four, or four to five years.

10 We then did an analysis where we defined CYP2D6

11 metabolism this way: we said a woman was an extensive
12 metabolizer only if she did not carry a star four variant
13 allele and she was not taking an inhibitor. Whereas, a
14 patient with decreased metabolism was any woman who had
15 either -- was either carried one or two variant alleles or
16 could have any genotype and she was co-administered the
17 moderate or potent inhibitor, and we simply said yes or no.
18

19 And in this analysis, we were able to get this
20 information on 180 patients. And you can see that the
21 median age of patients was 68; again, these are all ER
22 positive tumors.

0103

1 Most of these are small tumors -- less than three
2 centimeters. Most are lymph node negative and most are
3 either grade one or two, which is the usual sort of patient
4 population that we see.

5 Now, we note that if patient characteristics were
6 similar, exactly the same as with those where we did not
7 have this information.

8 So we were able to determine this in 180 patients. We
9 found that only three patients were taking potent
10 inhibitors, realizing the difficulties with this analysis.
11 We found that 10 patients were taking moderate inhibitors,
12 and that the median duration of use was two to three years.

13 And so here's time to breast recurrence, where we
14 simply ask the question versus extensive metabolizers; that
15 is, normal or absence of a star four and not an inhibitor
16 versus those who either were genotypically poor or decreased
17 or those who were taking inhibitor. And this was
18 statistically significant.

19 Here's relapse-free survival; also statistically
20 significant. And here's overall survival, where you can see
21 that there's a definite trend towards worse outcome with a P
22 value of .08.

0104

1 So then we did a multivariate analysis and now is the
2 time to do a multivariate analysis where you've accounted
3 for both the metabolism of -- excuse me -- you've accounted
4 for both genetics as well as inhibitors.

5 And you can see that relative to extensive
6 metabolizers, poor metabolizers had a 1.9 fold higher risk
7 or shorter time to breast recurrence, worst relapse-free
8 survival, and again a trend towards worse overall survival.

9 And this was statistically significant in the
10 multivariate analysis after adjusting for tumor size, tumor
11 grade, nodal status, ERPR and HER2.

12 So what I've showed you simply is an analysis between
13 simply normal metabolism and decrease, but, as you can see
14 here, the level of Endoxifen is really dependent upon
15 potentially patients who are on inhibitors the levels
16 depending on the potency of inhibitor.

17 So what we did was an analysis where we looked at what
18 we defined as intermediate metabolizers. Now, this is a
19 definition based on the information that we know.

20 So we called a patient an intermediate metabolizer if
21 they were -- carried one allele, and they were not on an

22 inhibitor, or they were wild-type, and they took a moderate
0105

1 inhibitor. So there was eight patients in that analysis.

2 We defined poor metabolizers if they were
3 genotypically poor or they could have any genotype and they
4 were taking a potent inhibitor. There were nine patients
5 that we didn't know simply because we didn't have both of
6 those pieces of information available. And this shows you
7 here time to breast recurrence as a function of the level of
8 CYP2D6 metabolism.

9 And as you can see that poor metabolizers really have
10 the greatest effect, and this is statistically significant
11 and the log rate P value of .019.

12 Here's relapse-free survival. And one of the things I
13 would point out to you here is that within the first two
14 years, the chances of -- or the risk of relapse for death in
15 patients who are extensive metabolizers is two percent
16 versus about 32 percent if you're a poor metabolizer. And
17 overall survival again was -- there was definitely a trend
18 towards statistical significance with a log rate P value of
19 .01.

20 We then did Cox modeling and what we're asking here
21 simply is for patients who are poor or intermediate, we
22 wanted to get a sense of what their risk was relative to

0106
1 patients who are extensive metabolizers. So this is Cox
2 modeling, and this is analysis of our endpoints -- time to
3 breast recurrence, relapse-free survival and overall
4 survival.

5 And you can see, as you would expect, poor
6 metabolizers have the greatest risk with a three -- over
7 three-fold higher risk of recurrence compared to
8 intermediate metabolizers using our definition and in
9 relapse-free survival the same thing. And notice that in
10 relapse-free survival, intermediate metabolizers definitely
11 do have a trend towards worse outcome, but again this was
12 not statistically significant. And in overall survival as
13 well, poor metabolizers tended to have a worse outcome with
14 a two-fold greater risk of relapse or death.

15 The last couple slides I want to show you are --
16 relate to studies that have actually already been done in
17 the past.

18 One of the things that we've known for many years is
19 that when women take the drug Tamoxifen, there is an
20 increased risk of recurrence within the first two to three
21 years. People have not been able to understand this. And
22 this is actually an analysis of the ATAC [ph.] trial, which

0107
1 looked at the annual hazard rates of recurrence within the
2 first -- well, within the six years of follow up.

3 And one of the things that was a very important
4 finding from this trial was that Anastrozole smoothed this
5 risk, so notice that within the first two years there you
6 see this peak in the risk of recurrence for patients that
7 are taking Tamoxifen, and for women who take the drug
8 Anastrozole, this peak is reduced. It's not smoothed out.
9 It's not eliminated. It's simply reduced.

10 So we did the same analysis. In our clinical trial,
11 we looked at the hazard rates for patients who had decreased
12 metabolism versus those that had extensive metabolism.

13 And what we found was that patients who had decreased
14 metabolism we saw this same peak, but we noticed that for
15 extensive metabolizer that that peak was reduced, and it was
16 also shifted. So it was actually shifted out to somewhere
17 near year four, and then it actually came down again --
18 versus for patients who had decreased metabolism that peak
19 actually really does not come down, and actually there's
20 been a second peak again at years six through eight.

21 So our conclusion is that in this trial CYP2D6
22 metabolism was an independent predictor of clinical outcome
0108

1 in post-menopausal women with ER positive early breast
2 cancer and that the effect of impaired metabolism was most
3 marked in poor metabolizer; and that we feel that these data
4 are consistent with the pharmacologic data that have already
5 presented that Tamoxifen activation to Endoxifen is
6 dependent upon CYP2D6.

7 We believe that these data also suggest that
8 determination of CYP2D6 genotype may be of value in
9 selecting adjuvant hormonal therapy and that moderate or
10 potent inhibitors of CYP2D6 perhaps should not be
11 co-administrated with Tamoxifen.

12 I'm going to move -- before I do the last slide and
13 describe an adjuvant clinical trial that has been proposed.
14 This has been approved by the Breast Cancer Inner Group of
15 North America and is actually right now at CTEP for
16 consideration.

17 This particular trial is asking the question do
18 patients who have normal or increased CYP2D6 metabolism, do
19 they do better with sequential hormonal therapy than with
20 patients who are treated with what many people believe is
21 the standard of care, which is Aromatase inhibitor for five
22 years.

0109

1 Notice that we are not randomizing poor metabolizers
2 to Tamoxifen. So whereas, the label change here, you're
3 asking whether or not women who are poor metabolizers should
4 or should not receive Tamoxifen, we're not asking that
5 question, and we believe that actually there might be
6 ethical issues with that.

7 What we're asking simply is: in patients with normal
8 metabolism, how do those patients do with receiving
9 Tamoxifen, followed by an Aromatase inhibitor versus an
10 Aromatase inhibitor at all. And this trial is powered to
11 detect an improvement in the risk of relapse for patients
12 who are extensive metabolizers.

13 In his trial, if patients are determined to be
14 intermediate or poor metabolizers, they would be treated
15 with what would be considered the standard of care, which is
16 an Aromatase inhibitor off study.

17 So I'd just like to acknowledge obviously this work is
18 not done in a vacuum, but it comes from a lot of people,
19 most at the Mayo Clinic and the North Central Cancer
20 Treatment Group, from investigators in the pharmacogenetics

21 research network and the COBRA [ph.] Group and also the
22 funding for the Mayo Clinic Breast Cancer score. Thank you.

0110

1

2 CHAIRMAN VENITZ: Well, thank you, Dr. Goetz. Any
3 questions?

4 DR. JUSKO: Yeah, a wonderful summary. Thank you. Is
5 there a correlation to ER and PR and CYP2D6, and the other
6 question is, is there a relationship to quantitative
7 estrogen receptor, which is and arguably may be more
8 important than qualitative.

9 Is there a relationship, is there a linear
10 relationship between 2D6 and quantitative estrogen
11 receptors?

12 DR. GOETZ: We looked first of all -- first of all,
13 when we did a multivariate analysis, we looked at the effect
14 of estrogen receptor in a multivariate analysis. And we did
15 not see an outcome.

16 In other words, we did not see, for example, when you
17 looked at the quartiles -- and this, by the way, we did
18 quantitative ER by chemistries. We looked at 0 to 25, or in
19 this case, one to 25; 25 to 50.

20 We did not see a cut point that defined outcome in
21 this particular trial. When we looked at PR, there was a --
22 there might be -- there might have been a slight trend, but

0111

1 it wasn't statistically significant.

2 We did not see -- and I don't believe that we have
3 done the analysis which you're asking is, is there a
4 correlation with outcome with CYP2D6 in each one of those
5 groups, and I think that would be a sort of analysis that
6 would require a lot of patients.

7 CHAIRMAN VENITZ: Okay.

8 DR. JUSKO: I'd also like to compliment you on some
9 very excellent studies.

10 A couple questions about exposure of patients to the
11 drug and various metabolites. Your chromatogram shows a
12 very large peak for Tamoxifen, and it apparently has one one
13 hundredth of the activity. The hydroxy metabolite has equal
14 potency, and the other metabolites the NDM metabolites and
15 such I didn't see data for its potency.

16 But I wondered if you did or could do a retrospective
17 assessment of the relationship between efficacy and exposure
18 to Endoxifen as well as the variety of metabolites, because
19 it would seem that composite efficacy or your composite
20 assessment would provide very insightful information.

21 And in relation to all of that, in consideration of
22 this composite of different drugs and metabolites that have

0112

1 activity, it appears to me that Endoxifen has a greater
2 share of activity than the rest. It seems like there's much
3 more, a stronger relationship to Endoxifen than is apparent
4 from all of these other materials that are present.

5 DR. GOETZ: So I think the answer to the first
6 question, I would agree with you heartily. I think what we
7 would really like would be a prospective clinical trial
8 where women were randomized or received Tamoxifen for 20 --

9 or excuse me -- for five years, 20 milligrams a day, in
10 which we had both genotype as well as plasma Endoxifen
11 concentrations.

12 Unfortunately, there are no datasets that are out
13 there with that sort of information. David Flockhart's
14 dataset is really probably the largest, but there is no
15 efficacy data on particular patients. They weren't followed
16 for efficacy.

17 So I think that ultimately this is an extremely
18 important question, and it's one of the questions that we
19 will definitely try to answer in the prospective trial,
20 where we will actually collect plasma samples on patients
21 and to determine whether or not the relationship between
22 clinical outcome does correlate with metabolism of

0113

1 Tamoxifen. It's a very important question.

2 DR. WATKINS: Just to make sure. I didn't get a copy
3 of the Incess [ph.] article. But that's the same patients,
4 the same group of patients that were in the first study?

5 DR. GOETZ: Absolutely. It is the same -- it's really
6 an updated analysis. It's not a different dataset. What we
7 are doing is analysis that we couldn't do initially. We
8 didn't have access to, and that is to analyze the patient
9 population with the inhibitors in mind.

10 DR. WATKINS: But the inhibitors was a very small
11 percent of the population, right, that were taking them?

12 DR. GOETZ: The number of patients that were on
13 inhibitors by the ones that we queried was about six
14 percent. So, and part of this relates to, for example, when
15 the trial was started back in 1989, patients who were taking
16 Tamoxifen were not administered SSRIs, so it wasn't until
17 around 2000, the late 1990s or in 2001 where this became
18 common practice, and we actually had the data for
19 Benlofaxin, for Peroxitene.

20 DR. WATKINS: Right.

21 DR. GOETZ: So when we looked at this, the numbers,
22 for example, six percent is probably about what we would

0114

1 expect for that particular time period.

2 DR. WATKINS: Okay. And was there any new conclusion
3 in the new smaller --

4 DR. GOETZ: Yeah.

5 DR. WATKINS: -- data subset?

6 DR. GOETZ: So in this particular data subset -- so
7 for the first data subset, we had a total of 190 patients.
8 The second data subset our actual analysis that we looked at
9 was 180 patients where we clearly said we know the
10 medication history of these patients. And our final
11 conclusion with that is that once we've accounted for CYP2D6
12 genotype and inhibitors, and we've also done a multivariate
13 analysis that accounts for the usual factors of tumor size,
14 nodal status, tumor grade that CYP2D6 metabolism, as defined
15 by what we saw was an independent predictor.

16 Now, really that -- the difference essentially is that
17 whereas in a multivariate analysis before the findings were
18 not statistically significant, and now they are.

19 DR. WATKINS: Okay. Thanks.

20 DR. GIACOMINI: A very nice presentation. So a couple
21 of questions. One, and maybe you discussed it, but the
22 Aromatase inhibitor and CYP2D6 phenotype, genotype that was
0115

1 discussed has not been involved?

2 DR. GOETZ: It has not been, and, again, as far as we
3 know, CYP2D6 is not involved in the metabolism of Aromatase
4 inhibitors. But no one has done that particular or asked
5 that particular question or done that analysis.

6 DR. GIACOMINI: And would you do that in that study
7 that's planned?

8 DR. GOETZ: Absolutely. Yeah.

9 DR. GIACOMINI: Put that on your schedule. Okay, and
10 then secondly, when you have this group of people that you
11 call poor metabolizers that those were CYP2D6 genotype and
12 they also were in inhibitors, did you try to separate those
13 out to see if you see a difference between the genotype and
14 people who are on inhibitors?

15 DR. GOETZ: Right. So that's a really good question,
16 and that was our hope initially when we did the study, but
17 clearly when we looked at the patients who were wild-type;
18 that is, they were at least by criterion of star four or
19 lack of star four, and we asked whether those patients were
20 taking an inhibitor, in this case, a moderate inhibitor, how
21 did they do versus the other patients who were not on
22 inhibitor. The numbers were just really too small to answer
0116

1 the question.

2 We had I think a total of eight to 10 of those
3 patients in that particular category.

4 Now, interestingly, most of the patients that we
5 looked at were actually were wild-type, were taking the
6 inhibitors. And we -- I don't have the data in front of me
7 -- but there was a clear trend towards worse outcome, but we
8 didn't have the statistical power to answer that question.

9 DR. BARRETT: This may be getting a little bit ahead
10 of ourselves, but I know when I look at your histogram here
11 regarding the categories of plasma Endoxifen relative to the
12 different inhibitors or genotype categories --

13 DR. GOETZ: I think this is David Gloghertz [ph.], so
14 this is upgraded.

15 DR. BARRETT: Okay. Great. But I'm thinking
16 clinically, I mean if I looked only at this you might be
17 able to suggest that dose modification may improve, in fact,
18 the clinical performance, but based on your analysis, would
19 you see that as a reasonable strategy or you don't think
20 there's enough information at this stage?

21 DR. GOETZ: I think the little information that we
22 have from what I've seen from David Flockhart's data is that
0117

1 increasing dose probably does not improve the outcome. But
2 I think that that would -- you know, you would need to do a
3 study to look at that. And at this point, there's really no
4 data on this specific group that would suggest that
5 increasing the dose would improve the outcomes.

6 And I don't think that's really the question that's on
7 the table. If Tamoxifen was the only drug that we had that

8 would be an extremely important question. The issue, of
9 course, is that we have alternative drugs that are at least
10 a safe drug and should -- slightly better than Tamoxifen,
11 with a different side effect profile and so, although that's
12 an important question, it may be an important question to
13 ask about, say, in developing countries, where finances are
14 limited.

15 I don't think we know that information.

16 DR. RELLING: When you were able to go back and get
17 the concurrent drug information, did you summarize
18 concurrent drugs that might affect CYP3A status, either
19 inducers or inhibitors to see if there was any relationship
20 there?

21 DR. GOETZ: That's a really good question. And the
22 answer is no, and I wish we would have done it. And so we

0118

1 didn't do it at that time, and I think that's an extremely
2 important question.

3 DR. MORTIMER: So the relationship of hot flashes to
4 metabolism was established in your initial complication. Do
5 you have any data for the favorable effects of Tamoxifen? I
6 mean is there a correlation to bone density increases or
7 uterine cancer? Do you know any data to that effect?

8 DR. GOETZ: No. We don't know. I mean but, you know,
9 you certainly wonder, because if patients who are really
10 being exposed to only the very weakest anti-estrogens are
11 those women that perhaps get the least benefit perhaps in
12 terms of bone effect, you wonder about lipids. You wonder
13 about all the other secondary endpoints of Tamoxifen, and I
14 think more and more data will come out and hopefully will
15 clarify this in the future.

16 DR. MORTIMER: I am struggling a bit on our task at
17 hand. You asked to specifically give a neutral conveyance
18 of the data from your studies and you did that in a great
19 fashion.

20 Stepping back from the neutral side and thinking about
21 how you would apply this and say package insert change that
22 we not vote on, since we're not officially voting, will

0119

1 affect you do you think the data that is available currently
2 is sufficient to strongly encourage the use of CYP2D6
3 testing in the selection of Tamoxifen versus an alternate
4 therapy?

5 DR. GOETZ: Well, I think that, you know, certainly I
6 am biased because this is my own data, and so I think that
7 as more and more data come in, for example, the data from
8 the Italian Prevention Study, and other groups that look at
9 this that are able to ask the question in the appropriate
10 setting that I do think that this is important.

11 What am I doing right now, for example, in my clinical
12 practice? I'm not going to patients and saying we should
13 test all patients right now simply because right now when I
14 see a patient, we are, you know, there's really two options
15 on the table and one of them is Tamoxifen; and one of them
16 is for Tamoxifen, and Tamoxifen for several years; another
17 is Aromatase inhibitor.

18 So I'm not going to that patient and saying in order

19 for me to make this decision, I've got to use this test --
20 this particular test.

21 What I am doing, though, however, is I'm telling them
22 the information, and I really try to be as unbiased as I

0120

1 can. This is what we know. And what women are often times
2 asking me is I want to be tested based on this information,
3 and the reason this is important I think is because we have
4 options and the options are to use Tamoxifen for a short
5 period of time versus all the time Aromatase inhibitor
6 versus an up-front Aromatase inhibitor.

7 So, you know, to answer your question, am I doing it
8 in clinical practice? I'm presenting the data, and when I
9 present the data to women, some women are saying, gee, I
10 would like to be tested, and other women are saying that
11 they are not.

12 I think that as time goes along and more and more
13 evidence comes in, though, that I think that I definitely
14 would do the routine.

15 DR. LESKO: Matt, I have two questions and maybe I
16 know the answer; you've given the answer.

17 But the first was there's been a number of
18 presentations in which you've had on the Y-axis the
19 Endoxifen plasma concentrations and on the X-axis various
20 subsets of the patients, whether they're on inhibitors,
21 whether they're on genotypes. Is there enough people in
22 these subsets to define a minimum effective concentration of

0121

1 Endoxifen and begin to look perhaps at individual patients
2 as opposed to these population means we see? That's sort of
3 my first question.

4 DR. GOETZ: I think the answer is that, you know, for
5 poor metabolizers, my gut feeling is that those patients are
6 not getting enough Endoxifen. But I don't have -- 'cause I
7 don't have studies that correlate those plasma levels with
8 the clinical outcome.

9 So we're doing this by means of CYP2D6 genotype. So
10 what I do believe, however, is that the analysis -- and some
11 people have done the paths, which is simply lumping together
12 star four wild-type with poor metabolizers and asking maybe
13 those patients do worse, and they simply do this, but they
14 don't have enough poor metabolizers. I think you can't do
15 that, and I think there's not enough data at this point to
16 clearly say that patients who are intermediate metabolizers
17 should be denied, although there's certainly that -- there's
18 some data that would suggest that. I don't know if that
19 answers your question.

20 DR. LESKO: It seems like an analysis that would be
21 done such that people might monitor blood levels.

22 DR. GOETZ: Right.

0122

1 DR. LESKO: Either as an alternative to genotype or in
2 addition to genotype.

3 DR. GOETZ: Right.

4 DR. LESKO: As an adjunct piece of information.

5 DR. GOETZ: Right.

6 DR. LESKO: Secondly, I just wanted clarity on. When

7 you looked at the 225 charts, you lumped together into the
8 2D6 poor metabolizers four fours as well as wild-type star
9 fours, in other words, homozygous star fours. Is that
10 because there wasn't enough star four star fours in those
11 charts?

12 DR. GOETZ: Sure. What we defined in that trial was a
13 patient was a poor metabolizer even by virtue of genotypes
14 and they were star four, star four, or they were actually
15 taking a potent inhibitor.

16 So the only way that you could be defined as a poor
17 metabolizer if you were either genotypically a poor
18 metabolizer or we actually also said if you were a wild-type
19 star four, and there was also -- there was one patient that
20 was wild-type star four that was taking a potent inhibitor,
21 and then there were a number of patients that were -- had
22 normal metabolism or taking potent inhibitor.

0123

1 So that was that definition.

2 DR. LESKO: Okay. Thanks.

3 CHAIRMAN VENITZ: Any other questions? Well, let me
4 ask you a couple of questions as well.

5 You're aware of the Nowel study obviously, because it
6 seems to conclude the opposite of your study and some other
7 studies as well.

8 Do you have any explanation for that?

9 DR. GOETZ: So. Yeah.

10 CHAIRMAN VENITZ: Which is going to -- not only does
11 in not confirm what you found, it actually goes in the
12 opposite direction.

13 DR. GOETZ: So I would say two things: for both the
14 Nowel study and the Glickman study, first of all in that --
15 in those patient populations, they used -- 35 percent of
16 them were ER negative.

17 So, for example, the Nowel study 35 percent were ER
18 negative.

19 We know that Tamoxifen is ineffective in ER negative.

20 The second thing is that it was a retrospective
21 analysis of patients that were stage one, stage two, stage
22 three, and stage four. Okay?

0124

1 And so when you do that sort of analysis, you know,
2 you're -- you have such a varying presentation.

3 What we're simply asking here is in the adjuvant
4 setting, so patients have stage one through three.

5 The third thing I would say is that in that particular
6 study again is it relates to the primary endpoint, which was
7 distant relapse free survival, and also the Wegman trial
8 and, you know, it has to do with when you follow these
9 patients, where are you censoring them? So, for example, if
10 you're not accounting for what would happen to the clinic if
11 a woman's on Tamoxifen -- let's say she has a local relapse,
12 we consider that a failure of Tamoxifen, and we don't wait
13 until five years later when she develops a distant relapse.
14 The same with contra-level breast cancer.

15 So if you don't account for all of the endpoints which
16 really confer response to Tamoxifen, you're going to have
17 difficulties.

18 So I think just -- when you look at just those issues
19 there, and then also probably the last issues, the number of
20 poor metabolizers was probably in the range of three to four
21 I think in both of these trials, very small.

22 And so the tendency is to say, well, we don't have
0125

1 enough, let's just lump them in.

2 The final issue is did you account for concomitant
3 medications, and, as I showed you in our study, medications
4 made a difference in terms of our analysis and so forth.

5 CHAIRMAN VENITZ: A separate question, but somewhat
6 related, too. Of all the studies that I've seen and these
7 were in the adjuvant setting, what about hemo prevention.
8 First of all, how much Tamoxifen is used in that setting and
9 are there any data -- outcome data or exposure data?

10 DR. GOETZ: So the only data that is available is from
11 the Italian Prevention Study and this was published in a
12 letter to the editor several months ago in the Journal of
13 Clinical Oncology, and what they found was that women who
14 took Tamoxifen and who developed breast cancer had a
15 significantly higher frequency of the poor metabolizer
16 phenotype than those women who did not develop breast cancer
17 -- so -- and who also took Tamoxifen.

18 So I think the data there support all the findings to
19 date, but I think they're relatively limited, and so
20 obviously that's a very important -- and it's very important
21 because when you look at Tamoxifen versus Reloxifen, really
22 what you have is a comparison of a weak anti-estrogen with a
0126

1 weak anti-estrogen. And one drug is activated. One drug is
2 not.

3 And so if you -- if you're giving Tamoxifen to prevent
4 breast cancer or high-risk patients, and what's happening in
5 the clinic today is that 40 percent of those patients are
6 receiving inhibitors of the enzyme, you could essentially
7 make the drug another Reloxifen just by simply by using the
8 medications.

9 So I think that that's a very important question.

10 CHAIRMAN VENITZ: So how large is the use of Tamoxifen
11 in that setting as opposed to the adjuvant setting?

12 DR. GOETZ: Well, in the prevention setting, you know,
13 it's been the only drug that's approved. You know,
14 Reloxifen, I'm assuming, may become approved in the future,
15 and I think based on, you know, the studies, the Reloxifen
16 trial I think -- Reloxifen versus Tamoxifen and that's
17 obviously a viable alternative to Tamoxifen for patients who
18 are at high-risk.

19 And I would note that in that trial, Reloxifen versus
20 Tamoxifen, that was only in post-menopausal women. So in
21 pre-menopausal women, we only have one drug available, and
22 that's Tamoxifen.

0127

1 CHAIRMAN VENITZ: So if pharmacogenetic testing were
2 to be used to rule out the use of Tamoxifen, there wouldn't
3 be an alternative for pre-menopausal women?

4 DR. GOETZ: That would be correct. As far as I know,
5 there's no other drugs that are used for pre-menopausal

6 breast cancer prevention.

7 CHAIRMAN VENITZ: Then the last question in the study
8 that you gave when you started this prospective study to
9 look at the effect of genotype, how are you going to handle
10 the effect of inhibitors?

11 DR. GOETZ: Well, in that particular trial, we will
12 not allow women on the trial who are taking potent
13 inhibitors up front. What we will do, however, is that for
14 patients that are on the trial and in which a potent
15 inhibitor is medically necessary, we will definitely allow
16 those patients to take the drug. They will be encouraged
17 not to be on a potent inhibitor, but let's say, for example,
18 that medically it's necessary. They are not responding to a
19 non-potent inhibitor. We would not prevent them from
20 getting it. We simply -- we will definitely account for
21 those patients.

22 We haven't decided as of yet whether those patients
0128 would be censored or not. That's a good question.

1 CHAIRMAN VENITZ: Thank you.

2 DR. JUSKO: I hope you don't think this is a silly
3 question, but in your -- one of your publications you
4 indicate that 61 percent of women experienced hot flashes,
5 can you use hot flashes as a biomarker, you know, titrate
6 against how women experience hot flashes and then backing
7 off when they do?

8 DR. GOETZ: I don't think so, 'cause I think hot
9 flashes are really variable. I mean I think the Tamoxifen,
10 you know, this observation that we made with CYP2D6 and hot
11 flashes certainly was interesting, but it needs to be
12 corroborated by other people.

13 I think it is concerning, though, obviously that we've
14 acknowledged or we've viewed hot flashes as being evil, and
15 we've tried to prevent hot flashes.

16 And obviously this is important because if you're
17 taking the drug and the hot flashes are so severe that you
18 can't take the drug, you have to go off of it, well, that's
19 just as important as if you were a poor metabolizer.

20 So I think that a lot of more research is needed in
21 this area.
22

0129 1 DR. MORTIMER: So 30 percent of all breast cancer
2 patients are on an alternative or complementary therapy at
3 least. Are any of these 2D6 inhibitors?

4 DR. GOETZ: I'd have to defer. I'm not aware of that,
5 and I think simply we don't know. I think the answer is we
6 don't know.

7 You know, for example, you know, the question of 3As
8 come up. Obviously, there are a number of 3A drugs, such as
9 St. John's Wart that are inhibitors. That potentially might
10 be important.

11 But I think there we just don't know.

12 OPEN PUBLIC HEARING

13 CHAIRMAN VENITZ: Okay. Thank you again for your
14 excellent presentation.

15 Now, we're moving on to the open public hearing, and
16 I'm going to read this following statement on the record.

17 Both the Food and Drug Administration and the public
18 believe in a transparent process for information gathering
19 and decision making. To ensure such transparency at the
20 open public hearing session of the advisory committee
21 meeting, FDA believes that it is important to understand the
22 context of an individual's presentation.

0130

1 For this reason, FDA encourages you, the open public
2 hearing speaker, at the beginning of your written or oral
3 statement to advise the committee of any financial
4 relationship that you may have with any company or any group
5 that is likely to be impacted by the topic of this meeting.

6 For example, the financial information may include a
7 company's or a group's payment of your travel, lodging, and
8 other expenses in connection with your attendance at the
9 meeting.

10 Likewise, FDA encourages you at the beginning of your
11 statement to advise the committee if you do not have any
12 such financial relationships.

13 If you chose not to address this issue of financial
14 relationships at the beginning of your statement, it will
15 not preclude you from speaking.

16 Having said that, I'm going to ask our first speaker
17 to give his presentation. Ryan Phelan from DNA Direct.

18 MS. PHELAN: Hello. In the spirit of full disclosure,
19 my company, DNA Direct, provides genetic testing services to
20 the public.

21 We're based in San Francisco. We are in effect a
22 web-enabled genetic counseling service. We are not the lab.

0131

1 We work with national reference labs, pre-certified labs,
2 and we provide the interpretation, both the pre-test and
3 education. We help people identify if testing is
4 appropriate, and then we help them really understand the
5 impact of their test result in the full context of their
6 health care situation.

7 We started our testing around clinically valid,
8 medically well-known tests, like Factor V in cystic
9 fibrosis, and we're in the process of looking at
10 pharmacogenetic testing very seriously.

11 The first test that came to our- the first drug that
12 came to our attention that had the most significant
13 correlation with patient outcomes in terms of effectiveness
14 of drugs was in the case of Tamoxifen.

15 And I'm here today to say that the research that we
16 did, which included experts from around the country,
17 indicated to us that there is very poor awareness of this
18 correlation, and that one of the things that I can really
19 urge this committee to do is to think about the impact that
20 labeling has. It's the first step of really bringing public
21 awareness of the fact that genotyping in the case like this
22 may have tremendous benefit to women.

0132

1 We started our research with an oncologist, Anne Rene
2 Hartman [ph.], and Dr. Paul Helth [ph.] at the University of
3 Indiana, who's also an ethicist, to raise the question of
4 when is testing appropriate for women.

5 And I'd like to just put one human face on this.
6 We've seen a lot of numbers here today. There are over
7 500,000 women today on Tamoxifen, and whereas Dr. Goetz
8 mentioned, I'm sure quite accurately, that the standard of
9 care includes the Aromatase inhibitors increasingly as part
10 of therapeutic practice today, you can count on many medical
11 centers today only offering women Tamoxifen, and many
12 insurance plans only reimbursing Tamoxifen. It's one-tenth
13 of the price approximately of an AI.

14 So there are women out there who are taking drugs and
15 in some cases it is as good as taking a placebo.

16 Our recommendation to this panel is that you recommend
17 the re-labeling of Tamoxifen now for post-menopausal women
18 because there are no treatment options. Certainly, they can
19 sequence the Tamoxifen, as we've seen with two years of
20 Tamoxifen, with genotyping to verify that they're going to
21 be a proper responder and follow it with an AI therapy.

22 In addition, they can also go on AI alone, which is
0133

1 certainly an appropriate pre-men recommendation for 2D6 for
2 a metabolizer.

3 We believe that testing for Tamoxifen will enable
4 women to make more informed treatment decisions about
5 Tamoxifen and hopefully really avoid them taking potentially
6 ineffective therapy that really increases their risk of
7 cancer.

8 We believe ethically, it's irresponsible not to
9 re-label this drug.

10 But our second position is that it has to be very
11 clear that we're only talking about post-menopausal women.
12 We've hardly talked about pre- and peri-menopausal women
13 where the data is not yet in. These women do not have a
14 known, safe alternative. They cannot go on Aromatase
15 inhibitors.

16 So our company is preparing, based on this hearing
17 today, to offer this test. We believe that, with our
18 partnership with one of these labs, that we can bring this
19 test to market for our total cost of less than \$300 or
20 approximately \$300. This is not an expensive test for any
21 medical center, any physician to be offering. Without our
22 services, they could bring that price down probably to \$200.

0134

1 So we really feel that this is something that is
2 important for this Committee to address, but certainly more
3 research is needed around what alternatives are appropriate
4 for pre- and peri-menopausal women.

5 We believe that research should be fast tracked in
6 this area. Women should not be on this drug if it's not
7 going to be effective. Even if there is no known
8 alternative, they should know that it may not be effective
9 for them.

10 What we've done right now is we've started a -- we've
11 just had an IRB approval with the Greater Baltimore Medical
12 Center for a very small study on the chance that this
13 committee is requiring more research on Tamoxifen. This was
14 just approved for genotyping of a retrospective data, and
15

16 DNA Direct will offer free interpretation and free testing
17 to this group of a hundred women.

18 In addition, we have talked -- and it's really in very
19 early discussions with Sloan Kettering about again trying to
20 fast track a retrospective study for pre-menopausal women
21 and to again offer or donate our services for genotyping and
22 what we really do, which is the test interpretation.

0135

1 So, in closing, I'd really like to just reiterate my
2 hope that today you think about these half a million women
3 who are taking this drug, a hundred thousand women that
4 could be put on it this year alone, and ongoing, and really
5 think about safe and effective therapies. Thank you.

6 CHAIRMAN VENITZ: Thank you. Our next speaker is Dr.
7 David Flockhart from Indiana University.

8 DR. FLOCKHART: Good morning. I'd like to thank the
9 Chair so I could speak.

10 And my principal conflict of interest I have to
11 declare is the research lab that keeps me going.

12 I have a lot of questions I'd like to address, and I'd
13 like to do it in an overview kind of way so that I can be
14 quick.

15 But really, fundamentally, this derives from what Dr.
16 Pazdur said and that's having good quality science to
17 support the label changes that you'll make. And we haven't
18 talked about what's in the label at the moment. And I think
19 that is important to consider.

20 But there are no data, accurate data, but accurate
21 data about term metabolism is not on the label. There's no
22 data about drug interactions, rational at present in the

0136

1 label, independent of the decisions the Committee has to
2 make about genotype.

3 The quality of science going into the work, I think,
4 as I hope Dr. Goetz has been clear here, we're presented
5 with three, four, or five trials here, and the quality of
6 those trials is different. We do prospective randomized
7 trials for a reason, because we can't independently separate
8 out in a disease like breast cancer the prognostic from the
9 therapeutic. So, for example, if people have lymph nodes,
10 they are more likely to have a bad prognosis, and in the
11 Nowel and the Wegman trials, they went into these studies in
12 a non-prospective or retrospective way, and the groups of
13 people that they compared are not small in terms of the
14 number of lymph nodes that they have, the stage of the cancer
15 -- is it one, two, three, four -- or the progression of the
16 disease or the pathology. None of that is randomized.

17 And so part of the reason Dr. Goetz spent so much time
18 on a multivariate analysis of the last trial was that it's
19 the only trial that it's the only trial that's possible to
20 do a multivariate analysis on. When you do multivariate
21 analysis, it still comes out as a useful guide.

22 I want to quickly go through a series of questions,

0137

1 excellent questions, from the Committee.

2 First, Dr. Howard McLeod's first question about
3 whether 2D6 has an effect on prognosis of a tumor after you

4 got the data that addresses that.

5 There are two pieces of data that address that, I
6 think when you confidently say people who have breast cancer
7 or who develop breast cancer do not have a different 2D6
8 genotype from people who don't, and that there are several
9 studies that we use that show that. But that's really only
10 half of Howard's question.

11 Howard is really asking does it affect prognosis or
12 treatment, because Dr. Goetz did not include a no treatment
13 arm, even when it was done. And, in fact, that is a
14 completely rational scientific question. There's no
15 biological or pharmacologic basis to believe that 2D6 might
16 affect prognosis in the absence of a drug. But Howard is
17 absolutely right. Biology constantly surprises us, and it's
18 possible. So it's something our group is interested in, and
19 we have an ongoing collaboration with Baylor to look at the
20 famous samples of blood during the Baylor flood to compare
21 non-treated to treated in that group and see if that's
22 possible.

0138

1 Howard is absolutely right of asking the question.

2 Protein binding we do know from way back -- it was the
3 question over here, and it's the same for the metabolites.
4 Bill Jusko -- excellent question -- can you model the
5 concentrations, and you're absolutely right. There's a very
6 high concentration of Tamoxifen compared to these active
7 metabolites. It's 10 to a hundred times more, so it's very
8 reasonable to ask the question, could it actually be
9 contributing to the therapeutic effect.

10 And Dr. Desta has done a careful modeling analysis,
11 including both potencies and the concentrations, as things
12 state, and when you do that Endoxifen still jumps out as the
13 greatest variable predicted.

14 And I should say in parentheses that people have gone
15 in and done this crazy thing of biopsing tumors, and
16 measuring concentrations in the tumors of Tamoxifen that is
17 metabolized out of estrogen, and then saying, well, oh,
18 because there's so much stuff in there that it almost
19 saturated. And really that to me, that's always been an
20 irrational approach, because you're getting total tumor and
21 some of that is unavailable to anything; some of it is
22 potent, and you really don't get an idea of that, of what is

0139

1 the concentration that affects that.

2 So you have to model these things, and we don't need
3 to know it, but the modeling exchange is important.

4 Kathy, Mary, and a bunch of people asked questions
5 about increasing the dose. As you increase the dose of the
6 Tamoxifen, and this was shown in studies in the U.K. a long,
7 long time ago, 20, 30 years ago, you don't increase the
8 efficacy of the drug, and we believe that's because as you
9 increase the concentration of Tamoxifen, you do not increase
10 the concentration of the active metabolites for the drugs,
11 because of this saturation. In other words, we have
12 Tamoxifen concentrations going up and up and up, but
13 Endoxifen and 4-hydroxy Tamoxifen concentrations do not go
14 up. They saturate quite early, and this is the basis for a

15 lot of interest in Europe, in particular in Italy, of using
16 very low concentrations of Tamoxifen metabolite, the same
17 concentrations of Tamoxifen is possible as a therapeutic
18 alternative.

19 Inducers, which several people asked about.
20 Inhibitors in our data only slightly changed N-desmethyl
21 concentrations, and inducers, to our surprise, and this is
22 an important thing to understand, do you turn on metabolism.

0140

1 Do you make more Endoxifen and, therefore, might you get
2 more therapeutic effect?

3 So we looked at the very small number of patients who
4 happened to get inducers and look at all these numbers in
5 our trials. And to our amazement, these are the patients
6 with the lowest concentrations of Tamoxifen.

7 So we would anticipate, we have St. John's Wort and
8 other inducers would actually lower the concentrations of
9 the active metabolites because of distal induction. They
10 turn on Sulfatransferases. They turn on a lot of
11 transporters as well, so what inducers do is very
12 complicated. But we don't think that it gets consumed.

13 A question was asked about other phenotypes, if you
14 like, of Tamoxifen effect, and we have data that was
15 presented two years ago by Anne Wynne from our group and
16 others showing that there is a clear statistical fact of 2D6
17 genotype on bone density when patients are on Tamoxifen. We
18 also have more recent data submitted to the Clinical
19 Pharmacology meeting showing the same thing for clearance.

20 Full disclosure we did not see that. It seems to
21 follow a different mechanism, but we don't seem to see it as
22 having the same 2D6 effect.

0141

1 So I think to close, the science here I think supports
2 going in carefully to the clinical trials, but I want to end
3 on a note of caution for the Committee, and that is really
4 what Dr. Goetz and the Mayo guys have done is really
5 remarkable in that they been able to go back and look at a
6 level one study, a prospective trial and show that not only
7 is there a genotype effect within that, but there's a drug
8 interaction in that effect that we can predict, and we would
9 anticipate that this is a very important thing, because so
10 many patients now, 20, 30 percent of patients with breast
11 cancer, are taking some kind of anti-depressant. So the
12 drug interaction is really important I think.

13 The note of caution really is this: we have excellent
14 level one data from one good study, and that's really what
15 we have to support the idea that genetic prediction using
16 2D6 could predict outcome. And while the tea leaves are
17 that the Italian trial is going to show the same thing. We
18 have one letter to the editor showing that, and there's
19 apparently a trial in Germany that is showing some of that.
20 We do not have a large number of prospective trials to look
21 at. Probably, there will not be prospective trials done on
22 Tamoxifen versus placebo. One couldn't get that, and

0142

1 probably we will have to rely on the kinds of studies that
2 one gets to go through which is to know prospectively. I'll

3 stop there. I'd like to take questions.

4 CHAIRMAN VENITZ: Are there any questions by the
5 Committee?

6 DR. BARRETT: Dr. Flockhart, you were mentioning about
7 the -- in answer to Dr. Jusko's original question about the
8 modeling the dose exposure relationship and the fact that
9 you could -- you were able to resolve all of those, the
10 active moieties and you could make predictions. And you
11 also mentioned that the fact that there is information about
12 the saturability of metabolism so that dose increases were
13 unlikely to improve clinical outcomes based on that.

14 And one of the things that Larry had mentioned earlier
15 was this concept of a minimally effective concentration. If
16 you have this kinetic signature well defined, you could I
17 think do some of that retrospectively and I would -- and
18 also based on Dr. Goetz's presentation that, you know, you
19 have the priors assembled, although it's from different
20 sources, to really simulate that trial, that prospective
21 trial. I mean there's going to be assumptions that had to
22 be made there, but I think the technique exists to do this.

0143

1 You've got 1,950 people in each of those arms, which seems
2 like a lot. I'm sure the sample size calculation isn't
3 justified based on the --

4 DR. FLOCKHART: I totally, totally agree with you.
5 You could absolutely and you should.

6 DR. MORTIMER: I'm sorry. Could you repeat what you
7 said about bone density?

8 DR. FLOCKHART: About bone density. So if you take --
9 and we now have about 200 women, but the published data is
10 on about 80 women. And when we looked at 80 women,
11 post-menopausal women based on Tamoxifen, and looked at the
12 effect of 2D6 genotype on the change in bone density that
13 occurred in those women, there is a greater change in
14 patients who have extensive metabolite status versus who
15 have poor metabolite status.

16 DR. MORTIMER: The change being improved bone density?
17

18 DR. FLOCKHART: No.

19 DR. MORTIMER: So it's the opposite of what you're
20 saying.

21 DR. FLOCKHART: I'm sorry. I'm going to get this
22 wrong. I need Nina to come up here, but in -- there's two

0144

1 things in that study. One is a genotype effect, but the
2 other thing is there is also a relationship because we were
3 able to do this between Endoxifen concentration and the
4 change in bone.

5 But if I get this right, post-menopausal women you put
6 on Tamoxifen; right? It's protective, so you see a more
7 protective effect in extensive metabolizers, correct? I got
8 it right. And a less protective for poor metabolizers. You
9 see the opposite effect in pre-menopausal women, which is
10 why I was emphasizing the difference.

11 DR. WATKINS: Yeah, David, just congratulations to you
12 and your collaborators on a terrific story.

13 But I would like you to elaborate a little bit,

14 because you tell me the estrogen receptor is inside the
15 cell, so really concentrations outside the cell are
16 irrelevant, and correct me if I'm wrong about this, and can
17 you elaborate a little more on what the evidence is that
18 Endoxifen is the major intracellular estrogen blocker and
19 follow up on Kathy's comments about active transport,
20 please?

21 DR. FLOCKHART: Well, there are a lot of things we
22 don't know here, but I don't think the extracellular

0145

1 concentration is irrelevant; right. It's probably the best
2 surrogate for effect for many, many drugs for which we don't
3 have intracellular concentration available.

4 So what we do is we look for effects of drugs that we
5 related to pharmacogenetics. That's the whole field of
6 pharmacogenetics, because we have something to measure;
7 right.

8 So I think one would presume in this context even for
9 steroids, and Bill Jusko has done this kind of work, has
10 looked at steroid concentrations in the plasma and then
11 related to them to sensitive measures of steroid effect in
12 the adrenal access and things like that.

13 Actually, measuring the stuff inside is really hard to
14 do, so what I'm about to say involves a series of
15 assumptions, because anybody has to make assumptions to make
16 any scientific, rational statement about what's inside the
17 cell.

18 But if you look at affinities, the tightness of
19 binding, for the estrogen receptor, and you make the
20 assumption that the concentrations inside have the same
21 relative size as the concentrations outside, so you assume,
22 for example, that the ratio of Tamoxifen to N-desmethyl is

0146

1 the same, and that the ratio of N-desmethyl to Endoxifen is
2 the same. And that's a big assumption and Kathy could jump
3 all over me, because things might alter that.

4 But if you do that, if you make that big assumption,
5 Endoxifen jumps out as the most potent thing that would be
6 binding to the estrogen receptor and also -- and there's
7 important pharmacology here I think -- that the efficacy of
8 the drug would vary according to estrogen concentration, and
9 we know that that's true, because we know that Tamoxifen is
10 not a -- it's a bit like a beta blocker in the sense of its'
11 not an absolute -- its' a partial antagonist. It's not an
12 incredibly effective thing, and so you see different effects
13 of the drug as I just referred to in pre- and
14 post-menopausal women, when presumably the concentrations of
15 Tamoxifen is metabolized the same, but the estrogen
16 concentration is different. So this would indicate that if
17 you change the estrogen concentration, you can alter the
18 effectiveness of the drug indicating in turn that the
19 concentrations of anti-estrogen metabolites might alter
20 effect, because if you change estrogen, you could change the
21 effect. So it's not -- I don't -- prominently it can be
22 altered by estrogen. A complicated answer to a simple

0147

1 question, but I'm afraid it's not really a simple question.

2 CHAIRMAN VENITZ: Okay. Thanks again, David.
3 We are now proceeding to our main order of business;
4 that is Committee discussion and questions, and what I'd
5 like to do is maybe ask Atiq to pose the questions again, so
6 we can look at them one at a time.
7 DR. RAHMAN: Okay. The first discussion point that we
8 have today is to address the issue that the scientific
9 evidence on metabolism of Tamoxifen demonstrates that CYP2D6
10 is an important pathway in the formation of Endoxifen.
11 CHAIRMAN VENITZ: Okay. Any comments? Any discussion
12 points?
13 Does anybody disagree with that statement? So we
14 cannot vote, but nobody disagrees; right? Okay.
15 Let's move to question number two.
16 DR. RAHMAN: The second discussion point is the
17 pharmacologic and clinical evidence are sufficient to
18 demonstrate that Endoxifen significantly contributes to the
19 pharmacologic effect of Tamoxifen.
20 CHAIRMAN VENITZ: Any discussion? Does anybody
21 disagree with that statement?
22 DR. RELLING: I mean it's clear -- the in vitro data
0148
1 look very strong, but are there clinical data that we talked
2 about to support that?
3 DR. RAHMAN: This would reflect the inhibitor studies
4 and the studies which Dick Flockhart's group have shown in
5 80 patients and 156 patients showing that the levels are
6 down in genotype patients, as well as patients who are on
7 one tab, but getting the strong inhibitors.
8 DR. RELLING: I definitely believe that 2D6
9 contributes clinically to the levels of Endoxifen, but as
10 Dr. Jusko is asking for are there clinical data to indicate
11 that the levels of Endoxifen relate to clinical effect? I
12 mean.
13 DR. RAHMAN: That is the second -- that is the next
14 question I think we were saying.
15 DR. RELLING: Well, let's see. It contributes to the
16 pharmacologic anti-estrogenic effect of Tamoxifen?
17 DR. RAHMAN: Right.
18 DR. RELLING: I guess I think if it said the
19 pharmacologic evidence is sufficient to demonstrate, then I
20 think it's non-controversial. But if we're asking to say
21 that there's clinical evidence that Endoxifen significantly
22 contributes to the pharmacologic anti-estrogenic effect of
0149
1 Tamoxifen, did we review anything on that or does such data
2 exist elsewhere that we didn't review?
3 DR. MCLEOD: It certainly looks like Endoxifen is the
4 leading candidate for that endpoint based on the data that
5 was presented, but I agree with you; there was no direct
6 data saying that Endoxifen levels or Endoxifen itself is the
7 or a major contributor to the clinical effect.
8 In my gut, I believe it is, but, based on objective
9 evidence, it --
10 DR. BARRETT: I think you have a bridge here between
11 the trials in which you have genotype as a correlate to a
12 clinical effect, and then the work of the Flockhart

13 laboratory in which you're looking at the genotype exposure
14 relationship, so I think that's the bridge -- I would agree,
15 Howard, there's no single study that kind of looks at that
16 so you have to mentally be able to make that bridge. But I
17 felt that the data was compelling at least to be able to
18 make that conclusion. I don't know what the rest of the
19 Committee thought.

20 DR. JUSKO: I think there are strong indications that
21 this may be so. What we would like to see typically in
22 clinical pharmacology is a concentration response

0150

1 relationship. We sort of have that implied in the fact that
2 patients with lower Endoxifen concentrations are the ones
3 who survive for a shorter timeframe, but it would be nice to
4 strengthen that evidence with direct indications of
5 concentration response relationship.

6 CHAIRMAN VENITZ: And I would add I don't disagree
7 with the statement the way it's worded, because it says
8 significantly contributes, so it doesn't tell me that it's
9 the major contributing factor.

10 But I would point out, as other people have done
11 before, the role of the 4-hydroxy metabolite, which is
12 equally potent, obviously complicates it.

13 Any other comments?

14 DR. MCLEOD: I'd just point out as we -- this question
15 to me has nothing to do with the question of what should be
16 put in the package insert. And so, while there's still some
17 -- there's still a bit of a black box around this, I think
18 when we get to the next questions, we can vote or not vote
19 more clearly.

20 CHAIRMAN VENITZ: Any other comments to question
21 number two?

22 DR. LESKO: Yeah, just an additional comment, because

0151

1 we have sort of touched on really two issues of Endoxifen
2 levels, one from the standpoint of genotype and its
3 relationship to some of the outcome studies we've seen. And
4 the other is the drug interaction question, and we are not
5 -- at least for the drug interaction question, we're
6 predominantly looking at the Endoxifen levels as a surrogate
7 for clinical outcome.

8 It's not unusual, and I would say I wish we had all
9 the time concentration response relationships, and it may or
10 may not be possible to have that in this drug in these
11 clinical outcomes.

12 But in the absence of that, we generally try to look
13 at the exposure of what we believed to be the predominant
14 pharmacological species, and do that basically in all of our
15 special population studies.

16 DR. MCLEOD: Larry, that's a really important point to
17 go on the record, because many of these examples don't have
18 the sugar daddy to conduct, to afford to be able to conduct
19 the studies that might be done with a new chemical entity.
20 And we may never have that data for the majority of the
21 examples that are going to be going forward. And, as a
22 committee, we may have to look at this data slightly

0152

1 differently because of that. The, you know, perfect of good
2 -- or whatever the Voltaire saying is -- is going to come
3 into account more often than we would like it to.

4 DR. LESKO: Yeah, and I think the Committee and others
5 realize we make many decisions with exposure, ranging from
6 the approval of generic drugs to adjusting doses for new
7 molecular entities, so it's not unusual to take blood level
8 as a surrogate, if there's reasonable evidence that there's
9 a mechanistic or causal explanation in relating in exposure
10 of a chemical to some clinical outcome.

11 CHAIRMAN VENITZ: Any further discussion of the
12 question?

13 Okay. Then let's move on.

14 DR. RAHMAN: The next question that we're asking the
15 Committee to address or discuss is, does the clinical
16 evidence demonstrate that post-menopausal women with
17 ER-positive breast cancer who are CYP2D6 poor metabolizers
18 are at increased risk for breast cancer recurrence?

19 CHAIRMAN VENITZ: Any discussion? Can I ask a
20 clarification question?

21 It says 2D6 poor metabolizer. It doesn't say variant
22 of I-type versus drug interaction. Is that by intent?

0153

1 DR. RAHMAN: This is by intent.

2 CHAIRMAN VENITZ: In other words, I read the question
3 right now, poor metabolizer could be a genetic deal -- a
4 genetic poor metabolizer or a drug interaction poor
5 metabolizer.

6 DR. RAHMAN: It could be -- the phenotype that you're
7 talking about, whether they're based on genetics or they're
8 based on a combination of variant alleles and inhibitors, we
9 could go with that, too, because the data were presented
10 that support that.

11 CHAIRMAN VENITZ: Well, to me, it makes a big
12 difference.

13 So I think we have the answer. It refers to both.
14 Whether it's genetically or due to a drug interaction, we're
15 talking about poor metabolizers.

16 DR. RAHMAN: So we are including CYP2D6 poor
17 metabolizer phenotype? Does that clarify it?

18 CHAIRMAN VENITZ: Phenotype, yes. Phenotype.

19 DR. LESKO: I wonder, though. It's a good question,
20 and I see the vagueness to it, but would it make sense for
21 the purposes of discussion to separate those two out because
22 we've seen datasets where the genotype was linked to outcome

0154

1 alone, and there was also datasets in today's presentation
2 where genotype was combined with drug interaction data.

3 Mechanistically, I think we've seen that -- the same
4 thing results, but for the purposes of discussion, it would
5 be better I think maybe to separate out those two issues.

6 CHAIRMAN VENITZ: What does the Committee hear? In my
7 mind, they should be linked, but I can't speak for the
8 Committee.

9 Do you think we should have a separate discussion of
10 the drug interaction related to poor metabolizer status or
11 the genetic difference? Howard?

12 DR. MCLEOD: The only study that we can really talk
13 about in the context of this specific question had both bits
14 of information. The question that has to do with chemo
15 prevention as far as I know did not have that data, at least
16 it wasn't presented in the letter in the Journal of Clinical
17 Oncology, so in the context of this question, they're so
18 heavily linked I'm not sure whether we really can separate
19 the issues. I mean there clearly was an additive value with
20 adding those six percent of folks with the drug interaction,
21 but, you know, really we're talking about the same group of
22 patients. I'm not sure we can separate them clearly.

0155

1 DR. MORTIMER: I think they're definitely linked to
2 the hypothesis about Endoxifen, but I really have trouble.
3 I mean I think they should be separated because to rely on
4 sort of retrospectively going back and knowing what drugs
5 patients are on I think is very risky because, you know, if
6 you look at the 30 percent of women who are on complementary
7 therapies, less than 10 percent of their physicians knew
8 that they were taking it. So I think the number of people
9 who were taking over the counter Cimetidine, there's just no
10 way. I think the data is less robust when we're looking at,
11 so I think they should be separated.

12 CHAIRMAN VENITZ: Well, let me make you a counter
13 argument: if you look at Dr. Goetz's initial analysis, the
14 multivariate analysis, not incorporating the inhibitors, he
15 didn't find a significant difference. He only found a
16 significant difference in terms of the outcomes that he was
17 looking at when he combined the genetic -- the genotype with
18 the drug interaction, the phenotype.

19 So, to me, that's the reason why I believe they are
20 linked, and, as far as labeling is concerned, I don't see
21 how he can -- if that's what the Committee would advocate.
22 If you can advocate through genotype, but then not consider

0156

1 the fact that other drugs that the patient might be taking
2 on would have the same effects, both in terms of the
3 exposure, as well as in outcomes.

4 DR. MORTIMER: It was significant for relapse
5 resurvival, though, so the endpoint -- there is a
6 statistical significance for one endpoint, one survival
7 endpoint. The ultimate, the more important one, it's
8 fascinating that you can -- to show that, but there is a
9 statistical significance.

10 DR. BARRETT: I agree in terms of, you know, the
11 contributions to that outcome measure, but I also think, as
12 was mentioned earlier, that you need to decouple it in order
13 to give practical guidances in terms of applying this in the
14 label, plus I think even, you know, not falling in the
15 category of focusing on a P-value, you still have compelling
16 data that the genotype alone would support that effect, but
17 I think the wording can be massaged to get it correct so
18 that you represent both pieces; but I would still decouple
19 it, so you can apply it easier.

20 DR. GIACOMINI: Yeah. I thought -- I don't know, but
21 I thought he showed that the effect was on poor metabolizers
22 -- you had to be a poor metabolizer with the drug. But if

0157

1 you just took the drug alone, the inhibitor drugs, on the
2 extensive metabolizers, you're not seeing an effect. Can I
3 ask you -- it was on the poor metabolizers?

4 DR. GOETZ: So in the univariate analysis --

5 DR. GIACOMINI: Okay.

6 DR. GOETZ: -- in our initial analysis, what we did a
7 univariate analysis, looking at log rank P-value, time to
8 rest recurrence, and relapse-free survival, disease-free
9 survival were all statistically significant. When we looked
10 at those endpoints, for example, relapse-free survival in
11 the multivariate analysis without inhibitors, the P-value
12 was .08.

13 When we analyzed this by accounting for the potent
14 inhibitors, in the multivariate analysis, patients both with
15 decreased as well as when we separated out poor and
16 intermediate, there was a statistically significant -- an
17 effect in the multivariate analysis, and I would note that
18 second multivariate analysis, we actually went back and
19 added additional factors, so whereas before we added nodal
20 status and tumor size, we also looked at tumor grade, and we
21 also did ER. We also did HERT2 [ph.], which we hadn't had
22 available because we went back and assayed all those.

0158

1 So the genotype alone was the Journal of Clinical
2 Oncology paper; the multivariate analysis, the P-value was
3 .08 for nodal status in tumor size.

4 CHAIRMAN VENITZ: That's the discrepancy I was
5 referring to; that the original multivariate analysis, just
6 looking at genotype accounting for two or three prognostic
7 factors, did not achieve statistical significance. But the
8 multivariate analysis that was presented today that
9 incorporated the inhibitors as well as other prognostic
10 factors then showed a significant difference in our case.

11 Any other comments? Dr. Relling.

12 DR. RELING: I guess I -- for the reasons we just
13 heard and also what we know about CYP2D6 status, so, you
14 know, 15 or 20 years of studies show that this is a
15 polymorphism where there exists clinically used agents that
16 are able to turn an extensive or intermediate metabolizer
17 into a poor metabolizer functionally, and so it's an example
18 where concurrent drugs is really an important thing to take
19 into account when deciding somebody's 2D6 status.

20 So for the reasons that it's been so carefully looked
21 at by the investigators and that everything we know about
22 pharmacology suggests we should consider concurrent drugs

0159

1 that I would have no trouble leaving them coupled, and I
2 think that the labeling could be changed to be more
3 informative for clinicians by considering concurrent drugs.

4 DR. DAVIDIAN: Just as a statistician, I just want to
5 remind everyone that even though the evidence seems very
6 compelling to me, I still note that the sample size is very
7 small. And so I just want to make that cautionary
8 statement.

9 CHAIRMAN VENITZ: I'm not sure whether we have
10 consensus of defining poor metabolizer as phenotype

11 regardless of whether it's genetically or as a result of
12 drug interaction. For the purposes of question number
13 three, we haven't gone through the sub-questions yet.

14 Then let's discuss the merits of the question. The
15 question is does the clinical evidence demonstrate that in
16 post-menopausal women with positive breast cancer --
17 ER-positive breast cancer, who are poor metabolizers, at an
18 increased risk for breast cancer recurrence?

19 Any comments?

20 DR. JUSKO: I agree with Mary's interpretation. I
21 think it should be made clear that there can be poor
22 metabolizers because of genotype or because of drug

0160

1 interactions, and I think the language could be very
2 specific about both sources are a problem.

3 DR. RAHMAN: And see whether we can make it a poor
4 metabolizer genotype plus --

5 CHAIRMAN VENITZ: Right.

6 DR. RAHMAN: -- phenotype, and we could change it.

7 CHAIRMAN VENITZ: And my impression is based on the
8 majority of the comments that the majority opinion is that
9 it should include both. Scream if I misrepresent the
10 Committee's feelings.

11 Okay. So I'm proposing then to either add that or on
12 the record --

13 DR. RAHMAN: Okay.

14 CHAIRMAN VENITZ: -- that poor metabolizer includes
15 genetic or drug interaction.

16 Okay. Let's discuss the merits of the question. In
17 other words, is there sufficient evidence presented to us to
18 draw this conclusion that there's increased risk? Any
19 discussion? Mary.

20 DR. RELING: I guess I would echo what Marie said. I
21 think the way the question is worded, yes, there is clinical
22 evidence, and I think that the trial that we heard the most

0161

1 about is definitely the cleanest clinical trial, a very good
2 look, a very careful look at a relatively homogenous group
3 of women, but you would obviously like more than one trial
4 to feel more confident in this, but the way the question is
5 worded, yes, I think the clinical evidence supports that,
6 and the clinical evidence that sort of refutes it is based
7 -- we talked about many limitations to that trial, so I can
8 now see that the first two trials that were presented in the
9 first presentation that are negative for an association of
10 2D6 with cancer recurrence have some methodologic problems
11 that de-weight them considerably.

12 But it's still pretty small numbers.

13 DR. KAROL: Yeah, I think we've seen one good study
14 that indicates that the clinical evidence supports this, but
15 rather than saying that it demonstrates, I would say
16 suggests that post-menopausal women -- I would like to see
17 more studies with more subjects.

18 DR. RAHMAN: I'd like to remind the Committee that we
19 have also mentioned about two other trials: the Italian
20 Chemo Prevention Trial, which has been reported as a
21 correspondence to the JCO, is coming out, and that is

22 indicating towards the similar results that we have seen
0162

1 with Dr. Matthew Goetz's paper, and the other -- that is the
2 overall, but I'm kind of -- yeah, that's not exclusively
3 post -- but that is in the adjuvant setting, yes, but they
4 are both pre- and post-menopausal women there.

5 CHAIRMAN VENITZ: Okay.

6 DR. RAHMAN: And the other trial or retrospective
7 analysis that will be coming out from a group from Germany
8 -- Michel Eikelbaum's group, who are -- who have studied 400
9 patients and indicated that their results are supportive of
10 what we have presented here in Dr. Goetz's presentation. So
11 these are coming out. These are just kind of in there.
12 There again, a similar kind of retrospective analysis of
13 trials that was conducted a couple of years ago.

14 DR. LESKO: Yes, I'm trying to follow sort of the
15 thinking process of the group, and on hand, there was a
16 consensus that genotype and drug interactions ought to be
17 linked together because they both produce and affect poor
18 metabolizers.

19 In the reflection on need for additional clinical
20 studies, am I sort of sensing that people are comfortable
21 with the evidence on drug interactions, and that's a
22 different standard of evidence than the evidence on

0163

1 genotype? In other words, we have a study that's
2 prospectively done with hundreds of patients on the
3 genotype. We often times will do a 24-subject stud on drug
4 interactions, for example, and then find out a difference in
5 area under curve occurs, and we're very comfortable with
6 putting that small study result into the label.

7 So I'm not understanding how if we want to connect
8 these two together for considering poor metabolizers as both
9 drug interactions and genotype, do we want to separate them?

10 In other words, is there more studies needed on drug
11 interactions as well as genotype?

12 DR. WATKINS: Just sitting here and thinking about it,
13 I've -- I'm someone who educates physicians on
14 pharmacogenetics and drug interactions, and the concept of
15 genetic deficiency, where there's a test for the enzymes
16 always gone is easy to convey. The phenocopying of the poor
17 metabolizer in drug interactions is a more difficult concept
18 to convey, and it seems to me that's relevant in dividing
19 the two when you discuss it, and assuming it goes on the
20 label is to talk about a genetic deficiency, and then under
21 drug interactions say that there are drug interactions that
22 interfere with this enzyme and can produce a state close to

0164

1 the total deficiency, but it -- you know, it just seems to
2 me the two are separate concepts and are easier to convey as
3 two separate concepts.

4 CHAIRMAN VENITZ: Since I started this mess, I think
5 that's relevant to the sub-question that I think we are
6 going to discuss in a minute, in terms of what the label
7 should look like. But I still consider the overarching
8 question here, and that is do we -- does this Committee
9 believe that we have seen sufficient clinical evidence to

10 convince us that poor metabolites, regardless of where the
11 metabolizer comes from, whether it's genotype or phenotype
12 that they are at an increased risk for breast cancer
13 recurrence. And my answer to that question is yes, and it's
14 based on the prospective study that I think we heard about
15 today in very great detail.

16 But I will point out, again, if you look at the
17 multivariate analysis originally just based on genotyping,
18 there was no statistical difference after correcting for
19 other prognostic factors. And I think you heard before that
20 people are concerned about other prognostic factors
21 imbalances in your populations that you're looking at. And
22 you're still looking at small numbers.

0165

1 The only way or the only reason why in today's
2 presentation we found that the multivariate analysis was
3 statistically positive on the -- at least two major
4 outcomes, not the overall survival -- was the fact that in
5 addition to the genotype, the drug interactions were
6 considered.

7 That's the reason why in my mind they are linked,
8 because that's the evidence that I've seen today, which is,
9 to me, personally convincing evidence.

10 DR. LESKO: Yeah, I think it's accurate the way you've
11 pointed out. The P-value on the data that was based on
12 genotype alone from the analysis of, you know, the 200
13 subjects in study, let's say 2 -- what was that study.
14 Yeah, the 52 study, if you look at the relapse-free time,
15 which was a collective endpoint, I think that was done based
16 on genotype alone. That was not a combined genotype plus
17 drug interactions in it.

18 CHAIRMAN VENITZ: I thought we have --

19 DR. LESKO: That would be on page nine, the graph on
20 the bottom of my handout.

21 CHAIRMAN VENITZ: And I'm looking at the original
22 reference, page nine, 316. That's where Dr. Goetz presented

0166

1 both the unadjusted and the adjusted analysis of the Cox
2 Hazard Model. As he pointed out, when he spoke up a couple
3 of minutes ago, if you look at the unadjusted so he is not
4 considering any other prognostic factors, you do see that
5 the genotype makes a difference in terms of relapse-free
6 time and disease-free survival time. The P-values are less
7 than 0.05 and the confidence level does not include one as
8 far as the Hazard ratio is concerned.

9 But one you start accounting for prognostic factors
10 that may have been imbalanced in comparing the population of
11 wild-type versus non wild-type, then that significance
12 disappears. Okay.

13 DR. GIACOMINI: But it's a difference between the
14 univariate and the multivariate analysis is the difference.

15 CHAIRMAN VENITZ: Right. Yeah, and I'm saying to me
16 the multivariate is the more relevant one because I have a
17 whole bunch of other prognostics factors, some of which we
18 don't even know about, and we have to account for that.

19 So I'm looking at the multivariate analysis to me as
20 my gold standard, and I'm saying if you don't account for

21 the drug interactions, there is no statistical difference,
22 even though the trend goes obviously the way you'd expect it
0167

1 to.

2 But we've already heard about the small sample size.
3 The presentation today updated that information. It did
4 include additional prognostic factors, and it did include
5 the drug interaction, and those, if you look at the effect
6 size, they're actually very similar.

7 DR. LESKO: So you're looking at the 2006 results in
8 terms of outcome by metabolizer?

9 CHAIRMAN VENITZ: The adjustment -- the multivariate
10 analysis is what I'm looking, because that to me is the most
11 important one. It's table three, at the bottom of page
12 9316.

13 DR. CAPPARELLI: It's also on page 11 of the handout,
14 but I think you brought up a key point as well is that
15 really the magnitude of the effect is similar between the
16 two studies.

17 CHAIRMAN VENITZ: Right.

18 DR. CAPPARELLI: So really what I see out of the
19 additional analysis looking at the additional factors is
20 you're removing noise. I mean I think the signal is clearly
21 there and is, you know, in a prospectively collected manner
22 that, you know, it's really the fact that you're getting rid
0168

1 of noise and it's not due to some other factors. And so
2 really it is this poor metabolizer status that is sort of
3 driving this thing, even in -- without the drugs that are
4 there. It's just the issue that there's more noise.

5 CHAIRMAN VENITZ: Well, then, let's look at the data
6 that we have seen from Dr. Flockhart's lab, where they look
7 at the exposure differences, and the exposure differences in
8 terms of the Endoxifen metabolite exposure comparing the
9 different genotypes, the R-squared was .25, and again I
10 think it's on one of the slides, so that means only 24
11 percent of the over variability in exposures -- so we're not
12 looking at outcomes now -- is accounted for by genetics.

13 So what about the other, what is it 70 something
14 percent?

15 DR. CAPPARELLI: Twenty-four percent is high actually.
16

17 CHAIRMAN VENITZ: Well, but remember what you are
18 asking us to consider whether we have sufficient evidence,
19 and I'm saying in my mind I cannot link the two. I cannot
20 unlink the two.

21 DR. LESKO: I would just point out, too, there's -- in
22 relabeling products, linking them together to account for
0169

1 poor metabolizer status is something we would deal with in a
2 way in which the information would go in labels in different
3 sections of the label.

4 CHAIRMAN VENITZ: I'm still --

5 DR. LESKO: Yeah.

6 CHAIRMAN VENITZ: -- overall question.

7 DR. LESKO: I understand.

8 CHAIRMAN VENITZ: I'm still on that point.

9 DR. MORTIMER: I guess my problem with this is not
10 that I don't believe that it's Endoxifen and that inhibition
11 with other agents is affecting. My problem is with the
12 robustness of the data, and since, you know, the first trial
13 that Dr. Goetz published really is a retrospective level one
14 kind of trial, I feel much more comfortable with that data
15 than going back and trying to collect drug information --
16 concurrent meds, which you know are notoriously difficult to
17 rely on.

18 And the other problem I have is that the most -- the
19 majority of my 20 plus year career is I have believed that
20 hydroxy-Tamoxifen was the most important anti-estrogen, and
21 so it's been with the last 10 years that we identify
22 Endoxifen, and I guess until we have that data that says it

0170

1 is Endoxifen, how do we know it's not some other factor that
2 we have yet to identify?

3 DR. BARRETT: I think the thing that we're all
4 struggling with is the -- what we're calling clinical
5 evidence here is not really specifically defined, and if you
6 view this in the context -- I mean what we would like to
7 have if we were writing the best labeling we could would be
8 the results of the prospective study, where you would have
9 adequate sample size and in a well-defined study with, you
10 know, pre-constructed hypotheses you could derive the kind
11 of statements, but you know now, again, Dr. Flockhart
12 mentioned how far away from good labeling we are because
13 this agent is so old when the original labeling was put
14 together.

15 But I don't think I'm drinking the purple grape juice
16 in looking at all of this data in the composite and saying
17 that, you know, there seems to be reasonable evidence to --
18 reasonable clinical evidence. It does require you to at
19 least make these kinds of bridges. There are some
20 assumptions here, but if I was going to do this from scratch
21 in terms of constructing a dose exposure relationship where
22 you would rank all of these potencies -- I mean you do have

0171

1 information about the potencies of these various moieties,
2 and I, you know, respect the comment that, you know, perhaps
3 in the past when this kind of discrimination wasn't
4 available whereas an improper association of the relevant
5 moieties to clinical outcome, but I think you do know a lot
6 more now than you did a while ago, so -- but I don't think
7 clinical evidence here is going to come from a single study
8 where you can point to that P-value and feel good about the
9 sample size. It's just not there. So that's it.

10 DR. MCLEOD: Larry, I think one of the reasons why
11 you're seeing some hesitancy around the table, at least,
12 well, I can only speak for myself, is we're looking at this
13 in two different directions. One is looking at it from the
14 standpoint of constructing clinical guidelines, and there
15 isn't enough data to construct those, but that's also not
16 our remit.

17 If you look at it from detecting risk signal and
18 changing the package insert in terms of risk, I think there
19 is sufficient data to indicate there is a patient sub-group

20 that has a risk of a bad -- of outcome, and that is what I
21 think we need to act on. And the rest of the information
22 that will make us more comfortable with constructing

0172

1 clinical guidelines will not only follow, but also will not
2 be developed by this Committee in the first place.

3 DR. RELLING: Yeah, I guess I just wanted to say that
4 I think it's not absolutely necessary that the further
5 clinical trial evidence that will make everyone feel more
6 comfortable that the association between 2D6 poor
7 metabolizer status and recurrence is real, it doesn't
8 necessarily have to come from a new prospective study.

9 And I was trying to gather from everything that Dr.
10 Goetz and others said, are there other retrospective
11 analyses given all the huge breast cancer trials that have
12 gone on in this country and in Europe over the last five or
13 10 years where we could gather together another uniformly
14 treated group of ER-positive patients and get additional
15 evidence that would be an independent clinical trial?

16 I think the one trial that's been presented has been
17 carefully analyzed and we've talked about it enough. I can
18 show you plenty of single clinical trial with more patients
19 than this that would support other polymorphisms, but I
20 wouldn't put forward changing the package insert based on
21 that without independent confirmation in another trial.

22 So will that evidence be forthcoming soon from some

0173

1 other trials?

2 DR. FLOCKHART: So we have a large number -- sorry.
3 I'm Dave Flockhart from Indiana University. We have a large
4 number of other trials in process at the moment. I think
5 the most valuable thing we might be able to do prospectively
6 would be a metastatic trial, so where we find out relatively
7 quickly where the patients respond to Tamoxifen and a
8 genotype-controlled trial conducted prospectively in this
9 country would be possible with one of the cooperative
10 groups.

11 Now having said that, there are a large number of
12 examinations of retrospective data that are currently
13 ongoing. These include examinations of the IES trial, the
14 International Exomestane Trial, which is Exomestane versus
15 Tamoxifen trial. They include examination of the ATAC trial
16 data. They include data looking retrospectively into
17 studies in Australia; the retrospective examination of the
18 prospective trial conducted in Italy, the Italian
19 Chemoprevention Trial, and last but not least this thing
20 that's been referred to several times about Michelle
21 Ikenbaum's data, which is a retrospective look just at
22 German cancer patients treated with Tamoxifen, and that has

0174

1 been conducted, and we have only rumors to really suggest
2 that that -- because we can't examine the data.

3 So there's a lot of data that would be relevant to
4 something like an ASCO guideline in terms of what to do with
5 this.

6 But I'd submit to you but this is not an ASCO
7 guideline committee. This is a label committee.

8 DR. MORTIMER: I would also argue in the question that
9 if we're -- that we perhaps take out women with ER-positive
10 breast cancer. My concern is who uses Tamoxifen right now.
11 It's, you know, variably used in ER-positive breast cancer
12 because the Aromatase inhibitors are sort of supplanting it
13 because of acute toxicity profile, but the population of
14 chemoprevention of women who have ductal carcinoma in situ,
15 of people who have lobular carcinoma in situ, my guess is
16 that's the largest population, and those people we may not
17 have estrogen receptor status on them, and so if they're
18 adversely affected, and they are going to be on a drug for
19 five years, I think that's a bigger issue.

20 DR. PAZDUR: I just wanted to kind of frame this in a
21 regulatory perspective.

22 You know we always look at a risk-benefit association
0175

1 here, and I think one of the speakers was getting at that.

2 You know, let's face it, this is less than a perfect
3 dataset, okay, but we live in a less than perfect world in
4 making regulatory decisions. So I guess we have to take a
5 look at it from a risk-benefit association. We're not
6 talking about the approval of a drug here, and basically I
7 would almost look at this in the context of some of the
8 decisions when we take a look at drug safety, where we don't
9 have a lot of information, but we're compelled to make a
10 decision, and lack of efficacy in a population truly is a
11 safety issue, if you're denying people effective drugs that
12 even could be considered a safety issue in a context -- in
13 that context.

14 But from a risk benefit association, or a discussion
15 period of time, if somebody has this information in the
16 label and does not get Tamoxifen, they have the alternative
17 of getting an AI, which may be in many minds a better
18 therapy for people.

19 So from a risk-benefit association, you know there
20 isn't a major issue here that I could see, unless somebody
21 would like to comment on that.

22 You know, here again, everybody would like to have two
0176

1 prospective randomized trials here with this as the primary
2 endpoint of the trial. With all of this pharmacogenomic
3 data that we're getting whether we talk about the Cumatin
4 label, whether we talk about 6MP, whether we talk about
5 Irinotican, most of these are being done by investigator
6 community trial-the investigator community or the academic
7 community rather than drug companies. We're not going to
8 have these large randomized trials, so we're not going to
9 have these perfect databases.

10 So we're compelled to look at this in a risk-benefit
11 relationship of what is the advantage of having this in the
12 label versus not having it in the label, and here again we
13 live in a less than perfect world, and if it's not in the
14 label, basically somebody potentially, you know, could be
15 denied a therapy -- a choice of therapy. But the choices
16 that are there, there is not an inferior necessarily regimen
17 that one is getting on if it is in the label.

18 CHAIRMAN VENITZ: Okay. Any additional comments?

19 Okay. Dr. Relling.

20 DR. RELING: I totally agree with what Dr. Pazdur
21 just said, which makes the questions that were asked about
22 are there any data at all about the other Aromatase

0177

1 inhibitors in CYP2D6 poor metabolizers, and there are not I
2 believe. Well, unless -- are there?

3 CHAIRMAN VENITZ: I think we've beaten question number
4 three to death. I think you've got some spectrum of opinion
5 in terms of what the clinical evidence supports and what it
6 may not support. Is that a fair statement?

7 Okay. Then assuming that I think we're leading more
8 towards the yes part, can we look at the first sub-question,
9 or is there any disagreement that overall we're leaning more
10 towards yes than towards no. In other words, if we look at
11 the sub-question one as opposed to sub-question two.

12 Okay. So now our sub-question is, let's assume we've
13 seen sufficient evidence that poor metabolizer status puts
14 patients at risk, should the Tamoxifen label include
15 information about increased risk for breast cancer
16 recurrence in those poor metabolizers that are prescribed
17 Tamoxifen? Howard.

18 DR. MCLEOD: I wondered if either Rick or Larry or
19 someone from the FDA could allow us to see what sort of
20 terms they might want to propose in the package insert
21 around this question. I was, you know, suggesting some term
22 like that might come to mind, but I don't know what the

0178

1 minimum -- what the types of terms can actually be used in a
2 package insert. I mean does it have to be definitive type
3 term or can it be a possible pre-disposition type of term?

4 DR. PAZDUR: You mean more of a subjective terminology
5 rather than you must do this?

6 DR. MCLEOD: Right.

7 DR. PAZDUR: Yes. You know there could be suggestions
8 that present the data that this has been shown in a
9 recommendation of a physician should be aware of this. We
10 frequently do that because when a drug is approved, there's
11 a lot of information that is not known about a drug and
12 frequently we warn people that, you know, this information
13 is not available, and they should use clinical judgment in
14 making a decision, et cetera.

15 So, yes, it doesn't have to be a definitive statement.

16 And here, again, this is a risk-benefit association or
17 discussion that one should have about what is the value of
18 that information being in the product label versus not being
19 in the product label.

20 DR. MCLEOD: For almost every drug I guess except
21 Tamoxifen, high bilirubin is an indication. Most of the
22 time the data for high bilirubin being a problem is

0179

1 non-existent, but it's still there.

2 DR. PAZDUR: Well, as we've pointed out on numerous
3 occasions, you know there are many areas that are in the
4 product label that suggest modifications for age, and, you
5 know, that just happens to be thrown in there, because it
6 was put in the product -- the clinical protocol and has

7 really been very poor studied, but follows through the drug
8 through the lifespan of that drug and we have no idea what
9 -- and many times -- what the true benefit of that
10 recommendation is. It's kind of a clinical judgment that
11 people make.

12 But to have hard proof is sometimes lacking. It was
13 what was in the protocol that a certain age was restricted
14 from going on, and we just don't have that information.

15 DR. LESKO: Yeah, I think the other perspective is
16 that re-labeling a product with new information is not all
17 or none. What we put in the label in terms of the wording
18 and where it goes in the label is driven by the evidence
19 that's available.

20 So I think you've seen this with the prior discussions
21 that we've had here, where for Irinotecan we had data that
22 suggest that we ought to put this in the dosage and

0180

1 administration section. For some other drug, we might put
2 it in the clinical pharmacology section or some other drug
3 maybe in the warning section.

4 So I think the evidence drives where and what we say
5 in the label, not does it go in or not.

6 DR. BARRETT: Yeah, I think the way that, Chris, the
7 question is written -- I mean I don't know how you can't
8 conclude that it should be in there, because if you're
9 willing to buy the first part of this that you see clinical
10 evidence, then why wouldn't you want it in there. I mean we
11 can talk about the details of how it gets in there and, you
12 know, how conservative the statement is, but, as you pointed
13 out, Larry, historically labels have come under criticism
14 when the have been least informative. So this is an
15 opportunity I think to -- if you feel compelled to actually
16 put this in here regarding this association, then it
17 actually should be worded correctly in terms of the
18 magnitude of this risk.

19 CHAIRMAN VENITZ: I would concur with that, but I
20 would also add there's an additional update in the label
21 about the entire drug metabolism section that is relevant
22 based on Dave's presentation.

0181

1 Right now, there's no mention of Endoxifen in there,
2 forget the fact that we have some comparison in terms of the
3 relative exposures. In addition to, I mean this question or
4 sub-question I guess really deals with the increased risks,
5 meaning the outcomes, and I'm saying there's additional
6 evidence that we saw today, mechanistic evidence about
7 activity of metabolites, exposures to metabolites, that are
8 nowhere to be found in the current label.

9 So I think the label, the re-labeling language should
10 go beyond just expressing the fact that we have a
11 prospective study with all the limitations that we talked
12 about that indicates it puts patients at risk.

13 DR. LESKO: Yeah, we've not been sort of ignorant to
14 the drug interaction issue with the drug. We have
15 discussions in a working group about the drug interactions
16 and what to put in the label about that. So that process is
17 underway.

18 What's new sort of today is the sort of the
19 recommendation of the Committee to kind of think of these in
20 the same light, both functionally doing the same thing to
21 the patient in terms of increasing risk, both valuable
22 information pieces that a physician or patient might want to
0182

1 know by using the drug.

2 CHAIRMAN VENITZ: The mechanistic piece of causality
3 assessment? We just need data?

4 DR. LESKO: Right.

5 DR. MCLEOD: I think the data that Sally and others
6 presented certainly support an update of the clinical
7 pharmacology section, but I would also put forward that the
8 data that's presented is at least as strong, if not
9 stronger, than most of the risk signals identified in the
10 dosage and administration section; and would encourage that
11 information to be included in that section, and not only
12 because that's one of the few sections that are likely to be
13 read by most physicians, but it also is the section that is
14 read by important non-regulatory bodies, such as those who
15 reimburse for costs of testing. And so that's not maybe
16 within our remit, but I think that conveying the information
17 -- it needs to be in that section for multiple practical
18 reasons.

19 DR. PAZDUR: Remember also when we discuss drug
20 labeling, there's a party that is not here that is very
21 important, and that is the commercial sponsor who has
22 ownership over this label in a sense. So those discussions
0183

1 need to be had with the FDA and the commercial sponsor.

2 One of the reasons I think probably we're having this
3 meeting is obviously it's a public meeting, and they need to
4 hear the voices of the community to be pushed in order to do
5 some of these changes, and I think your point as far as
6 updating other parts of the label are important. If we are
7 opening it up for label negotiations, those could be
8 addressed also.

9 CHAIRMAN VENITZ: Any other comments? Then can I
10 summarize that the feeling of the Committee is that the
11 label should be updated to reflect this increased risk?

12 Nobody is in violent opposition to that; right? Okay.

13 Then let's move onto the last question, question number
14 four.

15 DR. RAHMAN: We are again asking the Committee to
16 discuss the issue that is there sufficient scientific and
17 clinical evidence to support revisions of the Tamoxifen
18 label that recommends CYP2D6 genotype testing for
19 post-menopausal patients before they are prescribed
20 Tamoxifen for adjuvant treatment?

21 CHAIRMAN VENITZ: Any comments?

22 Let me stick out my head first. I'm not sure whether
0184

1 I would go as far as saying recommend. Okay. In other
2 words, I think we should provide the evidence and leave it
3 up to the judgment of the health care provider and I think
4 what drives you more than anything else is Dr. Goetz's own
5 statement that he basically refers to the patient, explains

6 to them what the evidence suggests and then have them at
7 least help to decide whether they should undergo genetic
8 testing.

9 But recommend to me would stipulate that we think it
10 should be done. I think it should be discussed.

11 DR. MCLEOD: When we reviewed Warfarin last year,
12 there was multiple studies from three different continents
13 that found a similar finding, and in that case we were able
14 to come down with stronger recommendations on, you know,
15 from this Committee.

16 In this case with one very good and a lot of study
17 circumstantial evidence, I don't think we could be at the
18 point where we would recommend or -- which I think would be
19 interpreted as band-aid testing prior to prescription of the
20 drug. I think practically that will come out from
21 guidelines that develop as more data is developed, but
22 putting it in the right section with supportive information

0185

1 that this is a risk signal, I think is the way we should go
2 forward in my personal view.

3 DR. RELLING: I guess my view is that if we accept
4 three, then I accept four. I mean my problem is I didn't
5 have strong objections to saying okay to three, but I have,
6 you know, some objections because it's one trial. So that,
7 to me, is the question -- to me, it's wishy washy. We as
8 scientists and clinicians should be able to recommend to
9 patients what to do. Patients should not have to make this
10 decision. I mean, of course, they can make the decision
11 themselves, but we should be able to recommend what the
12 right decision is.

13 So to me, the problem is that the evidence for three
14 is still only one study. If three were supported by more
15 than one study, then I would wholeheartedly endorse three
16 and, of course, I would endorse four.

17 The only reason -- so to me, since we've already said
18 we don't have strong objections to three, then four should
19 be there. Yes, we should recommend if we think that the
20 evidence supports that 2D6 genotype can affect relapse risk
21 from Tamoxifen, the patients should be tested for 2D6
22 genotype.

0186

1 CHAIRMAN VENITZ: Anyone else? Joanne.

2 DR. MORTIMER: I'm going to argue the adjuvant again.
3 I mean if we're going to -- if we make the assumption that
4 there is an impact, I think most of the people who are
5 getting -- or a good chunk of the people who are being
6 prescribed Tamoxifen are not the setting that Dr. Goetz's
7 trial was done. It's more the Italian study. It's more the
8 non-invasive cancers, so I'd argue to take out to recommend
9 or consider testing in post-menopausal women who are
10 prescribed Tamoxifen.

11 CHAIRMAN VENITZ: Howard.

12 DR. MCLEOD: There are currently seven indications for
13 Tamoxifen in the context of breast cancer. Do the
14 recommendations have to separately comment on any or all of
15 those or -- as kind of a follow up to Joanne's comment?

16 I mean there's no data for --

17 DR. PAZDUR: Not necessarily. I think, you know, you
18 have to look at the risk -- here, again, it's a risk-benefit
19 association or discussion that we're having for each of
20 these; okay? So you know it's what the data shows
21 basically, and you know the overwhelming -- you know, would
22 you consider a difference -- why should there be a

0187

1 difference in the adjuvant versus the metastatic disease?
2 You know that needs to be discussed since we're dealing with
3 basic pharmacology here and one would not expect a
4 difference necessarily in the mechanism of action of the
5 drug. But here again, the data may not be there in these
6 specific areas, and then what would be the risk of making
7 those decisions?

8 Here, again, you know, I think it's important that you
9 realize that FDA does not mandate or does not control the
10 practice of medicine on individual patients here, so, you
11 know, generally if people have a data issue where they need
12 further discussion that could be somehow stated in the label
13 that, you know, it could be suggested that this test be done
14 and further discussion needs to be had with the patient.

15 CHAIRMAN VENITZ: Ed?

16 DR. CAPPARELLI: Just one other point that if -- and I
17 agree with putting some information in the dosing component
18 that links to this, but if we put that there, it would be
19 nice to have some reference as well to sort of phenotype,
20 so, you know, in terms of assessment of 2D6 metabolism,
21 because, as was pointed out earlier, that's where it's going
22 to be read and the drug interaction stuff, which may

0188

1 actually be a larger part of the population, may get missed
2 in terms of the SSRI drug interaction in particular, but
3 other 2D6 inhibitors.

4 CHAIRMAN VENITZ: Kathy?

5 DR. GIACOMINI: Yeah, so I'm also concerned with one
6 trial only.

7 On the other hand, I feel like the evidence with the
8 mechanism, because there is -- often there is just an
9 association with a snip and you have no idea what the
10 mechanism is, and then I want replication studies. Here
11 there's strong mechanism and a trial, and trials to become,
12 so that's more persuasive to me with the biology to, you
13 know, vote a little bit more or not vote a little bit more
14 strongly.

15 Also, I guess from my point of view, adjuvant and
16 those different modifiers I agree also that those should be
17 removed right now, because we are dealing with what the
18 pharmacology, and I don't see that there is any feeling, any
19 rationale, at all for just specifying adjuvant therapy only
20 or metastatic disease, especially again with the mechanism.

21 And then the thing that really concerns me is that if
22 I were a patient, and this wasn't in the label, and then I

0189

1 wouldn't find this out, I mean that's what concerns me.
2 That's why I feel like we should act now in a more positive
3 way than have this not available in the product label so
4 people aren't even aware of this potential for, you know,

5 for maybe you're not -- if you have a bad CYP2D6 genotype or
6 you're on this drug and a bad CYP2D6, then you make a
7 choice. You're sitting there with your physician and you
8 make a choice to go on with -- and you shouldn't maybe. You
9 should have made a different choice, and, although I know we
10 should be telling patients with me and my physicians it's
11 often a discussion. And I'd just like that information
12 there.

13 CHAIRMAN VENITZ: Any additional comments?

14 DR. RAHMAN: Can I?

15 CHAIRMAN VENITZ: Yeah. Go ahead.

16 DR. RAHMAN: I wanted to mention about the
17 availability of the test. In the past, we have approved
18 recommendations in the label without -- with concerns about
19 the availability of the test. And here, we have an
20 FDA-approved test, and here we have tests that are available
21 in national laboratories and other places, so people have
22 easy access to this kind of test, if they wanted to get it.

0190

1 And in the label, we have many sections where we can
2 put in recommendation about testing; one is the laboratory
3 tests sections and the other is the dosage and
4 administration sections.

5 So we have the choice of putting this information in
6 some way to inform the patients and the physicians that
7 there are tests available.

8 CHAIRMAN VENITZ: Paul?

9 DR. WATKINS: Just one other issue I think to put it
10 to rest, but since people on potent 3A inducers would
11 phenocopy as a rapid metabolizer so that we already know
12 that in the real world genotype won't correlate with
13 phenotype exactly. What actually is the feasibility -- it
14 was brought up before of Endoxifen plasma level since as I
15 understand it everything has a long half life. It wouldn't
16 even matter when in the day you measured a sample. And is
17 that -- I mean I think it wouldn't be economically feasible,
18 but compared to 300 buck, I mean does how that stack up?

19 DR. FLOCKHART: Well, without commenting on that as a
20 surrogate, those are -- inducers would lower Endoxifen
21 concentration. Again, so I -- but we have very, very little
22 data really to support that, Paul.

0191

1 We have I think maybe three patients -- three patients
2 taking heavy inducers, and we haven't studied it formally.
3 We would like to study it formally.

4 The other thing I think relevant to Dr. Pazdur's
5 comments about risk-benefit analysis, I think risk-benefit
6 is not the same for all patients on Tamoxifen, because some
7 people have choices and some people don't.

8 Post-menopausal patients have a wide range of
9 Aromatase inhibitor choices. Pre-menopausal patients just
10 have a much, much more limited series of choices. So I
11 actually think you have to separate out the patient
12 population in talking about risk benefit.

13 CHAIRMAN VENITZ: Paul?

14 DR. WATKINS: Just so to get back to the question, so
15 if there are 500,000 women on Tamoxifen now, it would seem

16 to me it would make more sense to measure their Endoxifen
17 levels than to CYP2D6 genotype them, because that will tell
18 you their relevant phenotype, or am I missing something?

19 DR. FLOCKHART: We don't have any study where we've
20 taken Endoxifen concentrations and correlated them with
21 outcome. That's a problem. We have Endoxifen
22 concentrations with bone density; Endoxifen concentrations

0192

1 with platelet change that correlates, that makes sense. But
2 we don't have Endoxifen concentration with outcome, to be
3 honest this is rather like what Howard was talking about
4 before, I don't see that happening. You need thousands of
5 patients to do that kind of thing. I don't see it happening
6 in the foreseeable the future.

7 DR. WATKINS: Is the assay difficult or?

8 DR. FLOCKHART: No, no, no. No, absolutely, it is not
9 a difficult thing to do and what you say pharmacologically
10 is absolutely true: the concentrations do not do this.
11 They're very smooth, so I think most likely it is a pretty
12 stable thing.

13 DR. GOETZ: I'll just make one -- Matthew Goetz from
14 Mayo Clinic.

15 [Laughter.]

16 In terms of the recommendation for testing Endoxifen,
17 I think one of the key issues is that if we're going to put
18 a patient on Tamoxifen, we need to know whether she's
19 genotypically a poor metabolizer up front. And the reason
20 is because I think there would be ethical issues of waiting,
21 let's say, four to six months to get steady state levels and
22 say okay now what is your Endoxifen level, when, in fact,

0193

1 her risk is greatest within those first two years.

2 So you're right, though, for let's say, for example,
3 an extensive metabolizer or an intermediate metabolizer,
4 where knowing this information after four to six months and,
5 you know, subtle changes in Endoxifen may be important.

6 But from what we can see from poor metabolizers, their
7 phenotype is really quite pronounced and Endoxifen are low
8 really from the get go.

9 CHAIRMAN VENITZ: Larry.

10 DR. LESKO: Now, I think it's important for us at FDA
11 to come away from this morning discussion with a
12 recommendation from the Committee. In past Committee
13 meetings, I have asked for votes on different issues, and we
14 can't do that here, but I wonder if it would be worthwhile
15 going around the table and asking for an opinion. What I've
16 heard is somewhat of a mixed opinion: let's update the
17 label; let's possibly recommend a test; let's probably
18 update the label, but stop short of recommending a test.
19 And I'd like to get a consensus by going around the table if
20 you think it's a good idea, Dr. Venitz, and get each person
21 to comment on the updating of the label.

22 CHAIRMAN VENITZ: Well, let me try to handle it

0194

1 without us --

2 DR. LESKO: Okay.

3 CHAIRMAN VENITZ: -- going through a vote.

4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
0195
1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

DR. LESKO: I didn't say vote.

CHAIRMAN VENITZ: I know. I know. I'm going back now to sub-question one to question three, because I think that's where you start off.

I think we had maybe not consensus, but at least a prevalence of opinion that the label should be updated to reflect the increased risk, as well as additional mechanistic stuff that was presented to us today. Nobody is screaming at me. So I think we have consensus on that. Unanimous consensus.

Number four, I interpret what I heard, a divergence of opinion. Some of us feel the test, the genetic test, should be recommended. Some of us feel it should be presented in the label as an option, as being available to patients. And that's kind of the spectrum of opinion. I don't think we have consensus on that. Is that reflecting the Committee's -- does anybody disagree with that violently?

DR. MCLEOD: I think the other -- Howard McLeod. The other end of it is that I think all of us feel or most all

of us feel that actions should be taken to put this information in the appropriate section of the package insert, so it's not just -- the nuance is how strong, whether it's require versus suggest. The nuance is not should it be there in the first place.

CHAIRMAN VENITZ: That's fair. Does that?

DR. LESKO: That answers my question.

CHAIRMAN VENITZ: Okay. Any further comments? I think we've done our job. I think we're ready to break for lunch. I'm asked to remind the Committee members not to discuss any issues amongst themselves. Everything has to be discussed in public. And we reconvene and start in -- what?

Half an hour? We have a one-hour lunch break, so we reconvene at a quarter to two. At 1:45 p.m.

[Whereupon, the Committee stood in recess to reconvene at 1:45 p.m. the same day.]

0196
1
2
3
4
5
6
7
8
9
10
11
12
13
14

15

P R O C E E D I N G S

16 October 18, 2006
Session)

(Afternoon

17

18

CALL TO ORDER

19

20

CHAIRMAN VENITZ: Can we please reconvene? Can the
Committee please take their seats?

21

Okay. Welcome back from lunch everyone.

22

We are now starting our topic two, Evaluation of

0197

1

Transporter-Based Drug Interactions, and we're going to
start our discussion by Ms. Phan giving the COI disclosure.

3

CONFLICT OF INTEREST DISCLOSURE

4

DR. PHAN: This is the Conflict of Interest Statement
for Topic 2, Transporter-Based Drug Interactions.

6

The following announcement addresses the issue of
conflict of interest and is made part of the record to
preclude even the appearance of such at this meeting.

9

10

This meeting is being held by the Center for Drug
Evaluation and Research of the Clinical Pharmacology
Subcommittee of the Advisory Committee for Pharmaceutical
Science will discuss and provide comment on the second
topic, Evaluation of Transporter-Based Drug Interactions.

14

Unlike issues before a Committee, in which a
particular product is discussed, the issue of product
applicability, such as the topic of today's meeting involves
many industrial sponsors, academic institutions.

18

The Subcommittee members have been screened for their
financial interests as they may apply to the general topic
at hand.

21

Because general topics impact so many institutions, it
is not practical to recite all potential conflicts of

0198

1

interest as they may apply to each member.

2

In accordance with 18 USC 208B, full waivers have been
granted for the following participants: Drs. Jurgen Venitz,
Jeffrey Barrett, Edmund Capparelli, Marie Davidian, Kathy
Giacomini, William Jusko, Jacob Mandema, and Paul Watkins.

6

Waivers documents are available at the FDA document
Web site. Specific instructions as to how to access the Web
page are available outside today's meeting room at the FDA
Commission table.

10

In addition, a copy of all waivers can be obtained by
submitting a written request to the agency's Freedom of
Information Office, Room 12A-30, at the Parklawn Building.

13

FDA acknowledges that there may be potential conflicts
of interest, but because of the general nature of the
discussion before the Committee, these potential conflicts
are mitigated.

17

In the event that discussion involves any other
products or firms not already on the agenda for which FDA
participants have a financial interest, the participants'
involvement and their exclusion will be noted for the
record.

22

With respect to all other participants, we ask in the

0199

1

interest of fairness that they address any current or

2 previous financial involvement with any firm whose products
3 they wish to comment on.

4 CHAIRMAN VENITZ: Thank you, Mimi.

5 Our first presenter is going to be Dr. Shiew-Mei
6 Huang. Dr. Huang is the Deputy Director for Science in the
7 Office of Clinical Pharmacology, and she's going to
8 introduce Topic 2.

9 KEY ISSUES IN THE EVALUATION OF DRUG INTERACTIONS

10 DR. HUANG: Thank you, Jurgens, and good afternoon.

11 Our focus this afternoon will be on transporters and
12 their role in drug interactions.

13 I will discuss the critical messages of the draft
14 guidance on drug interactions, which was published last
15 month, focusing on the progress and our recommendation
16 between the CYP-based or transporter-based interaction.

17 And I'll discuss in more detail our proposed method to
18 evaluate transporter-based interactions and I'll give some
19 recent labeling examples, followed by questions for the
20 Committee.

21 The FDA has issued guidance on drug interactions about
22 nine years ago, first on in vitro evaluation, and followed