

## U.S. FOOD AND DRUG ADMINISTRATION

+ + + + +

NONPRESCRIPTION DRUGS ADVISORY COMMITTEE (NDAC)  
WITH THE  
GASTROINTESTINAL DRUGS ADVISORY COMMITTEE (EDAC)

+ + + + +

JOINT PUBLIC MEETING

+ + + + +

FRIDAY,  
JUNE 21, 2002

+ + + + +

BETHESDA, MARYLAND

+ + + + +

The Joint Meeting commenced at 8:00 a.m.  
in the Versailles Rooms I and II at the Holiday Inn,  
8120 Wisconsin Avenue, Bethesda, Maryland, Louis R.  
Cantilena, Jr., M.D., Chairman, NDAC, presiding.

MEMBERS PRESENT:Nonprescription Drugs Advisory Committee:

Leslie Clapp, M.D., Member  
Frank F. Davidoff, M.D., Member  
Julie A. Johnson, Pharm. D., Member  
Y.W. Francis Lam, Pharm. D., Member  
Sonia Patten, Ph.D., Consumer Rep.  
Donald L. Uden, Pharm. D. Member  
Henry W. Williams, Jr., M.D., Member

Gastrointestinal Drugs Advisory Committee:**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

M. Michael Wolfe, M.D., Chair  
Michael Camilleri, M.D., Member  
Susan Cohen, Consumer Representative  
Byron Cryer, M.D., Member  
Ronald P. Fogel, M.D., Member  
Nancy L. Geller, Ph.D., Member  
George S. Goldstein, M.D., Guest Industry Rep.  
John T. LaMont, M.D., Member  
Robert A. Levine, M.D., Member

NDAD Consultants Present:

Eric P. Brass, M.D., Ph.D.  
Edwin E. Gilliam, Ph.D.  
Richard A. Neill, M.D.

NDAD Industry Guest Present:

Michael C. Alfano, D.M.D., Ph.D.

FDA Staff Members Present:

Jonca Bull  
Charles Ganley, M.D.  
Florence Houn  
Victor Raczkowski, M.D.  
Sandra Titus, Ph.D.

Also Present:

John A. Gans, Pharm.D., American Pharmaceutical  
Association  
Linda Golodner, National Consumers League  
Robert M. Niecestro, Adrix Labs  
Susan Winckler, American Pharmaceutical  
Association

A-G-E-N-D-A

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

**Call to Order, Introductions**

Louis Cantilena, M.D., Ph.D., NDAC Chair ..... 5

**Conflict of Interest Statement**

Sandra Titus, Ph.D., Executive Secretary, NDAC ..... 8

**Welcome and Introduction to Today's Issues**

Victor Raczkowski, M.D., Deputy Director, ODE II &amp; Acting Director, Division of Gastrointestinal and Coagulation Drug Products ..... 11

**Open Public Hearing** ..... 14**Procter and Gamble Presentations:****Overview**

Keith C. Triebwasser, Ph.D., Senior Director, Regulatory Affairs, Procter and Gamble ..... 44

**Clinical Perspective**

David Peura, M.D., Associate Chief of Gastroenterology, University of Virginia ..... 50

**Efficacy and Consumer Use**

Douglas Ws. Bierer, Ph.D., Director OTC Drug Development, Procter and Gamble ..... 56

**Safety Update in the OTC Setting**

Douglas Levine, M.D., Chief Medical Officer, Gastrointestinal Therapeutic Area, AstraZeneca LP ..... 73

**Benefit/Risk**

Nora Zorich, M.D., Ph.D., Vice President Pharmaceuticals, Procter &amp; Gamble ..... 78

**Summary**

Keith C. Triebwasser, Ph.D. .... 91

**Questions from the Committee to Procter and Gamble** ..... 94**Break****FDA Presentations:****Summary of 2000 NDAC/GIAC meeting on Prilosec and Overview of Efficacy****NEAL R. GROSS**COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

Mark Avigan, M.D., Medical Officer, Division of  
Gastrointestinal and Coagulation Drug Products ... 131

**Label Comprehension Studies**

Karen Lechter, J.D., Ph.D., Office of Drug  
Safety ..... 144

**Actual Use Study**

Daiva Shetty, M.D., Medical Officer, Division  
of Over-the-Counter Drugs ..... 154

**Questions from the Committee to the FDA** ..... 165

**Lunch Break**

**Charge to the Committee**

Linda Katz, M.D., MPH, Deputy Director,  
Division of Over-the-Counter Drugs ..... 207

Adjourn

1

P-R-O-C-E-E-D-I-N-G-S

2

(8:06 a.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. CANTILENA: Good morning everyone and  
2 welcome to the Nonprescription Drug Advisory and the  
3 GI Drug Advisory Committee. I'm Dr. Lou Cantilena,  
4 Chief of Clinical Pharmacology at the Uniform  
5 Inservices University here in Bethesda. I'll be  
6 chairing this meeting.

7 We will start off with the conflict of  
8 interest statement. Actually we'll start off by going  
9 around and introducing ourselves. I have already done  
10 that so if we can start at this end over here and  
11 introduce this way.

12 DR. GOLDSTEIN: I'm George Goldstein. I'm  
13 the industry representative for the Advisory  
14 Committee.

15 DR. ALFANO: I'm Michael Alfano, Dean of  
16 Dental School at NYU and the ILR at the OTC.

17 DR. JOHNSON: My name is Julie Johnson.  
18 I'm from the University of Florida and I'm a member of  
19 the Nonprescription Drug Committee.

20 DR. CRYER: Byron Cryer, member of the  
21 Gastrointestinal Drugs Advisory Committee. I'm a  
22 gastroenterologist from the University of Texas  
23 Southwestern Medical School, Dallas.

24 DR. BROWN: I'm Eric Brown from Harvard  
25 UCLA Medical Center, Department of Medicine. I'm a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 consultant to the committee.

2 DR. CAMILLERI: I'm Mike Camilleri,  
3 gastroenterologist from the Mayo Clinic, Rochester.  
4 I'm a member of the Gastrointestinal Drugs Advisory  
5 Committee.

6 DR. FOGEL: I'm Ron Fogel, Division Head  
7 of Gastroenterology, Henry Ford Health System, and I'm  
8 a member of the GI Drug Advisory Committee

9 DR. WILLIAMS: I'm Henry Williams from  
10 Howard University and a member of NDAC.

11 DR. UDEN: I'm Don Uden from the  
12 University of Minnesota College and member of NDAC.

13 DR. GELLER: I'm Nancy Geller. I'm the  
14 Director of the Office of Vital Statistics Research at  
15 the National Heart, Lung, and Blood Institute and I'm  
16 a member of the GI Advisory Committee.

17 DR. CLAPP: I'm Leslie Clapp,  
18 pediatrician, Buffalo, New York, Associate Professor  
19 of Pediatrics at SUNY UB. Also a member of NDAC.

20 DR. TITUS: I'm Sandy Titus. I'm the  
21 Executive Secretary to NDAC.

22 DR. NEILL: I'm Richard Neill. I'm a  
23 family physician and consultant to NDAC.

24 MS. COHEN: (Inaudible).

25 DR. GILLIAM: I'm Eddie Gilliam. I'm a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 family nurse practitioner from Tucson, Arizona and a  
2 member of NDAC.

3 DR. LEVINE: I'm Bob Levine from State  
4 University of New York, Upstate Medical University in  
5 Syracuse. I'm a gastroenterologist and member of the  
6 GI Advisory Board.

7 DR. LAM: I'm Francis Lam. I'm a member  
8 of the NDAC committee. I'm from the University of  
9 Texas in San Antonio.

10 DR. PATTEN: I'm Sonia Patten. I'm an  
11 anthropologist on the faculty at McAllister College.  
12 I'm from Minneapolis, Minnesota, and I'm a consumer  
13 representative on NDAC.

14 DR. LaMONT: I'm Tom LaMont. I'm a  
15 gastroenterologist, Chief of the Division of  
16 Gastroenterology at the Medical Center in Boston and a  
17 faculty member at Harvard Medical School.

18 DR. DAVIDOFF: I'm Frank Davidoff. I'm an  
19 internist and former editor of the Anals of Internal  
20 Medicine and I'm a member of NDAC.

21 DR. KATZ: I'm Linda Katz, Deputy Director  
22 of the Division of Over-the-Counter Drug Products of  
23 the FDA.

24 DR. GANLEY: I'm Charlie Ganley, Director  
25 of Division of Over-the-Counter Drugs at FDA.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. BULL: Good morning. Jonca Bull,  
2 Office Director, Office of Drug Evaluation and Center  
3 for Drug Evaluation Research.

4 DR. RACZKOWSKI: Good morning. I'm Victor  
5 Raczkowski. I'm the Acting Director of the Division  
6 of Gastrointestinal and Coagulation Drug Products.

7 DR. HOUN: I'm Florence Houn, Director of  
8 Office of Drug Evaluation Three and FDA.

9 DR. CANTILENA: Thank you. Now Dr. Titus  
10 will go through the conflict of interest statement for  
11 the June 21st Meeting of Nonprescription Drugs and  
12 Gastrointestinal Drugs Advisory Committees

13 DR. TITUS: The following announcement  
14 addresses conflict of interest issues associated with  
15 this meeting and is made a part of the record to  
16 preclude even the appearance of such at this meeting.

17 Based on the submitted agenda for the  
18 meeting and all financial interests reported by the  
19 Committee participants, it has been determined that  
20 all interests in firms regulated by the Center for  
21 Drug Evaluation and Research which have been reported  
22 by the participants present no potential for an  
23 appearance of a conflict of interest at this meeting  
24 with the following exceptions.

25 Ft. Michael Wolfe is excluded from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 participating in today's discussion and vote  
2 concerning Prilosec 1.

3 Dr. Eric Brass has been granted a waiver  
4 under 18 U.S.C. 208(b)(3) for unrelated consulting  
5 with competitors. He receives less than \$10,000 a  
6 year from two of the firms and between \$10,001 and  
7 \$50,000 per year from the third firm.

8 Dr. Michael Camilleri has been graded a  
9 waiver under 18 U.S.C. 208(b)(3) for his participation  
10 as a consultant on unrelated matters for five firms  
11 that have financial interests in competing products.  
12 He receives less than \$10,001 a year from each firm.

13 Susan Cohen has been graded waivers under  
14 18 U.S.C. 208(b)(3) and 21 U.S.C. 355(n)(4) amendment  
15 of Section 505 of the Food and Drug Administration  
16 Modernization Act, for ownership of stock in  
17 competitors. The first two stocks in competitors are  
18 valued between \$5,001 and \$25,000. The other two  
19 stock holdings are valued between \$25,001 and \$50,000.

20 Dr. Byron Cryer has been granted waivers  
21 under 18 U.S.C. 208(b)(3) and 21 U.S.C. 355(n)(4)  
22 amendment of Section 505 of the Food and Drug  
23 Administration Modernization Act, for shares of stock  
24 in the manufacturer of the product at issue and a  
25 competitor; and for consulting on unrelated matters

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for a competitor.

2 The stock in the manufacturer of the  
3 product at issue and a competitor is valued at less  
4 than \$5,001. The unrelated consulting for a  
5 competitor is valued between \$10,001 to \$50,000.

6 Dr. Ronald Fogel has been granted a waiver  
7 under 18 U.S.C. 208(b)(3) and 21 U.S.C. 355(n)(4)  
8 amendment of Section 505 of the Food and Drug  
9 Administration Modernization Act, for shares in a  
10 sector mutual fund valued between \$5,001 and \$25,000.

11 Dr. Robert Levine has been granted waivers  
12 under 18 U.S.C. 208(b)(3) and 21 U.S.C. 355(n)(4)  
13 amendment of Section 505 of the Food and Drug  
14 Administration Modernization Act, for shares of stock  
15 in a competitor valued between \$25,001 and \$50,000.

16 A copy of these waiver statements may be  
17 obtained by submitting a written request to the  
18 Agency's Freedom of Information Office, Room 12A-30 of  
19 the Parklawn Building.

20 We would like to note for the record that  
21 Michael Alfano, Ph.D., and George Goldstein, M.D., are  
22 participating in this meeting as industry  
23 representatives, acting on behalf of regulated  
24 industry. As such, these participants have not been  
25 screened for any conflicts of interest.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 In the event that the discussions involve  
2 any other products of firms not already on the agenda  
3 for which an FDA participant has a financial interest,  
4 the participants are aware of the need to exclude  
5 themselves from such involvement and their exclusion  
6 will be noted for the record.

7 With respect to all other participants, we  
8 ask in the interest of fairness that they address any  
9 current or previous financial involvement with any  
10 firm whose products they may wish to comment upon.

11 DR. CANTILENA: Thank you, Dr. Titus.

12 We will now hear from Dr. Raczkowski from  
13 the FDA to open up the issues for discussion.

14 DR. RACZKOWSKI: Good morning. My name is  
15 Dr. Victor Raczkowski and I'm the Acting Director of  
16 the Division of Gastrointestinal and Coagulation Drug  
17 Products. On behalf of FDA I would like to welcome  
18 members of the Nonprescription Drugs Advisory  
19 Committee, the Gastrointestinal Drugs Advisory  
20 Committee, as well as members of the public

21 I would like to briefly set the stage for  
22 today's deliberations for the Joint Advisory Committee  
23 Meeting. This is the second time that this joint  
24 committee is meeting to discuss whether the data are  
25 sufficient to recommend approval for Prilosec 1, or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 omeprazole magnesium, for use in the over-the-counter  
2 setting.

3 The first time this joint committee met on  
4 this issue was October 20, 2000. At this time  
5 different over-the-counter uses are being sought by  
6 the sponsor, Procter and Gamble and AstraZeneca.

7 Today the new use that will be considered  
8 by the Joint Advisory Committee is for the prevention  
9 of the symptoms of frequent heartburn for 24 hours.  
10 This proposed use indicates that Prilosec 1 is only  
11 for those who suffer heart burn two or more days a  
12 week.

13 The directions for use proposed by the  
14 sponsor is that consumers swallow one tablet which is  
15 equivalent to 20 milligrams of omeprazole with a glass  
16 of water every morning and to take one tablet every  
17 day for 14 days.

18 This new use and a new direction proposed  
19 for Prilosec 1 by the company reflect the underlying  
20 properties of Prilosec 1. Unlike the histamine H<sub>2</sub>-  
21 receptor antagonists or antacids which can be used to  
22 treat heartburn, for example, the ability of Prilosec  
23 1 to inhibit gastric acid secretion has a delay in  
24 onset and requires several days of continued treatment  
25 to build up to its maximum effect.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 In other words, this new use proposed by  
2 Procter and Gamble and AstraZeneca means that the drug  
3 is not to be used to treat acute symptoms of heartburn  
4 or to prevent meal induced heartburn. Rather, it is  
5 to prevent frequent heartburn.

6 Moreover, these directions mean that  
7 consumers should not take Prilosec 1 episodically  
8 missing doses or skipping doses. Rather, consumers  
9 should take Prilosec 1 daily for 14 days.

10 In support of these new uses, the sponsor  
11 has conducted new labeling comprehension studies and  
12 has conducted new actual use studies and we'll be  
13 hearing more about these later this morning.

14 In contrast, the sponsor has not provided  
15 additional efficacy, safety, or pharmacokinetic or  
16 pharmacodynamic data. Rather, the studies on  
17 efficacy, for example, that were used in the previous  
18 submission are being used to support this newly  
19 proposed use.

20 Today we have many issues we are asking  
21 the Advisory Committee to consider. One issue is that  
22 one of the cardinal manifestations of gastro  
23 esophageal reflux disease, or GERD, is frequent  
24 heartburn and, as I've indicated, the sponsor is  
25 taking a new use for the prevention of frequent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 heartburn in the over-the-counter setting.

2 One may believe, for example, and this is  
3 open for discussion by the Advisory Committee members,  
4 that this disease, GERD, requires diagnosis and  
5 monitoring and management by a healthcare professional  
6 such as a physician. If so, then consumers with this  
7 disease of GERD have to make a choice about whether to  
8 see a physician or whether to self-administer Prilosec  
9 1. We will be seeking the Advisory Committee's advice  
10 on whether this is an issue or not.

11 Additional issues that will be discussed  
12 by the Advisory Committee are whether consumers can  
13 appropriately self-select to use Prilosec 1. A third  
14 is whether or not consumers use the drug  
15 appropriately. The fourth issue is whether 14 days is  
16 an appropriate treatment duration. Finally, we will  
17 be asking the committee about its recommendations for  
18 approvability of Prilosec 1.

19 We have a full agenda today and we look  
20 forward to your deliberations. Thank you very much.

21 DR. CANTILENA: Thank you very much for  
22 those comments.

23 We will now move into the open public  
24 hearing. For the open public hearing we have four  
25 speakers. I would like to remind the speakers that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 prior to starting, if they have any conflicts of  
2 interest those should be stated for the committee.

3 Also, each speaker has five minutes for  
4 his or her talk. The first speaker, Linda Golodner  
5 from the National Consumer's League in Washington.

6 Linda.

7 MS. GOLODNER: Thank you. My name is  
8 Linda Golodner. I'm President of the National  
9 Consumers League. America's oldest consumer advocacy  
10 organization is pleased to testify today before the  
11 committees on the possible switch of Prilosec to  
12 nonprescription status.

13 NCL has a long history of providing  
14 information and educational materials to consumers so  
15 they can safely and effectively use medications, both  
16 prescription and nonprescription.

17 I would like to inform the committee that  
18 occasionally the League receives financial support  
19 from pharmaceutical companies for specific consumer  
20 education projects in which we maintain full editorial  
21 control.

22 In addition pharmaceutical companies have  
23 supported our annual dinner and conferences. These  
24 contributions amount to less than one half of 1  
25 percent of our annual operating budget.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I personally do not have stock in or  
2 consult with any pharmaceutical companies. The  
3 National Consumer League did not receive any financial  
4 incentive to appear at this meeting.

5 Many of the 60 million Americans who  
6 suffer from heartburn are not satisfied with current  
7 OTC medications available. Making Prilosec, a proton  
8 pump inhibitor, available without a prescription would  
9 provide more options when self-treating heartburn  
10 symptoms. Heartburn is one of those conditions that  
11 when you have it, you know you have it. Therefore, we  
12 feel that consumers can adequately self-diagnose this  
13 condition.

14 If this medication were available OTC,  
15 consumers would be able to effectively prevent  
16 frequent heartburn with Prilosec without having the  
17 trouble of going to the doctor or having the expense  
18 of going to a physician.

19 Consumers today are taking a more active  
20 role in their healthcare including self-diagnosing and  
21 self-medicating. NCL is working to help consumers  
22 understand what medications they are taking, why they  
23 are taking them, and how to take them effectively.

24 Because of this trend in self-medication  
25 any medication slips from prescription to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 nonprescription status must first be found to be safe  
2 by the FDA by this committee and reported to the FDA  
3 and, if allowed on the market, there should be clear  
4 understandable information available at point of sale  
5 and through advertising for consumers to use that  
6 product appropriately and safely.

7 In order to better understand what  
8 consumers know about OTC medications and how they are  
9 using them, the National Consumers League commissioned  
10 a survey on consumer's use and attitudes regarding  
11 OTCs.

12 According to survey, consumers generally  
13 have a favorable impression of OTC drugs and use them  
14 regularly to treat minor health conditions. But one-  
15 third of consumers do not **regularly** read the labels of  
16 OTC products before purchasing or using them. That  
17 includes all OTC products that they are taking.

18 One-quarter of those surveyed had some  
19 trouble reading and understanding the label. Another  
20 one-third of the consumers reported taking more than  
21 the recommended dose some or most of the time, while  
22 more than one in five consumers take OTC medicines for  
23 longer than recommended.

24 These survey results underscore the need  
25 to use clear, good-size type on the labels and that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 specific directions for dose and how long to take the  
2 medicine be emphasized on the package, on the label,  
3 and in any promotional material.

4 A recent survey by NCPIE, a patient  
5 education advocacy group of which NCL is on the board,  
6 also found that consumers need to be better informed  
7 about using OTC medications appropriately. The survey  
8 found that 95 percent of the consumers read some  
9 portion of the label but they do so selectively.

10 When buying an OTC product the first time  
11 only a third look at the active ingredient and one in  
12 five seek out warning information. Over a third of  
13 the consumers combine nonprescription medications when  
14 they have multiple symptoms.

15 On a positive note the survey also found  
16 the majority of consumers get their information about  
17 OTC medications from their health professionals, and  
18 that the health professionals were very willing to  
19 discuss OTC medication use with their patients.

20 What is clear from these surveys is that  
21 consumers need to be better informed when using OTC  
22 products, but also that the involvement of health  
23 practitioners could increase consumer understanding of  
24 OTC use. Therefore, I would hope that there would be  
25 efforts by the manufacture to encourage healthcare

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 professionals to educate consumers in the use of an  
2 OTC Prilosec.

3 If the FDA determines that Prilosec, in 20  
4 milligram doses for 14 days, can be taken safely by  
5 consumers with a prescription, we recommend that there  
6 be appropriate labeling on the medication to ensure  
7 proper use by consumers, including clear information  
8 about who should not be taking the medication,  
9 especially those who take drugs that would interact  
10 with Prilosec.

11 There must be clear label directions on  
12 how to take it, specific listing of warning symptoms  
13 of when consumer should go to the doctor. NCL wants  
14 to ensure that consumers seek medical attention if the  
15 recommended Prilosec regimen does not relieve their  
16 heartburn or if they experience certain symptoms.

17 Clear label warnings and information  
18 should help prevent consumers from delaying seeking  
19 necessary medical attention. Of course, the label  
20 should also list possible side effects and encourage  
21 consumers to continue to have regular physician visits  
22 while taking Prilosec. Consumer should also be  
23 instructed to inform their physician that they are  
24 taking Prilosec, and to contact their physician or  
25 pharmacist if they have any questions about the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 medication.

2 NCL recognizes that pharmaceuticals are an  
3 important component in assuring good health, however,  
4 whether by prescription or over-the-counter they must  
5 be taken seriously. Just because a drug is available  
6 on the shelf of a grocery store or discount store does  
7 not make it more safe than if a doctor prescribed this  
8 drug.

9 Physicians, nurses, physicians assistants,  
10 pharmacists, and other healthcare professionals play a  
11 vital role in ensuring that consumers use their  
12 medications effectively. Thank you.

13 DR. CANTILENA: Thank you very much. Our  
14 next speaker is Dr. Niecestro from Adrix Labs.

15 Dr. Niecestro.

16 DR. NIECESTRO: Good morning. I am Dr.  
17 Robert Niecestro, Senior Executive Director of  
18 Clinical Research for Adrix Laboratory. As most of  
19 you are aware, we have an FDA approved generic version  
20 of omeprazole. Prior to that I played a pivotal role  
21 in the submission and approval of rebeprazole, another  
22 proton pump inhibitor currently marketed in the United  
23 States.

24 I came before the committee because there  
25 are two issues that need to be addressed by this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 committee. These issues are as follows. What are the  
2 effects of food on OTC omeprazole, and are potential  
3 interactions with other acid reducers understood well  
4 enough to allow for the safe and effective use of OTC  
5 omeprazole?

6 The proposed dosing label that was put up  
7 on the web yesterday is inadequate for instructing  
8 consumers on how or when to take omeprazole in  
9 relationship to food.

10 The lack of adequate information on food  
11 is nothing new to omeprazole. This was noted during  
12 the initial review of the omeprazole NDA in 1988. At  
13 that time the Food and Drug Administration requested  
14 that a definitive drug/food interaction study under  
15 fasted versus feed conditions be completed.

16 I have reviewed the published literature  
17 and I have concluded that this FDA requested  
18 definitive drug/food interaction study has either not  
19 been done and/or reported.

20 My first question to the committee is has  
21 this definitive drug/food interaction study requested  
22 in 1988 by the FDA been completed? And how do these  
23 results impact the label for OTC omeprazole and the  
24 instructions given to consumers? More importantly,  
25 even under the direction of the physician, there is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 confusion on how to take prescription omeprazole with  
2 food.

3           Recently, and I have provided this  
4 reference in the briefing book, Gunaratnam et al.,  
5 have concluded that over 50 percent of patients taking  
6 proton pump inhibitors in a community setting were  
7 taking them incorrectly due to insufficient data on  
8 proper administration of proton pump inhibitors in  
9 relationship to food.

10           It is their opinion that patients have  
11 developed inappropriate dosing habits which have led  
12 to ineffective symptom control and inappropriate dose  
13 escalation.

14           My next question for the committee is as  
15 follows. Since current labeling for prescription  
16 omeprazole does not adequately instruct physicians,  
17 how can we expect consumers to take an OTC preparation  
18 of omeprazole safely?

19           Now I would also like to address some of  
20 the other label. Although the proposed label states,  
21 "Do not use with other acid reducers," it is important  
22 for this committee to remember that OTC omeprazole has  
23 been proven no better than placebo for key treatment  
24 of heartburn.

25           In clinical trials with OTC omeprazole

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 subjects did indeed use other acid reducers. There  
2 included antacids, H<sub>2</sub> blockers, and Rx proton pump  
3 inhibitors. Thus, I believe it is critical that the  
4 interaction of antacids, H<sub>2</sub> blockers, and other proton  
5 pump inhibitors be well defined and characterized with  
6 OTC omeprazole.

7 I'd like for the committee to know that  
8 the co-administration of antacids would alter both the  
9 disillusion rate and pharmacokinetics of omeprazole.  
10 More importantly, there is conflicting information  
11 available in the published literature on how to  
12 administer omeprazole with antacids.

13 The co-administration of antacids with  
14 omeprazole may not be relevant under the care of a  
15 physician but it is extremely relevant when consumers  
16 are self-administering OTC omeprazole.

17 More importantly, how would patients be  
18 instructed to take OTC omeprazole with antacids given  
19 the fact that there is conflicting information  
20 available from two independent studies sponsored by  
21 AstraZeneca and reported in the summary basis of  
22 approval for omeprazole.

23 I would like to remind the committee that  
24 in these two studies co-administration of antacids  
25 with omeprazole in one study increased by availability

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but in the second study decreased it.

2 They sent another review of the published  
3 literature. There are no definitive datas on the  
4 effect of H<sub>2</sub> blockers on the disillusion rate,  
5 pharmacokinetics and pharmacodynamics of omeprazole.  
6 We have seen in clinical trials for OTC omeprazole  
7 that these agents are indeed taken and there is a  
8 great probability that more consumers will take it  
9 when they are self-medicating.

10 Furthermore, patients have switched from  
11 one PPI to another under the care of physicians.  
12 However, patients under the care of physicians usually  
13 do not take two proton pump inhibitors together as  
14 seen in the clinical trials conducted by the sponsor.

15 When two proton pump inhibitors are taken  
16 together, can they have additive or synergistic  
17 effects on the retina, thyroid, and what would be the  
18 effects on the proton pumps found in the kidneys?

19 In conclusion, since we do not know and  
20 fully understand drug/food interaction, drug/antacid  
21 interactions, and interactions with H<sub>2</sub> blockers and  
22 other proton pump inhibitors, I would like to  
23 recommend to the committee and the FDA that they not  
24 approve OTC omeprazole until these public safety  
25 issues have been fully addressed and consumers can be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 properly instructed. Thank you very much.

2 DR. CANTILENA: Okay. Actually, we have a  
3 question for you, Dr. Niecestro. As you are heading  
4 back to the podium, I have a question for the FDA.

5 Is there a drug/food interaction study on  
6 file for the drug by anybody?

7 DR. RACZKOWSKI: I would like to introduce  
8 Dr. Suleiman Al-Fayoumi from the Food and Drug  
9 Administration. He's better pharmaceuticals reviewer.

10 DR. CANTILENA: Thank you.

11 DR. AL-FAYOUMI: I would just like to note  
12 that the sponsor has submitted as part of their NDA  
13 application to the omeprazole magnesium OTC product a  
14 food effects study to evaluate the effect of food on  
15 the pharmacokinetics of omeprazole and magnesium  
16 tablets and there is significant food effect. We are  
17 probably going to recommend it be administered an hour  
18 before meals.

19 DR. CANTILENA: Okay. Thank you. So that  
20 is on file. Then we had a question from the committee  
21 regarding what your company has done to improve the  
22 use of Rx omeprazole.

23 DR. NIECESTRO: That information is  
24 confidential and I wish not to disclose it at a public  
25 meeting.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. CANTILENA: Okay. And some of the  
2 interactions that you talked about with sort of the  
3 other heartburn drugs would also obviously apply to  
4 the Rx omeprazole. Is that true?

5 DR. NIECESTRO: That is correct, sir.

6 DR. CANTILENA: Okay. Thank you very  
7 much.

8 Our next speaker is Dr. Gans. I'm sorry.  
9 There's been a change. Dr. Susan Winckler from the  
10 American Pharmaceutical Association.

11 DR. WINCKLER: Good morning. Thank you  
12 for the opportunity to present the views of the  
13 American Pharmaceutical Association, the National  
14 Professional Society of Pharmacists.

15 I am Susan Winckler, a pharmacist and an  
16 attorney, and Vice President for Policy and  
17 Communications with APhA. We are pleased with the  
18 opportunity to be here this morning.

19 My comments will focus on the role of the  
20 pharmacist in helping consumers navigate the use of  
21 omeprazole in the over-the-counter environment should  
22 the agency choose to approve such availability.

23 In the interest of full disclosure, APhA  
24 frequently partners with Federal agencies, consumer  
25 groups, the pharmaceutical industry, and others to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 develop educational programs for pharmacists and  
2 consumers. The Association did not receive funding to  
3 participate in today's meeting, and the views I am  
4 presenting are solely those of the Association and its  
5 membership.

6 APhA represents pharmacists in all  
7 practice settings and in each of those settings we  
8 help consumers manage and improve their medication use  
9 including the appropriate selection and monitoring of  
10 prescription and OTC products.

11 APhA supports the transition of suitable  
12 prescription drug products from nonprescription status  
13 when supported by studies assessing the safety,  
14 efficacy, and appropriateness of such drug products  
15 for OTC use.

16 In the questions before the committee  
17 today this proton pump inhibitor is being considered  
18 for OTC use for the prevention of frequent heartburn.

19 Omeprazole magnesium would be the first proton pump  
20 inhibitor to be available without a prescription.

21 This switch may improve clinical outcomes  
22 by expanding consumer access to a drug therapy class  
23 that is considered more effective in preventing  
24 heartburn than alternative therapies such as histamine  
25 H<sub>2</sub>- receptor antagonists.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           To determine if this product should be  
2 switched from prescription to OTC status, we urge you  
3 to consider a review of all existing therapies in the  
4 self-care market. If existing options for self-care  
5 raise questions of safety or effectiveness, the  
6 relative safety of the switch candidate increases and  
7 the risk-benefit analysis shifts in favor of OTC  
8 availability.

9           Decisions to classify products as either  
10 prescription or nonprescription should be based on  
11 substantial evidence of safety and efficacy in actual  
12 OTC settings. The use of the drug product in the  
13 actual OTC setting is especially important in the  
14 real-world setting of self-care.

15           The number of products moving from  
16 prescription to OTC status has increased markedly over  
17 the past several years, and consumers are increasingly  
18 making decisions regarding the self-diagnosis and  
19 treatment of health conditions. This is a positive  
20 trend. A challenge of this trend, however, is  
21 equipping consumers with information to help them  
22 select and use those products appropriately. This is  
23 an area where pharmacists can help.

24           As pharmacists we are in the ideal  
25 position to help consumers select an OTC medication

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 when appropriate and help monitor use of the product.

2 Pharmacists can and do play and role in help  
3 consumers use OTC products for the prevention and  
4 treatment of frequent heartburn.

5 We educate patients about heartburn and  
6 more serious conditions such as GERD, ensure that  
7 patients are appropriate self-treatment candidates,  
8 assist patients with appropriate products selection,  
9 and refer patients with symptoms that may suggest a  
10 serious condition to a physician.

11 We also work with patients to ensure that  
12 they understand how to use the product, how often to  
13 take the medication, what dose and for what duration,  
14 and can suggest lifestyle modifications to help lessen  
15 the occurrence and severity of symptoms.

16 The dynamics of the same medication  
17 potentially being available for one indication in the  
18 OTC environment and other indications in a  
19 prescription environment will be challenging. The  
20 challenge, however, is not new.

21 Histamine H<sub>2</sub>-receptor antagonists have  
22 been available for years in both prescription and  
23 nonprescription form. As I described earlier,  
24 pharmacists assist patients in deciding whether they  
25 should use a nonprescription product for short-term

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 relief of heartburn or whether a consultation with a  
2 physician is warranted to determine if a more serious  
3 chronic condition exist.

4 Our ability to manage the use of a product  
5 like omeprazole in a dual prescription and  
6 nonprescription environment will be directly related  
7 to the amount of information available to pharmacists.

8 The product sponsor must provide product  
9 labeling that clearly delineates when OTC use of the  
10 product is appropriate and directs consumers to a  
11 healthcare professional when use of the product falls  
12 outside of labeled parameters.

13 Additionally, an educational campaign to  
14 equip pharmacists with the proper tools to identify  
15 and select OTC therapies for frequent heartburn will  
16 be needed.

17 While most OTC products are purchased at  
18 the pharmacy, a recent survey showed that mass  
19 marketers such as supermarkets and discount stores  
20 without pharmacies are gaining a larger share of the  
21 OTC market. In these environments consumers make OTC  
22 decisions without the assistance of a healthcare  
23 professional. The lack of access to a pharmacist or  
24 physician places even greater responsibility on the  
25 consumer for interpretation and understanding of drug

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 labeling and appropriate use of medications.

2 Two types of studies are particularly  
3 valuable in determining whether there is sufficient  
4 evidence to reclassify prescription products to OTC  
5 status, OTC label comprehension and actual use  
6 studies. It is our understanding that the product  
7 sponsors have conducted several label comprehension  
8 studies since the agency first considered the switch  
9 in October 2000 and adjusted the labeling accordingly.

10 In conclusion, APhA recommends that the  
11 agency consider the real world use of omeprazole  
12 magnesium in the OTC environment, existing OTC  
13 products available for heartburn, the risks and  
14 benefits of increasing access to the product, and the  
15 ability of consumers to appropriately select and use  
16 the product without a learned intermediary. APhA  
17 supports the transition of this product to OTC status  
18 pending the outcome of this review by the FDA.

19 Thank you for the opportunity to present  
20 the views of the nation's pharmacists.

21 DR. CANTILENA: Okay. Thank you very  
22 much.

23 Our next speaker is Dr. Michael Wolfe,  
24 Boston Medical Center. Prior to his talk Dr. Titus  
25 has a conflict of interest statement that she will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 read.

2 DR. TITUS: During the opening statement  
3 that addressed conflict of interest it was announced  
4 that Dr. Michael Wolfe was recused from participating  
5 in the meeting as a federal employee. He has asked to  
6 participate in the open public hearing as a member of  
7 the public.

8 We made the decision that he could  
9 participate in the open public hearing because we feel  
10 that the advisory committee members and the public are  
11 entitled to hear all sides of an issue and we do not  
12 want to be seen as suppressing or censoring any  
13 perspective.

14 The Advisory Committee Members and the  
15 public should take into account the recusal by FDA in  
16 evaluating his comments. Further, as we do for all  
17 participants in the open public hearing, Dr. Wolfe has  
18 been asked to disclose any financial interests he may  
19 have in the matter before the committee.

20 We specifically advise all open public  
21 hearing participants that it is important to disclose  
22 financial relationships such as being an investigator,  
23 consulting, and stock ownership with the sponsor and  
24 with any of the competitors. Thank you.

25 DR. WOLFE: Thank you for the opportunity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 to speak. As Dr. Titus said, I am actually Chair of  
2 the Advisory Board for GI Drugs and recused because I  
3 have invented what is considered a competing agent for  
4 treating episodic heartburn. I'm the inventor. I'm  
5 not the owner. It's been licensed. I have no say in  
6 what happens with this but I do receive royalties for  
7 this.

8 I'm presenting Professor of Medicine at  
9 Boston University School of Medicine and Chief of the  
10 Section of Gastroenterology at Boston University and  
11 have worked there for the last 23 years.

12 I'm going to present data primarily on  
13 some work we have recently completed in my laboratory  
14 which has been submitted for publication. I received  
15 permission from the Journal to present this  
16 information without jeopardizing a chance of being  
17 accepted.

18 Before I do so, I just want to briefly  
19 mention and just follow up on some of the questions  
20 about appropriate use. I'm not sure if you understand  
21 why appropriate use. Just very briefly, PPIs are  
22 designer drugs which are designed specifically as pro-  
23 drugs and require activation. That activation occurs  
24 after eating a meal.

25 The food situation is quite significant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and there are studies in H<sub>2</sub> blockers in several animal  
2 species showing if you use an H<sub>2</sub> blocker and PPI at the  
3 same time, PPI doesn't work at all.

4 Now, I just also want to show you real  
5 world use. This is a study that we published. Only  
6 one slide is published in the American Journal of  
7 Medicine October 15, 2001. This is after several  
8 years of frustration of having patients who failed PPI  
9 therapy and decided to do a study to see why they did.

10 We surveyed physician to see how they use  
11 the drugs. The results are actually kind of  
12 astounding. Despite all the package inserts,  
13 instructions and numerous lectures, the fact of the  
14 matter is these drugs are designed to be taken before  
15 breakfast, before the first meal of the day.

16 In fact, nongastroenterologists prescribe  
17 it before breakfast in less than 30 percent of the  
18 cases. It is unlikely, in my view, that  
19 nonphysicians, consumers, would do a better job than  
20 physicians with 13 years of experience with this drug.

21 Gastroenterologists did better but still 3  
22 percent incorrectly prescribed these drugs before  
23 bedtime and said it didn't matter. It does matter and  
24 all studies have been done where the drug is used very  
25 specifically.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Now I'll move on to what I'm supposed to  
2 be discussing and that is safety issues. Safety issue  
3 specifically is that PPIs are extremely potent agents,  
4 especially with certain ethnic groups which have not  
5 been studied very extensively.

6           For example, in Asian populations these  
7 drugs in 30 percent of the population can inhibit acid  
8 secretion for days with one dose. That hasn't been  
9 studied really.

10           What happens when you eat a meal? This is  
11 an introduction to what we'll be talking about, when  
12 we eat a meal the different phases of acid secretion  
13 and the two important phases of the gastric phase when  
14 food actually enters the stomach. There are three  
15 primary aspects for acid secretion. Part of the  
16 stomach extends which causes the transrelease of acid.

17           The protein content also intragastric pH.  
18           The pH goes up when we eat a meal. That is very  
19 important because that causes the release of gastrin.  
20           pH is clearly the most important of the three when  
21 gastrin is released and that accounts for 92 percent  
22 of the response during the gastric phase.

23           This causes increased acid secretion which  
24 eventually after the buffering capacity is diminished,  
25 pH goes down. We have release of another hormone and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 gastric release is turned off. The classic  
2 physiological negative feedback loop. That's normally  
3 how all our bodies function.

4 If we turn off acid secretion, we have  
5 what is called a vicious cycle. Gastric release goes  
6 up and continues to go up in certain individuals. Is  
7 this a problem in the acute phase for 10 days? No.  
8 The fact of the matter is that people are used to  
9 using PPI for the last 13 years they read the label  
10 and it says 10 days.

11 In the real world what people end of doing  
12 is taking it and ignoring it and not reading the  
13 label. They will take it continuously. What will  
14 happen in this situation is 27 percent, one in four  
15 people, have elevated serum gastrin levels.

16 But why worry? You can't make acid.  
17 That's correct but gastrin does have other properties.

18 This is a patient, a fairly typical patient. We'll  
19 see this fairly commonly. This is a patient who has  
20 these multiple little bumps, little polyps.

21 Initially when omeprazole was first  
22 presented to the FDA and actually presented for use  
23 around the world, there was a lot of concern because  
24 of these little bumps, these little polyps, irritants.

25 As a result, actually omeprazole carried a warning

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 until 1995 because of these. Now some years later  
2 they are saying forget the warning and we'll put it  
3 over the counter.

4 These bumps actually never concerned me  
5 because these are benign tumors both in humans and in  
6 rodents which go away with cessation of therapy.

7 There are other issues. Gastrin is a trophic hormone.

8 It is trophic embryologically and during adult life  
9 as well with abnormal states.

10 What people have done in the past is they  
11 have looked at serum gastric levels and tried to  
12 correlate serum gastric levels in 100 patients to see  
13 if there is a correlation. Those studies don't really  
14 cut it. You need a large population. This is the  
15 largest they ever published, over 100,000 individuals.

16 I'm going to quote to you the conclusions.

17 "...a gastrin level above normal was  
18 associated with increased risk for colorectal  
19 malignancy (odds ratio, 3.9; 95% confidence 1.5-9.8).

20 If this association is causal, 8.6% of colorectal  
21 cancers could be attributed to high serum gastrin  
22 level. Conclusion: Hypergastrinemia is associated  
23 with an increased risk of colorectal carcinoma."

24 Before I go any further, gastrin doesn't  
25 cause colon cancer. It causes preexisting conditions

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to get worse. This is actually a cascade of how  
2 colorectal cancer develops. You have benign abnomas  
3 which then turn to carcinoma.

4 This transition from here to here has to  
5 do with the rate of proliferation. Any proliferative  
6 agent will make that rate occur quicker. Gastrin is  
7 one such agent. In fact, these cancer cells make  
8 their own gastrin and make their own receptors because  
9 they are parasites which want to grow. They use  
10 whatever they can to grow. This is the case with  
11 gastrin and colon cancer.

12 As far as reflux, I'm going to do this  
13 just really quickly because we're talking about reflux  
14 disease here. Heartburn is a manifestation of reflux  
15 disease. The disease is reflux, the symptom is  
16 heartburn. If you have heartburn two or three times a  
17 week you have mild GERD but it is GERD nevertheless.

18 It appears monthly in 40 percent of  
19 individuals in this country. Weekly 15 percent of the  
20 people will say they have heartburn and 7 to 10  
21 percent of people in this country have heartburn every  
22 single day. That is why we are here.

23 In most, GERD is a nuisance. Ten to 20  
24 percent develop complications. Three to 7 percent  
25 have Barrett's Esophagus. One percent of people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 endoscope for different reasons. Cancer of esophagus  
2 is the fastest growing cancer in the U.S. for unknown  
3 reasons despite the best GERD therapy ever available.

4 There are phenomenal drugs as far as  
5 treating reflux disease. Complications may occur  
6 without severe symptoms because of a poor correlation  
7 between symptoms and what the esophagus looks like.

8 These are the data looking at esophageal  
9 cancer in this country published by the Mayo Clinic.  
10 Back when I was training the most common cancer of the  
11 esophagus in the western countries was squamous which  
12 has come down in the prominent oil producing countries  
13 and places where hot beverages are consumed now. The  
14 fastest growing cancer is endocarcinoma of the  
15 esophagus, Barrett's Esophagus.

16 It is perplexing that the incidence of  
17 this neoplasm has increased dramatically during the  
18 very period in which highly effective acid-reducing  
19 therapies have provided symptomatic relief and healing  
20 in those individuals with mucosal injury due to the  
21 erosive effects of acid and other gastric contents.

22 This is what the esophagus is supposed to  
23 look like. This is a normal esophagus where it is  
24 dark because -- excuse me because the  
25 gastroenterologist can explain this and I'm not a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 gastroenterologist.

2           This is the lining of the stomach. The  
3 stomach is an amazing organ. In my view the most  
4 important organ in the body. The reason it's amazing  
5 is because it will withstand the effects of such  
6 potent hydrochloride acid that would eat a hole in a  
7 piece of paper if you took it out. The stomach  
8 withstands that acid very, very nicely.

9           The lining of the esophagus is different.  
10 It does not tolerate acid very well at all. What  
11 happens sometimes for unknown reasons is this. This  
12 is not a 45-year-old white man which we generally  
13 consider Barrett's to occur. This is a 32-year-old  
14 woman who has Barrett's Esophagus.

15           You can see the dark lining is extending  
16 upwards. Here is a squamous lining. This is  
17 Barrett's. Barrett's is a conversion of the esophagus  
18 from the squamous lining to columnar lining. Why it  
19 happens no one knows. If I can be tautological for a  
20 second, what is happening is the esophagus is saying,  
21 "I cannot deal with this acid anymore. I'm going to  
22 change my lining to be able to withstand the effects  
23 of acid."

24           That's fine and dandy. It's becomes an  
25 intestinal type metaplasia. Metaplasia means "changed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 growth." This data was published in 1997 showing 1  
2 percent of people with Barrett's will convert to  
3 cancer. More recent data suggest 1 in 200, 1 in 250.

4 Nevertheless, one in 200 or 250 is quite significant.

5 Mostly importantly is we have no evidence  
6 at all that treatment with any agent, surgical  
7 treatment or medical treatment will alter this  
8 conversion. We did a study recently to determine  
9 whether functional gastrin receptors are present on  
10 esophageal adenocarcinoma cells. These are human  
11 cells. Where gastrin is present, could it actually be  
12 participating in this increase in esophageal cancer.

13 We used SEG-1 cells which were obtained  
14 from David Beer at the University of Michigan. They  
15 were derived from a human with adenocarcinoma of the  
16 esophagus. We actually have two other humans with  
17 very similar results. I'm going to show you the SEGs  
18 of the most dramatic results.

19 Again, they are derived from a human with  
20 esophageal adenocarcinoma in association with  
21 Barrett's. We used alpha and gastrin. I can't go  
22 into detail again but there are other forms of gastrin  
23 which we now have data emerging where the precursor  
24 gastrins causing the exact same effect that you will  
25 see here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   This is PCR and I'm not going to explain  
2 PCR to you. This looks at the presence of different  
3 genes being expressed or being present. These are  
4 actually rat adenocarcinoma cell lines which have the  
5 gastrin receptor.

6                   These are the SEG-1 cells of humans. Here  
7 is actually Barrett's Esophagus which you see. We  
8 have not investigated this further yet. We actually  
9 found this in a patient with Barrett's, that the  
10 receptor is indeed present.

11                   We actually confirmed this with analysis  
12 showing this in human colon cancer, human gastric  
13 cancer. These gastrin receptors are there because  
14 they want to grow. They like the gastrin to grow. We  
15 also did a class of binding studies. These are fairly  
16 visual studies. This is confocal microscopy.

17                   DR. CANTILENA: Excuse me. You've hit  
18 your time so if you could wrap it up, please.

19                   DR. WOLFE: I have two more slides. This  
20 basically shows you gastrin is indeed present. It is  
21 bound to the receptor and internalized. These are  
22 control cells not showing it. Most importantly the  
23 cells grow. They do proliferate. These are two  
24 different assays. These are counting assays and MPT  
25 assays. They grow in a dose dependent fashion and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 inhibit with an antagonist. This is showing the  
2 signals through the regular pathways.

3 My conclusion is the following. From the  
4 study the presence of functional gastrin receptors and  
5 esophageal adenocarcinoma. This raises the  
6 possibility hypergastrinemia associated with proton  
7 pump inhibitor therapy may stimulate the proliferation  
8 of preexisting esophageal adenocarcinoma.

9 Thank you for the opportunity to speak.

10 DR. CANTILENA: Thank you very much, Dr.  
11 Wolfe. I have one question if you will stay at the  
12 podium. The question is is there any evidence that  
13 the use of PPIs increases the risk of developing like  
14 esophageal issues that you have shown?

15 DR. WOLFE: This is the very first study  
16 that demonstrates it. As far as actually causing it,  
17 there is no evidence that what we are seeing is  
18 association that the occurrences continue to increase  
19 despite the value of the therapy. There is absolutely  
20 no evidence to show that PPIs do cause endocarcinoma  
21 of the esophagus.

22 DR. TITUS: In addition to the open public  
23 hearing speakers that we just heard from, the agency  
24 received three statements from organizations that  
25 could not participate today. They are available in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 our book that is out on the table and the committee  
2 members have them in front of them. We received a  
3 statement from Wellpoint, from APhA, and from the  
4 American Gastroenterology Association.

5 DR. CANTILENA: Okay. Thank you, Sandy.

6 We will now move to the sponsor  
7 presentation from Procter and Gamble. If I could  
8 introduce Dr. Keith Triebwasser who will start off and  
9 then introduce other members of his team.

10 DR. TRIEBWASSER: Good morning, Mr.  
11 Chairman, ladies and gentleman of the Advisory  
12 Committees. I'm Keith Triebwasser with Procter and  
13 Gamble. We want to thank you for the opportunity to  
14 come here today and discuss the Rx to OTC switch of  
15 omeprazole for the prevention of frequent heartburn  
16 symptoms.

17 Some of you may recall that we came before  
18 the Joint NDAC/GDAC Advisory Committees in the fall of  
19 2000. At that time it was noted that we needed to  
20 define a suitable target population and labeling that  
21 were congruent with our data and was a safe and  
22 effective use of omeprazole by this population.

23 Since that time we have worked with the  
24 FDA to identify this target population and to develop  
25 new OTC labeling. We have conducted label

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 comprehension and actual use studies with this new  
2 label.

3 We are here today to show you how these  
4 data support our proposed OTC label and to show you  
5 that the known benefits of omeprazole will outweigh  
6 any potential risks associated with its use in the OTC  
7 setting. Our presentation will address the questions  
8 posed to you by the FDA.

9 Our OTC target population is those people  
10 with frequent heartburn defined as heartburn symptoms  
11 two or more days a week. This is roughly 40 million  
12 people in the United States. Their heartburn affects  
13 their daily lives.

14 It affects what they eat, it affects what  
15 they can do at work and at leisure, and often their  
16 sleep. It's not surprising that the goal of people  
17 with frequent heartburn is to prevent these symptoms  
18 rather than to try and treat each occurrence.

19 Right now most people with frequent  
20 heartburn 77 percent of them are using OTC  
21 medications. These medications are not indicated for  
22 the prevention of frequent heartburn. The OTC  
23 medicines they use are antacids and H<sub>2</sub>-receptor  
24 antagonists, and they frequently use these together.

25 Most people with frequent heartburn aren't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 satisfied with the OTC medications they are taking.  
2 In fact, only 19 percent say they are completely  
3 satisfied with the heartburn relief they achieve with  
4 over-the-counter medications.

5 Just why is satisfaction with current OTC  
6 so low among people with frequent heartburn? One of  
7 the reasons is the current OTC therapies are not well  
8 suited to prevent frequent heartburn symptoms. The  
9 pharmacology of these OTC products limits their  
10 effectiveness against frequent heartburn. The  
11 duration of action is limited and acids only last one  
12 to two hours and H<sub>2</sub>-receptor antagonists only last  
13 eight to 12 hours.

14 As a result of these limitations, these  
15 medications lack all-day efficacy. Often more than  
16 one dose is needed to control heartburn and people  
17 with frequent heartburn symptoms find themselves using  
18 these therapies repeatedly, often without complete  
19 satisfaction, or without adequate acid control. OTC  
20 omeprazole can be the solution these people are  
21 seeking.

22 Omeprazole is ideally suited for the  
23 prevention of frequent heartburn symptoms. Simply  
24 stated, omeprazole has the right pharmacology to meet  
25 the unmet needs of this target population. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 mechanism of action of omeprazole provides for  
2 prolonged acid suppression which reaches a maximum at  
3 three to five days of dosing.

4 The prolonged acid suppression means that  
5 a single daily dose prevents heartburn symptoms for 24  
6 hours. This onset of action profile and the long  
7 duration of action of omeprazole match very well with  
8 the needs of the target population and they are  
9 ideally suited for the prevention of symptoms.

10 In addition, omeprazole has an excellent  
11 safety profile. This drug has been marketed for 15  
12 years in more than 125 countries with more than 450  
13 million patient treatments. This extensive experience  
14 with this drug has revealed no safety concerns.

15 Since our previous advisory committee  
16 we've had several productive discussions with the FDA  
17 and have developed a label that we think is simple,  
18 direct and, as you will see, understood and adhered to  
19 by the consumer. This label provides clear  
20 instructions on how to select and use the product and  
21 what course of action to take if symptoms continue or  
22 return.

23 As I have mentioned, we have identified a  
24 specific target population and an indication that are  
25 appropriate for OTC omeprazole. We believe omeprazole

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 should be available over the counter at 20 milligrams,  
2 the current Rx dose. This dose provides superior acid  
3 suppression and shows both clinical and statistical  
4 significance in our efficacy trials.

5 The directions for use call for one tablet  
6 a day taken in the morning. This is the dosing in our  
7 clinical efficacy trials and ensures 24-hour  
8 prevention of frequent heartburn.

9 OTC omeprazole should be labeled to be  
10 taken on consecutive days. This is consistent with  
11 both the pharmacology of omeprazole and with the needs  
12 of the people with frequent heartburn to prevent  
13 heartburn symptoms from occurring.

14 We believe the OTC label should specify  
15 that this regimen be 14 consecutive days. The 14-day  
16 regimen on the OTC label is a conservative application  
17 of the current clinical guidelines for omeprazole use.

18 It is also an appropriate period after which people  
19 should be directed to see a doctor before continuing  
20 to use omeprazole.

21 Our actual use study demonstrates that  
22 people understand and comply with a 14-days label.  
23 This is the duration of our clinical trials which  
24 support our application. We have included the  
25 instructions to see a doctor if warning signs of a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 more serious conditions are present.

2 We have been very clear that if symptoms  
3 continue or return, to seek physician direction before  
4 continuing to use the product. Today we will show you  
5 how omeprazole properly labeled will be safely and  
6 effectively used in the OTC setting.

7 With this as background, let me just take  
8 you through today's presentation flow. First, Dr.  
9 David Peura from the University of Virginia will  
10 provide his views on how OTC omeprazole can fill a  
11 crucial gap in existing heartburn therapy and how this  
12 fits with current clinical practice.

13 Dr. Doug Bierer will represent the results  
14 of the efficacy program, the results of the study  
15 showing that consumers used the product appropriately  
16 and according to label instructions.

17 Dr. Douglas Levine and Dr. Nora Zorich  
18 will discuss product safety and safe use of omeprazole  
19 in the OTC setting. Their presentations will address  
20 the risk benefit analysis for individuals who may  
21 chose to use omeprazole chronically without physician  
22 involvement.

23 Finally, I will summarize how the data  
24 support the safe and effective use of OTC omeprazole  
25 for the prevention of frequent heartburn symptoms.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Just a minor housekeeping note before we  
2 start. Please note there is a number in the upper  
3 right-hand corner of each slide. If you will just  
4 keep note of that number, it will help us in any  
5 follow-up questions and answers that you have.

6           Let me introduce Dr. Peura.

7           DR. PEURA: Thank you and good morning.  
8 As a practicing gastroenterologist for almost 30  
9 years, I have treated thousands of patients with all  
10 kinds of heartburn. My clinical and research  
11 experience actually extends back to the BC era, before  
12 cymetadine, and I don't think I'm a dinosaur.

13           I've been involved in the evolution of all  
14 classes of heartburn medicines from the 1970s when we  
15 used to dispense the antacids by the caseloads to the  
16 1980s when I was involved in the early trials of the  
17 H<sub>2</sub>-receptor antagonists.

18           Finally, the 1990s with the latest  
19 generation of heartburn medicines the proton pump  
20 inhibitors, or PPIs. Today PPIs are the class of  
21 drugs that my GI primary care colleagues and I most  
22 frequently prescribe.

23           Because of their unique pharmacology and  
24 duration of action they are by far and away the  
25 preferred medication for the prevention of frequent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 heartburn.

2 My purpose today is to give you a clinical  
3 perspective on the proposed omeprazole Rx to OTC  
4 switch. I'll talk to you about how this switch will  
5 fit into established medical practice and how it will  
6 benefit people in the OTC setting.

7 Now, in considering the proposed switch of  
8 omeprazole to OTC, first it's important to understand  
9 the condition of frequent heartburn. Just as the  
10 severity of heartburn symptoms ranges from relatively  
11 mild to very severe, frequency of heartburn varies as  
12 well. Some people get heartburn only once in a while,  
13 maybe when they eat a pizza.

14 Now, omeprazole is probably not the right  
15 drug for them because they can get immediate relief  
16 with current OTC products like the antacids or the  
17 H<sub>2</sub>s. Larger numbers of people get heartburn two or  
18 more times a week with varying degrees of severity.

19 Some of them have this frequent heartburn  
20 every week and some of them only get it  
21 intermittently. It's a very common condition. There  
22 is currently no effective over-the-counter option to  
23 help these people prevent their symptoms.

24 The H<sub>2</sub>s just don't last long enough and  
25 antacids just aren't strong enough. While these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 heartburn medications are adequate for treating  
2 symptoms of occasional heartburn making you feel  
3 better after that pizza, clinical experience has  
4 taught us that only PPIs can prevent the symptoms of  
5 frequent heartburn. We're talking about 40 to 60  
6 million people out there.

7 I don't think all of these people with  
8 frequent heartburn need to see a doctor before  
9 beginning treatment. I do think with proper OTC  
10 medication consumers can safely and effectively self-  
11 manage their symptoms including on deciding when to  
12 see a doctor.

13 To explain why, let's talk first about how  
14 physicians use PPIs to prevent frequent heartburn.  
15 Conservatively I would say that more than half the  
16 patients I currently see take PPIs and that would  
17 probably be also true for my GI colleagues and many of  
18 these patients have failed the H<sub>2</sub>s.

19 Primary care physicians are also very  
20 comfortable prescribing this class of medicine for  
21 most of their patients with frequent heartburn. In  
22 fact, in the United States most PPI prescriptions are  
23 written by primary care physicians.

24 When patients come to me with frequent  
25 heartburn symptoms and there are no warning signs of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 any other condition, I give them a short course of a  
2 PPI like omeprazole. Neither my colleagues nor I  
3 routinely do diagnostic tests unless a patient has  
4 severe or refractory symptoms.

5 In fact, few doctors would recommend  
6 initial endoscopy for a patient who complains only of  
7 frequent heartburn. This is actually what the  
8 professional societies currently recommend, a  
9 therapeutic trial before endoscopy. When I start a  
10 patient on a PPI they usually get better very quickly.

11 I'm confident of the diagnosis and I'm simply follow  
12 their clinical progress.

13 This treatment approach to frequent  
14 heartburn that I've just described in the sponsor's  
15 proposal for OTC omeprazole are very consistent with  
16 current practice guidelines. In fact, the most recent  
17 published guidelines specify that therapy should be  
18 aimed at treating or preventing heartburn symptoms  
19 with acid reducing medicines. If acid reducing  
20 medicines prevent symptoms, nothing further needs to  
21 be done. Therefore, symptom management is really the  
22 first stage of patient management.

23 These patients are quite knowledgeable and  
24 they can recognize their frequent heartburn for what  
25 it is. They know when they need to see a doctor.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Given these factors and since symptomatic management  
2 and prevention would be the doctor's initial approach,  
3 I believe that with a safe and effective OTC  
4 medication consumers will successfully self-manage  
5 their frequent heartburn.

6 Let me elaborate on this. First the  
7 sponsor's proposed label educates consumers to take  
8 omeprazole for 14 days and not to take it any longer  
9 without first contacting their doctor. I remember,  
10 and I'm sure many of you do, that there was a concern  
11 when the H<sub>2</sub>s went over the counter that people would  
12 stop going to see their doctors.

13 Studies show that didn't happen. People  
14 still see their physicians about their heartburn. I  
15 expect the same will be true with OTC omeprazole. In  
16 fact, the sponsor's data would suggest that consumers  
17 will follow instructions and will ask their doctors  
18 about their frequent heartburn even if they haven't  
19 done so already.

20 Now, undoubtedly there will be some  
21 consumers who will take the drug for longer periods of  
22 time without talking to their physician. This doesn't  
23 really concern me because it's likely that's what  
24 their doctor would have told them to do anyway.

25 We know that when patients with frequent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 heartburn are treated with PPIs they do much better  
2 than those who are treated with any current over-the-  
3 counter medicine primarily because PPIs are more  
4 effective at reducing acid.

5 Some of you might have concerns that OTC  
6 omeprazole might mask or delay a diagnosis. I'm  
7 comfortable that's not going to be the case. It  
8 didn't happen when the H<sub>2</sub>s went over the counter.

9 As far as masking a more serious  
10 condition, while it's conceivable, in almost 15 years  
11 of using these drugs and thousands of patients I've  
12 not seen it. In my opinion the risk benefit here is  
13 very favorable.

14 In conclusion, the best way to manage  
15 frequent heartburn is to prevent symptoms. Taking a  
16 PPI is the best way to do that. Doctors know that and  
17 that's why doctors use PPIs in their patients with  
18 frequent heartburn.

19 Certainly physicians have a role in the  
20 management of these patients but that involvement  
21 doesn't have to be intensive. This is a common  
22 condition. Consumers who have it and understand it  
23 know when they need to see their doctor.

24 They can safely and effectively self-  
25 manage their own frequent heartburn symptoms and I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 believe they should be empowered to do so. The  
2 proposed dose and duration of therapy is appropriate,  
3 effective, and consistent with current medical  
4 practice.

5 From my 30 years of clinical experience I  
6 know omeprazole is safe and it works. More  
7 importantly, so to my patients with frequent  
8 heartburn. Thank you very much.

9 DR. BIERER: Good morning. Thank you for  
10 the opportunity to come here to present the results of  
11 our clinical efficacy and our consumer behavior  
12 program that supports the use of omeprazole for the  
13 prevention of frequent heartburn symptoms.

14 Our program consist of efficacy and  
15 consumer understanding and behavior studies. First,  
16 pivotal studies that shows omeprazole prevents the  
17 symptoms of frequent heartburn for 24 hours and over a  
18 two-week period.

19 Second, consumer understanding and  
20 behavior studies show that consumers understand the  
21 product label and they use this product appropriately  
22 in a naturalistic OTC setting. Our entire program  
23 supports that the product is efficacious and the  
24 consumers will use omeprazole safely and according to  
25 the label directions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           We conducted two well-controlled efficacy  
2 trials in which we evaluated the efficacy of  
3 omeprazole magnesium for the prevention of the  
4 symptoms of frequent heartburn over a 14-day period.  
5 As you know, these studies were presented at a  
6 previous advisory committee meeting and they support  
7 our proposed dose and our dosing duration.

8           The study population included subjects who  
9 had heartburn symptoms two or more days a week and did  
10 not have prior physician diagnosis for GERD or erosive  
11 esophagitis. The subjects in the study were  
12 instructed to take one tablet every morning for 14  
13 consecutive days. The end points that support our  
14 proposed label are the percentage of subjects who are  
15 heartburn free after the first dose of the product and  
16 a percentage of heartburn free over 14 days of  
17 consecutive dosing.

18           Let's look at the results of these  
19 studies. This slide shows the percentage of subjects  
20 who were heartburn free for the entire day over 14  
21 days of consecutive dosing. In both studies we  
22 achieved our primary endpoint. That is, the  
23 prevention of heartburn symptoms for 24 hours after  
24 the first dose of product.

25           A higher percentage of people taking 20

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 milligrams of omeprazole were heartburn free as  
2 compared to placebo. As also can be seen from this  
3 graph, heartburn prevention increases over the first  
4 few days of dosing.

5 This is consistent with the pharmacology  
6 of the drug and this prevention effect remains  
7 consistently high over the 14 days. The effect is  
8 both clinically and statistically significant for both  
9 studies on day one for day 14 and across all 14 days.

10 In summary, our efficacy studies show that  
11 20 milligrams of omeprazole provides clinical and  
12 statistically significance in the prevention of  
13 heartburn symptoms. The study supports our proposed  
14 OTC label indication which is the prevention of the  
15 symptoms of frequent heartburn for 24 hours. It  
16 supports our proposed dose of 20 milligrams and the  
17 label's direction to take one tablet in the morning  
18 for 14 consecutive days.

19 Now let's look at our consumer  
20 understanding and behavior program. For our OTC  
21 target audience of people with frequent heartburn, our  
22 program objectives were to demonstrate the consumers  
23 correctly self-selected, this was a product that they  
24 were willing to use, they understood how to use the  
25 product, and they adhered to the product warnings.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           In our consumer understanding and behavior  
2 program we conducted two types of studies labeled  
3 comprehension and an actual use study to understand  
4 how the general population will use this product in a  
5 naturalistic OTC setting.

6           All of these studies met our objectives in  
7 that the vast majority of the people understood the  
8 product label, they used the product appropriately,  
9 and they adhered to the warnings.

10           In our first labeled comprehension study,  
11 we recruited 684 subject from 12 geographically and  
12 social economically diverse sites across the U.S.  
13 This study population included people with infrequent  
14 or no heartburn, people from our OTC target population  
15 of people with frequent heartburn, low literate  
16 frequent heartburn people who had less than an eight  
17 grade reading ability as measured by the REALM test  
18 which evaluates the understanding of medical  
19 terminology, people with potential drug-drug  
20 interactions, and finally those who are pregnant or  
21 nursing.

22           In general all five groups scored very  
23 well on label comprehension. Let's take a closer  
24 look. First, let's look at subjects with infrequent  
25 or no heartburn. Seventy-eight percent with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 infrequent or no heartburn correctly chose this was a  
2 product they should not use for episodic or acute  
3 heartburn of one day a week or less.

4           Ninety-nine percent of frequent heartburn  
5 people correctly chose this is a product that they  
6 could use.       These data demonstrate a high  
7 understanding of who should and should not use the  
8 product.

9           We were also interested in low literate  
10 subjects' comprehension of the label.   For this  
11 portion of the study we gave each person in the low  
12 literate group descriptions of situations involving  
13 either frequent or infrequent heartburn.   Then we  
14 asked them whether they should use this product in  
15 this situations.

16           In situations involving frequent heartburn  
17 79 percent answered correctly.   In situations  
18 involving infrequent heartburn, 49 percent answered  
19 correctly.   Initially these results concerned us.   As  
20 you will see later, in our actual use study the low-  
21 literate group scored much higher for appropriate  
22 self-selection.

23           Now, let's look at how well all subjects  
24 understood the dosing directions.   Here we found high  
25 comprehension of the label dosing directions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Subjects clearly understood how much omeprazole to  
2 take, how often to take it, and to contact a  
3 healthcare professional before taking it for more than  
4 14 days.

5 We also then looked at other circumstances  
6 which would require healthcare provider involvement.  
7 In our proposed label we included warning for people  
8 who have symptoms that could be mistaken for or occur  
9 with any heartburn of any severity. These include  
10 chest pain, trouble swallowing, frequent wheezing,  
11 unexplained weight loss.

12 It is important to note that these  
13 symptoms are not related to the use of any kind of  
14 heartburn medication. Because these symptoms can be  
15 the sign of a more serious condition, we advise people  
16 in our label to talk to their doctor about these  
17 symptoms if they have not done so already.

18 After testing several versions of the  
19 label, we achieved labeling which was understood by 81  
20 percent of the people with these general warning  
21 signs. As you will see shortly in our actual use  
22 study, people were compliant with this warning.

23 Regarding drug-drug interactions, we would  
24 that people were much more likely to understand the  
25 nature of the drug-drug interaction when they were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 shown brand names in addition to the generic drug  
2 names.

3 As you see, 82 percent responded correctly  
4 when shown both the brand name and the generic name as  
5 opposed to 50 percent when shown just the generic  
6 name. Because of regulatory and policy consideration,  
7 we believe it is most appropriate to discuss this  
8 labeling issue with the agency at a later date.

9 Also, more than 90 percent of pregnant and nursing  
10 women correctly selected that they shouldn't use this  
11 product without consulting their physician.

12 Now, let's look at our actual use study.  
13 As you know, label comprehension studies indicate  
14 whether people understand what is written on the  
15 label. What is even more important is how people will  
16 use the product in a real world setting.

17 Our actual use study had three major  
18 objectives, to evaluate whether consumers correctly  
19 self-selected, whether they used a product  
20 appropriately to prevent the symptoms of frequent  
21 heartburn, and whether they complied with the label.

22 In our actual use study we found that  
23 consumers did correctly self-select. They used a  
24 product appropriately for prevention and they used it  
25 according to label directions. We designed our actual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 use study to mimic real world purchase experience  
2 using both traditional methods and some new features.

3 This study was conducted at a mall kiosk  
4 which was freely accessible to subjects rather than at  
5 a clinical site. To ensure that subjects were able to  
6 correctly self-select based on the product label  
7 alone, there were no healthcare professionals on site.

8 There was no contact with the subjects  
9 during the use portion of the study. And, as with any  
10 OTC medication, the subjects were free to contact  
11 their doctor at anytime during the study.

12 We also incorporated a couple of new  
13 features designed specifically to mimic real world  
14 consumer purchase decisions. Subjects purchased the  
15 product at a realistic market price and they were free  
16 to return to the kiosk to buy additional product.

17 We were also very careful not to present  
18 barriers for repurchase. The kiosk was highly  
19 accessible, it was open during regular mall hours, and  
20 it was close to where the subjects lived. The  
21 subjects were told numerous times that they could  
22 return to buy additional product.

23 Let's take a closer look at this study.  
24 We recruited subjects through local advertising in  
25 spontaneous mall intercept at five malls across the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 U.S. At the mall kiosk the subjects made a self-  
2 selection decision. That is, they had to determine  
3 whether this was a product that they could use, and  
4 also whether they were willing to purchase the  
5 product.

6 Our product is intended to be used for a  
7 regimen of two weeks and not to be used for more than  
8 two weeks unless directed by a doctor. It was  
9 especially important to find out whether people would  
10 incorrectly use the product on an extended basis  
11 without physician involvement.

12 Therefore, we made the product available  
13 for a total of eight weeks and during that time people  
14 could have bought as much product as they wanted and  
15 theoretically they could have used four courses of  
16 treatment.

17 Four weeks after the use period we  
18 contacted the participants by telephone and asked them  
19 whether their frequent heartburn returned and, if so,  
20 what were they doing about it.

21 Before I describe the results of this  
22 study, let's first look at the disposition of  
23 subjects. We approached 5,060 subjects at the mall  
24 and we asked them, "Do you get heartburn?" Of these  
25 3,809 said they either did not get heartburn, they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 were not interested in being interviewed, or the drug  
2 was not appropriate for them to use.

3 Of the 1,251 people who said they could  
4 use the product, 385 were not willing to buy the  
5 product mostly because they were not interested in  
6 participating in a clinical study or they wanted to  
7 check with their doctor before taking a new  
8 medication.

9 866 people said that this was a product  
10 that they could use and were willing to buy the  
11 product and participate in the study. This 866  
12 constitutes a self-selection population since they  
13 not only identified omeprazole as a product that they  
14 could use, but they were also willing to buy it and  
15 use it.

16 When people are asked a hypothetical  
17 question, "Could you use this product," they may say  
18 yes. But in the real world the key differentiator is  
19 whether they will actually buy the product.

20 Now, let's look at the demographics of our  
21 self-selection population. Slightly more than half  
22 were women, 68 percent caucasian, 16 percent African-  
23 American, 11 percent Hispanic. The average age was 48  
24 years with a range between 18 and 91 years old, and 8  
25 percent had a low reading ability as measured by the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 realm test of medical literacy.

2           Importantly, 90 percent of the subjects  
3 who purchased the product had frequent heartburn two  
4 or more days a week. This indicates that our label is  
5 well understood by our intended OTC target population.

6           More than 90 percent of the people said that they  
7 used OTC medications to control their symptoms and 40  
8 percent reported using prescription medications.

9           Now, let's look at whether these people  
10 correctly self-selected. As I will show you, 81  
11 percent met all six self-selection criteria.

12           These criteria, which are specified on our  
13 label, include heartburn two or more days a week,  
14 greater than 18 years of age, not allergic to  
15 omeprazole, not pregnant or nursing, no general  
16 warning signs, and no drug-drug interactions as listed  
17 on our label.

18           In order to correctly self-select for this  
19 trial, subjects had to meet all six of these self-  
20 selection criteria. That is, if they did not  
21 correctly select any one of these answers correctly  
22 for the criteria, they were counted as a self-  
23 selection failure.

24           Again, of the 866 people who said they  
25 could use this product and wanted to buy the product,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 81 percent met all six self-selection criteria. When  
2 we look specifically at the low-literate people, we  
3 found that almost 70 percent met all six self-  
4 selection criteria.

5 Let's look at the people who did not  
6 correctly self-select. Four subjects who incorrectly  
7 selected weren't allowed to buy the product. These  
8 included three of them who were under 18 years of age  
9 and another who was pregnant. There were no subjects  
10 who were allergic to omeprazole.

11 However, we did allow subjects who were in  
12 these last three groups on this slide at the bottom to  
13 purchase and use the product even though we counted  
14 these people as a self-selection failure. This is  
15 consistent with FDA's guidance to include self-  
16 selection failures when the risk is minimal.

17 There were 82 subjects who had not talked  
18 to their doctor before the study about a general  
19 warning sign listed on the label. We found that  
20 during the trial none of these subjects had a serious  
21 adverse event.

22 There were eight subjects who were taking  
23 a drug listed in a drug-drug interaction section on  
24 the label. While these eight subjects did not  
25 initially contact a doctor or pharmacist, we did find

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that five of them did contact the doctor about the  
2 congest use with omeprazole during the study. Again,  
3 none had a serious adverse event.

4 Finally, there were 86 subjects who had  
5 infrequent heartburn. Half of these people took the  
6 product in compliance with the 14-day labeling and the  
7 other half took it sporadically as you would expect  
8 for someone with infrequent heartburn. None of these  
9 people with infrequent heartburn exceeded 14 doses.

10 Now, let's move to look at the use and  
11 repurchase phase of the study. Of the 866 people in  
12 our self-selection population, 96 did not return a  
13 diary despite several contacts.

14 Four subjects withdrew consent and eight  
15 were not allowed to purchase product. This includes  
16 the three subjects I mentioned earlier who were less  
17 than 18 years of age and the one pregnant woman.

18 There were also four others who had  
19 participated in a previous use study with this  
20 product. But importantly, 90 percent of our self-  
21 selection population, that is 758 people used the  
22 product and returned the diary.

23 Our use directions call for taking one  
24 tablet per day. Let's look at whether people complied  
25 with this use direction. Here we found excellent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 compliance with the dosing directions. Ninety-six  
2 percent of the subjects took no more than one tablet  
3 per dose and 91 percent of the subjects took only one  
4 tablet per day. Again, people clearly understood  
5 these label directions.

6 One of our key questions is whether people  
7 with frequent heartburn would take this product as a  
8 regimen for 14 consecutive days. In our protocol, we  
9 defined compliance to the 14-day dosing regimen based  
10 upon two criteria.

11 First, they had to take between 80 and 100  
12 percent of the product and take it over 14 days plus  
13 or minus three days. This is a range of about 20  
14 percent for the days. That is, they had to take  
15 between 11 to 14 doses of the product and take it  
16 within 11 to 17 days.

17 This criteria is similar to the industry  
18 convention and epidemiological conventions of patients  
19 taking at least 80 percent of study medication in the  
20 clinical trial, and is also consistent with the long-  
21 lasting pharmacology of the drug.

22 The second criteria was if a subject took  
23 more than 14 doses of a product, that is, they took  
24 even one more dose than 14 doses, they had to consult  
25 with their doctor in order to be defined as compliant.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 With this definition in mind, let's look at how  
2 people were compliant with the 14-day regimen.

3 This slide shows the compliance of the 14-  
4 day regimen of all 758 people who used the product and  
5 returned the diary. Seventy-nine percent of the  
6 people were compliant. That is, they took 11 to 14  
7 doses and 11 to 17 days, or they contacted a doctor if  
8 they exceeded 14 doses.

9 Also as mentioned in the FDA briefing  
10 document, 64 percent of these people took exactly 14  
11 doses in 14 days. These data demonstrate high  
12 compliance with a dosing regimen of 14 days and also  
13 use of this product for the prevention of frequent  
14 heartburn.

15 Nine percent of the people took the right  
16 amount of drug. That is, 11 to 14 doses, but they  
17 took it over a longer period if time, greater than 17  
18 days. An additional 9 percent of the people took  
19 fewer than 11 doses. As expected, many of these  
20 people had infrequent heartburn.

21 Note that none of these group of people,  
22 this 18 percent took more than 14 doses of the  
23 product. We also saw that fewer than 1 percent took  
24 multiple daily doses of product. There were only  
25 three of these people and none of them exceeded three

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 doses per day, and also none had a serious adverse  
2 event.

3 We also found that only 3 percent of the  
4 subjects took more than 14 doses without healthcare  
5 professional contact. It is important to note that 75  
6 percent of these people had either talked to their  
7 doctor about their heartburn before the study, or soon  
8 after the study ended.

9 In summary, the label was clearly  
10 understood. People understood that they were to use  
11 this product for the prevention of frequent heartburn  
12 symptoms, and they achieved high compliance with the  
13 dosing directions.

14 Now, as I explained earlier, four weeks  
15 after the study ended, we wanted to find out whether  
16 people's frequent heartburn returned and, if so, what  
17 they did about it. We were able to follow up by phone  
18 with about 85 percent of the people who used the  
19 product and returned the diary. Forty-three percent  
20 said that their frequent heartburn had not returned.

21 Of those who said their frequent heartburn  
22 had returned, 8 percent were not using any medication,  
23 22 percent reported taking antacids, 9 percent  
24 reported taking an OTC H<sub>2</sub>-RA, and 3 percent reported  
25 taking a combination of both antacids and H<sub>2</sub>-RAs.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Finally, 15 percent returned to a previous  
2 Rx medication or started a new prescription. This  
3 level of physician involvement is consistent with the  
4 habits and practices we have found of people with  
5 frequent heartburn.

6           Our actual use study showed that consumers  
7 appropriately self-selected, they understood the label  
8 directions, and they took the product as on a regimen  
9 basis for the prevention of frequent heartburn.  
10 Finally, they used a product in accordance with label  
11 directions.

12           In summary, our efficacy and consumer  
13 behavior data supports our proposed label. The  
14 efficacy data supports our indication for the  
15 prevention of the symptoms of frequent heartburn for  
16 24 hours. It supports our proposed dose of 20  
17 milligrams and it supports our dosing directions to  
18 take one tablet in the morning for 14 consecutive  
19 days.

20           Our consumer understanding and behavior  
21 program shows compliance with these use directions and  
22 general adherence to the label warnings when this  
23 product is used in a naturalistic OTC setting. Thus,  
24 we have demonstrated that our proposed label, our  
25 efficacy data, and the consumer's ability to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 understand and use this product safely and  
2 appropriately are all congruent.

3 DR. LEVINE: Good morning everyone. I  
4 would like to present a perspective, perhaps another  
5 perspective, on safety issues related to over-the-  
6 counter use of omeprazole.

7 One type of safety consideration is  
8 product safety. This is defined as adverse events  
9 occurring in relation to product use during the short  
10 or the long term.

11 Omeprazole has a excellent safety profile.  
12 The product related adverse event profile is very  
13 well established based on data from clinical trials  
14 with the prescribed product, post-marketing  
15 surveillance with the prescription product, as well as  
16 the OTC clinical trials.

17 As you recall, the most common adverse  
18 events are reversible symptomatic side effects  
19 including things like headache, diarrhea, and  
20 abdominal pain. This profile of omeprazole makes it  
21 acceptable for over-the-counter use.

22 The use of omeprazole is intended to be  
23 short term as indicated on the proposed label  
24 instructions. However, if any unintended long-term  
25 over-the-counter use were to occur without medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 supervision, the product adverse event profile for the  
2 situation is considered acceptable based on the  
3 extensive experience with omeprazole in the  
4 prescription setting.

5 Another type of safety consideration would  
6 include potential consequences of consumer behavior  
7 involving long-term use of the product without medical  
8 supervision but such consequences would not be  
9 directly linked to omeprazole.

10 This type of safety issue involves  
11 considerations of medical diseases other than acid  
12 reflux disease, as well as of the natural history of  
13 acid reflux induced esophageal damage which is not  
14 completely understood today from an epidemiologic  
15 perspective.

16 Again, the sequelae of these diseases are  
17 not directly linked to omeprazole. First, use of the  
18 over-the-counter product for alarm symptoms such as  
19 these would most likely be self-limiting because these  
20 symptoms would not be expected to subside with  
21 omeprazole use.

22 The proposed label instructed not to use  
23 the product and to seek medical attention if these  
24 symptoms are present. However, there are a variety of  
25 conditions with symptoms that could certainly respond

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to omeprazole and might lead to some behavior  
2 involving long-term use without medical supervision  
3 despite the label instructions.

4 The most common of these would be non-  
5 neoplastic upper GI conditions resulting from acid  
6 peptic injury. These include esophageal erosive  
7 disease or peptic ulcer disease involving the duodenum  
8 or the stomach.

9 Such abnormalities, though, would be well  
10 managed with chronic omeprazole therapy with little  
11 chance of adverse consequences. It is important to  
12 address medical diseases that include either overt  
13 malignancy or conditions that predispose to malignancy  
14 of the upper GI track.

15 Individuals with cancer of the esophagus  
16 or of the stomach might have frequent heartburn.  
17 However, these tumors more typically produce different  
18 symptoms such as dysphagia, nausea, vomiting, early  
19 satiety, and weight loss which do not respond to  
20 treatment with omeprazole and would likely led the  
21 consumer to seek medical attention.

22 Unfortunately, these types of tumors are  
23 commonly diagnosed as part of the very first medical  
24 presentation for medical care. This suggest that  
25 these diseases commonly evolve without producing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 significant heartburn or other symptoms during their  
2 precancer stages.

3           Additionally, in endoscopic survey studies  
4 of individuals with heartburn, cancer of the esophagus  
5 or the stomach is rarely identified.

6           Another area of concern is a condition  
7 associated with cancer risk and this is Barrett's  
8 Esophagus. Barrett's is a complication of chronic  
9 acid reflux disease with resulting esophageal damage.

10          While Barrett's Esophagus is common, its progression  
11 to esophageal adenocarcinoma is unusual.

12           It is, in fact, the inconsistent  
13 correlation between frequent heartburn and the present  
14 of Barrett's plus the rarity of progression from  
15 Barrett's to esophageal adenocarcinoma that makes it  
16 difficult for the medical community to manage this  
17 risk. If desired by the advisory committee, we can  
18 provide additional data on this topic during the  
19 question and answer period or this afternoon's  
20 discussions.

21           In the context of over-the-counter  
22 treatment of heartburn, available data showed that  
23 omeprazole is, in fact, a neutral factor. It does not  
24 increase cancer risk and it does not reliably induce  
25 regression of Barrett's Esophagus.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           To summarize the current situation on  
2 heartburn and esophageal adenocarcinoma, the  
3 increasing incidence of esophageal adenocarcinoma in  
4 the United States since the early 1970s is not related  
5 to acid reducers but research continues to evaluate  
6 many of the factors that may contribute to this rise  
7 in incidence.

8           Patients afflicted with esophageal cancer  
9 generally present without a prior history of heartburn  
10 of a prior diagnosis of Barrett's Esophagus. The  
11 status of esophageal adenocarcinoma in 2002 is as  
12 medically challenging as it is sobering.

13           Presently the incidence rate for  
14 esophageal adenocarcinoma is about the same as the  
15 mortality rate, again reflecting the fact that these  
16 cancers are discovered at late incurable stages  
17 without antecedent clinical signals that might lead to  
18 diagnosis of an earlier stage cancer or its  
19 precancerous precursor.

20           There is no evidence to suggest that acid  
21 reducers are masking any signals of these diseases.  
22 Fortunately, the development of this cancer is rare  
23 among individuals with heartburn or among those with  
24 documented Barrett's Esophagus and omeprazole  
25 specifically does not increase the risk of this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cancer.

2 In conclusion, I've tried to frame today's  
3 discussions on safety related to over-the-counter  
4 omeprazole use. The product related safety profile of  
5 omeprazole is acceptable for over-the-counter use.  
6 The natural history of acid reflux damage to the  
7 esophagus can involve rare serious consequences.  
8 However, omeprazole does not directly increase the  
9 risk of esophageal adenocarcinoma.

10 Based on the overall safety considerations  
11 I have presented today, omeprazole is acceptable for  
12 over-the-counter use. Thank you for your attention to  
13 my remarks. I would like to introduce Dr. Nora  
14 Zorich, my medical colleague, who will continue the  
15 sponsor safety presentation.

16 DR. ZORICH: Good morning. Thank you, Dr.  
17 Levine.

18 I'm going to take a few minutes to settle  
19 down here and address in more depth the question of  
20 whether there is any concern what the long-term use of  
21 omeprazole without physician involvement.

22 In order to do this, I'm going to consider  
23 three key factors important in the benefit risk  
24 assessment. First, we'll look at data that provides  
25 insight into what people do now and what they might do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the future if omeprazole was available over the  
2 counter. I'm going to specifically address what  
3 proportion of consumers might use the product on a  
4 more regular basis.

5 Then I'll discuss data that examines  
6 physician involvement by people with frequent  
7 heartburn and specifically address the concern that  
8 once omeprazole is available, people with heartburn  
9 won't seek the care of their physician.

10 Finally, I'll recap the issue of potential  
11 risk and discuss the known benefits that might result  
12 for consumers who use the product even without  
13 physician involvement.

14 Now, before we can address how often  
15 consumers might use OTC omeprazole, I think it's  
16 worthwhile to talk a little bit about how they use it  
17 today. In order to do that, I'm going to take you all  
18 the way up to the 40,000 foot view of omeprazole use  
19 looking at a very large administrative claims database  
20 of prescription drug use.

21 Here is an analysis of the NDC database  
22 which collects and analyzes the prescription data from  
23 multiple managed care organizations that cover  
24 millions of lives in the U.S. We examine the drug  
25 records of almost 100,000 people who were prescribed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 omeprazole for the first time in January of 2001.

2 Then we followed whether they had any  
3 further omeprazole dispensed to them over the next  
4 year. These data represent people who had heartburn  
5 symptoms that were significant enough that they drove  
6 them to their physician and then their physician  
7 prescribed omeprazole.

8 Now, let's look at this data. As you can  
9 see, about 44 percent of them were dispensed  
10 omeprazole only once in a one-year period with about  
11 70 percent of this group of people receiving three or  
12 fewer treatments dispensed to them over a year.

13 Then we get down to a group that I have  
14 collected together of people being dispensed six or  
15 more treatment courses and that's about 20 percent of  
16 this group. From that perspective we can look at  
17 chronic use of those people who would be taking the  
18 drug on more days than they would not be over a year.

19 Chances are what we know about chronic  
20 users these would be the same people who would go on  
21 to future use on a chronic basis. That's the really  
22 big picture of omeprazole use. Let's take a step  
23 further into understanding how this drug is used and  
24 look at a population specifically diagnosed as having  
25 GERD.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   Here is a study published by Bardhan. It  
2 was a randomized clinical trial in which people with  
3 symptomatic frequent heartburn were evaluated and how  
4 often they required omeprazole to control their  
5 symptoms.

6                   The reason I selected this particular  
7 study to discuss is that in contrast to the numerous  
8 studies that have been done looking at maintenance  
9 therapy, few studies have actually examined the  
10 strategy of intermittent therapy.

11                   This particular study is helpful in that  
12 it captures the kind of use decision behavior that you  
13 are likely to see in the OTC setting. That is, the  
14 patients going back to the clinic for more treatment  
15 if and when their symptoms returned.

16                   The most relevant group for our discussion  
17 are those people in this trial who took an initial  
18 course of therapy 20 milligrams of omeprazole for 14  
19 days. Then they self-managed their frequent heartburn  
20 by requesting additional courses of therapy throughout  
21 the year.

22                   Let's look at the results. There were 704  
23 people on this trial and 526 were available for a  
24 final assessment. Bardhan found that 72 percent of  
25 these individuals were able to self-manage their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 frequent heartburn by taking additional 14-day  
2 regimens of omeprazole intermittently. Of the 72  
3 percent 68 percent requested three or fewer  
4 treatments.

5 To put that in perspective, that is 42  
6 days of omeprazole in a year. This pattern of use is  
7 actually quite similar to what was seen in that big  
8 NDC database where we saw about 70 percent of use  
9 being three or fewer treatment courses over a year.

10 Bardhan found that symptom control after  
11 two weeks of therapy was a powerful prognostic  
12 indicator of future need for therapy with almost 30  
13 percent of the people requiring no further treatment  
14 if they had a good response to that first 14 days.

15 At the other end of the spectrum of use,  
16 only 28 percent of the study participants had ongoing  
17 symptoms which required maintenance therapy at some  
18 point during that one-year period.

19 Let's compare these data to our actual use  
20 trial, what you just heard from Dr. Bierer, where we  
21 found that well over 90 percent of the participants in  
22 the actual use trial purchased only one box of 14-day  
23 omeprazole during the two-month period that the drug  
24 was available.

25 When we contacted them three months after

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they entered the study, 43 percent of them said they  
2 did not have frequent heartburn and 42 percent while  
3 they said their frequent heartburn was back, over half  
4 of them had elected to manage their symptoms simply  
5 with antacids. And 15 percent of the participants  
6 were taking a prescription therapy.

7           Within this 15 percent half of them had  
8 just gone back on the prescription therapy they were  
9 on prior to their participation in the study. The  
10 other 15 percent had gone to see a physician and were  
11 prescribed a prescription therapy, generally a PPI.

12           After three months of observation, we  
13 found that very few people had used more than the one  
14 14-day regimen of OTC omeprazole even when they had  
15 the opportunity to purchase it.

16           In summary in answering my first question,  
17 you could look across these three diverse types of  
18 data and see that even in the prescription setting  
19 it's clear there is a range of use of PPIs which  
20 undoubtedly reflects the range of symptoms with only  
21 on average about 25 percent of the use being chronic.

22           We conclude that most people won't choose to use  
23 omeprazole chronically even in the OTC setting.

24           Now, returning to the larger consideration  
25 of chronic use without physician involvement, we need

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to ask will these people who may use omeprazole  
2 chronically see a physician. We have literature in  
3 our actual use trial to address that.

4 To begin with, it is helpful to review  
5 what we know about how often people with heartburn  
6 talk to their physician about their condition. Here  
7 is a publication from Oliveria who was at Cornell at  
8 the time. They surveyed more than 2,000 people with  
9 heartburn. The study was designed to capture people's  
10 understanding of heartburn, how they manage their  
11 symptoms, and importantly how often they consulted a  
12 physician.

13 More than 90 percent of these people were  
14 on some kind of therapy with 75 percent of them taking  
15 over-the-counter therapies. In regard to physician  
16 involvement, what the survey found was logical. The  
17 more frequent and severe people's symptoms were, the  
18 more likely they were to have seen a physician.

19 In fact, people with the most frequent  
20 heartburn were four times more likely to have seen a  
21 physician than those who had heartburn less than twice  
22 a week with 78 percent of the people with frequent  
23 heartburn having discussed their heartburn with their  
24 physician.

25 This is reassuring data and it's really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 very typical of what you find in the literature and in  
2 the surveys that have been conducted by the  
3 professional societies. Relative to our discussion  
4 today is whether omeprazole's availability in the OTC  
5 market would change this consumer behavior.

6 Now, as Dr. Peura mentioned, this question  
7 has been asked before. The recent move of H<sub>2</sub>-RAs to  
8 OTC status in the 1990s provides a historical  
9 perspective on this question.

10 We are going to look at three studies that  
11 specifically examined what happened to physician  
12 visits when the H<sub>2</sub>-RAs became available. I take the  
13 position that this is actually a relevant comparison  
14 because even though the H<sub>2</sub>-RAs were switched at half  
15 the prescription dose, the introduction of H<sub>2</sub>-RAs into  
16 a world that only had antacids was a meaningful  
17 therapeutic jump.

18 For perspective, a study by Simon and  
19 colleagues published in the American Journal of  
20 Therapeutics in 1995 stated that 70 percent of the  
21 people who obtained only some degree of relief with  
22 antacids claimed they experienced complete symptom  
23 relief for episodic heartburn using the H<sub>2</sub>-RAs.

24 Yet, as you'll see, there was no negative  
25 consequence relative to physician visits for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 heartburn. Here is our first study. It's a  
2 publication from Andrade studying 2,000 people within  
3 the Fallon Community healthcare system who had a GERD  
4 related diagnosis and were receiving a prescription  
5 medication in 1994. What they found was that the  
6 yearly number of clinic visits in those patients with  
7 GERD stayed the same and averaged just under one visit  
8 per year per patient.

9 The second study was published by Shaw.  
10 It was a cross-sectional survey of adults in  
11 Minneapolis in 1993 which would be before the switches  
12 and again in 1997 after the switches. Within this  
13 general population the percent of people who went to  
14 the physician with complaints of dyspepsia or  
15 heartburn did not change when the H<sub>2</sub>-RAs became  
16 available.

17 Finally, we took another look at a very  
18 large dataset, the MEDSTAT MarketScan Database of  
19 Administrative Claims, that covers again millions of  
20 lives. We looked at the people who had continuous  
21 coverage from the period of 1995 through '98. That's  
22 the period during the switch of the H<sub>2</sub>-RAs.

23 We found that the percent of people  
24 undergoing endoscopy was not changed. And  
25 importantly, the mean number of visits for acid

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 related diseases was constant with a mean of about two  
2 visits per year.

3 So we see from these three studies we  
4 found there was no evidence that potent acid-reducing  
5 drugs kept people from seeking physician care. Of  
6 course, the question for today is would omeprazole be  
7 different. For that we can look at our actual use  
8 trial.

9 What was the behavior of these  
10 participants who had omeprazole available to them  
11 nonprescription? When we looked at physician contact  
12 in the study during the period of our trial, we found  
13 that on average the monthly rate of physician visits  
14 actually rose. The rate of physician contact per  
15 month was twice what it was per month in the year  
16 prior. This was in part due to the behavior of two  
17 very important groups of people.

18 First, we were very pleased to see that 20  
19 percent of those consumers who are potentially harder  
20 to reach, these were people who had never before  
21 discussed their heartburn with their physician.  
22 Twenty percent of them opted to talk to a physician  
23 about their heartburn when they participated in this  
24 study.

25 Importantly, over 50 percent of those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 individuals who took more than the 14-day regimen were  
2 in contact with their physician either during the  
3 study or when we contacted them at three months they  
4 told us that they did have a visit scheduled to  
5 discuss their heartburn.

6 We were very encouraged by this behavior  
7 and we believe this increased physician contact was  
8 driven in part by the label and the package insert.  
9 So from the published literature and the result of our  
10 actual use study, we see a consistent picture. The  
11 majority of people with frequent heartburn do talk  
12 about this with their physician.

13 People continue to see their physician  
14 even when the H<sub>2</sub>-RAs became available. The label we  
15 propose for OTC omeprazole encourages people to go to  
16 the doctor and we believe that is important. As we  
17 saw in our actual use trial, the rate of physician  
18 visits actually increased.

19 Consequently, we believe that physician  
20 visits will not decrease and there is a chance that  
21 they could increase with OTC omeprazole available over  
22 the counter.

23 Now, while the data we have just reviewed  
24 is reassuring in that most people are not going to be  
25 using this product chronically, and those who do are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 going to be the same people that are most likely to be  
2 talking to their physician, what about the product use  
3 on a more chronic basis with people who simply will  
4 not seek physician involvement? What are the risks  
5 and benefits to these consumers?

6 Now, let me restate before I go on to talk  
7 any further about the benefits to these consumers that  
8 our position is clear. The label advocates physician  
9 involvement for those individuals who are using the  
10 product beyond 14 days.

11 In considering this population, probably  
12 most of these people are going to have nonerosive  
13 disease. For these individuals if their symptoms are  
14 well managed then there is a benefit and there is  
15 little chance of any adverse consequences we've heard  
16 today.

17 However, it's clear that some of these  
18 people could, in fact, have some degree of erosive  
19 disease. Consequently, it's important to examine the  
20 potential benefit for these consumers.

21 Studies published in the literature have  
22 clearly shown that PPIs provide better healing of  
23 mucosal injury compared to other therapies. The  
24 easiest way to summarize all of that is just to look  
25 at one single publication, a medianalysis by Chiba

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 which I have shown one graph from that analysis.

2 This is a compilation of 43 studies  
3 looking at the healing of erosive esophagitis grades  
4 two to four, and it compares PPIs, H<sub>2</sub>-RAs, and  
5 importantly placebo. I think you don't ever really  
6 see this result much but if you look at placebo after  
7 12 weeks you get about 25 percent of healing in people  
8 not taking any therapy.

9 What I want to emphasize from this graph  
10 is that people taking PPIs you'll see that at two  
11 weeks there is more percent of people healed compared  
12 to even much longer treatment periods on H<sub>2</sub>-RAs.

13 Importantly, considering the placebo  
14 response, when you look at the overall percent of  
15 healing due to PPIs, you can see that the vast  
16 majority of that healing actually occurs within the  
17 first two weeks.

18 Consequently, people who are not healed at  
19 two weeks experience only a modest benefit from any  
20 additional therapy. I think this data clearly showed  
21 that for those few people who have erosive esophagitis  
22 and choose not to be in contact with their physician,  
23 a 14-day regimen of omeprazole is going to be a much  
24 better option for them compared to any other  
25 medication that they would take over the counter.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           In summary, we believe that the data in  
2 the literature, our analysis of large databases, and  
3 our actual use trials support that the majority of  
4 consumers will not use the product chronically.

5           Those consumer who may choose the product  
6 on a more frequent basis will do so with the  
7 involvement of their physician. Considering what we  
8 have heard from Dr. Peura and Dr. Levine, the  
9 potential risk for chronic dosing is minimal, while  
10 the known benefits are substantial.

11           DR. TRIEBWASSER: I would like to just  
12 briefly summarize what you have heard here today. You  
13 have heard that omeprazole will fill a critical gap in  
14 the OTC options for those people who suffer from  
15 frequent heartburn and who currently have no adequate  
16 OTC therapy to prevent their symptoms.

17           Omeprazole is ideally suited to meet the  
18 needs of these people for effective prevention of  
19 heartburn symptoms for 24 hours. From Dr. Peura you  
20 heard that OTC omeprazole fits into current medical  
21 practice and can be a safe and effective medication  
22 over the counter.

23           You heard that we have identified the  
24 right target population for OTC omeprazole. This  
25 target population is those people with frequent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 heartburn. This is the population that will benefit  
2 most from omeprazole and it is the population in our  
3 pivotal clinical studies.

4 We have shown you that the proposed label  
5 provides clear instructions for use. The actual use  
6 study demonstrated that this label is understood by  
7 consumers and that they appropriately self-selected  
8 and used the product.

9 The study also demonstrated that  
10 individuals who had a recurrence of heartburn symptoms  
11 responded appropriately. This label is congruent with  
12 out proposed use of omeprazole. It brings the right  
13 target population and the right indication for OTC  
14 omeprazole together with clear use instructions and a  
15 set of clear directions for physician involvement when  
16 appropriate.

17 You heard that OTC omeprazole should be  
18 labeled for 14-day regimen of therapy. Our efficacy  
19 trials and actual use studies showed that people  
20 received maximum symptomatic benefit within 14 days  
21 and that they understood and complied with the 14-day  
22 label.

23 Fourteen days is a conservative  
24 application of clinical guidelines. It also  
25 represents an appropriate period of OTC use after

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 which a person should be instructed to contact a  
2 doctor if symptoms continue or return.

3 You also heard that 14 days of omeprazole  
4 provides healing to most of that fraction of  
5 individuals in the target population who may have  
6 erosive esophagitis. For all of these reasons, the  
7 OTC label should not specify a longer treatment  
8 period.

9 You have heard that some people may use  
10 OTC omeprazole on an ongoing basis without seeing  
11 their doctor. Some of these individuals may have GERD  
12 or erosive esophagitis. They will be receiving a more  
13 beneficial therapy than the medications available  
14 today over the counter. Any potential risks will be  
15 outweighed by these known benefits of omeprazole.

16 In conclusion, omeprazole can be safely  
17 and effectively used over the counter. Thank you for  
18 your attention. We will be glad to answer any  
19 questions.

20 DR. CANTILENA: Okay. Thank you very much  
21 for your presentation. What I would now like to do is  
22 actually entertain questions from the committee  
23 members to the sponsor. We will go sort of around the  
24 table. Well, actually, if there is anyone who has a  
25 question, let's just start with a show of hands and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we'll identify you.

2 Okay. Dr. LaMont, Dr. Davidoff, Dr.  
3 Brass, and Dr. Camilleri for starters. Please don't  
4 put your hands down after.

5 DR. LaMONT: I wonder if we can get some  
6 clarification on the issues that were raised regarding  
7 the effect of food and antacids and other drugs on  
8 absorption. Your briefing book states on page 28 that  
9 omeprazole is completely absorbed after oral  
10 administration.

11 Food, antacids, and H<sub>2</sub>-RAs have no  
12 clinically meaningful influence on the extent of  
13 omeprazole absorption. Yet, we've heard from others  
14 this morning that there are important effects of these  
15 agents on absorption. I wonder if we can have some  
16 clarification.

17 DR. TRIEBWASSER: Certainly. In the Rx  
18 clinical trials for ethical reasons antacids were  
19 always allowed. These were placebo-controlled trials.  
20 We have extensive data with a prescription product  
21 where it was utilized with antacids without  
22 deleterious consequences.

23 As was indicated before with regard to  
24 food interactions, I'm not sure if you want to take  
25 time now but we have submitted data showing that with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the tablet formulation that is proposed for OTC use,  
2 there is really not a significant food effect. So  
3 we've done those studies, yes.

4 DR. LaMONT: Just a question of further  
5 clarification. You say on the extent of absorption.

6 Do you really mean on the extent of clinical efficacy?

7 Because we don't measure absorption clinically. The  
8 briefing book says absorption. I think you are  
9 referring to clinical effectiveness. Is that correct?

10 DR. TRIEBWASSER: Clinical effectiveness  
11 on the antacids with regard to food absorption.

12 That's where we actually did those kinds of studies.

13 I'm not sure if I'm pinpointing your question exactly.

14 DR. LaMONT: I'm trying to find out what  
15 clinically meaningful influence on absorption because  
16 we don't measure absorption clinically and we don't  
17 care about that. What we are really interested in is  
18 effectiveness. If I understand your response  
19 correctly, it had no effect. That is, antacids and  
20 other agents had no effect on clinical effectiveness.

21 DR. TRIEBWASSER: That is our belief.

22 Yes.

23 DR. CANTILENA: Okay. Dr. Davidoff,  
24 please.

25 DR. DAVIDOFF: I have some questions about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what I guess I've been thinking of as the dog that  
2 didn't bark. The barking dog is the potential  
3 relationship between omeprazole and cancer.

4 In the company's materials on page 83  
5 there's the statement that there is no evidence that  
6 there is a causal relationship between the use of  
7 omeprazole and the development of gastrointestinal  
8 cancer in humans. Dr. Levine's slides 54 and 56 say  
9 the same thing.

10 I am somewhat puzzled, however, because in  
11 the materials that were given to us from the 2000  
12 hearings on omeprazole, the summary statement from the  
13 medical review by the FDA says as follows:

14 "Although in the general and  
15 undifferentiated population of the U.S. there is no  
16 clear tumor association with omeprazole, the  
17 possibility that there are oncogenic effects in  
18 subceptable groups exposed to omeprazole for very long  
19 periods of time has not been ruled out.

20 Phase IV studies (and this is in italics)  
21 to investigate the incidents of GI adenocarcinoma and  
22 other malignancies using long-term prospective or  
23 nested control cohort study designs of large numbers  
24 of exposed individuals should be established."

25 They base this statement on the concern

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 that the studies available were too short and not  
2 appropriately designed. In effect, they were saying  
3 there is absence of evidence and that is basically  
4 what the company statements have said. The  
5 statisticians are fond of reminding us that absence of  
6 evidence is not evidence of absence.

7 In 1930 if you asked anyone if there was a  
8 connection between smoking and lung cancer or lots of  
9 other diseases, they would say there is no evidence,  
10 but that was not because there was an absence of  
11 effect because no one had looked.

12 My question is has the company done  
13 appropriate prospective or nested cohort, or case  
14 control studies as suggested in the year 2000 on this  
15 aspect of safety? If they haven't actually themselves  
16 done those studies, have you at least done an  
17 exhaustive review of the literature and, if so, what  
18 did you find?

19 DR. TRIEBWASSER: Let me respond. I don't  
20 think the characterization of our review in any way  
21 resembles anything that has been done with the tobacco  
22 company. In fact, we have looked extensively. I  
23 would submit that the FDA position is conservative but  
24 I can review for you the nature of the data that the  
25 company is prospectively and proactively obtained over

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the 20-year history of the availability of this  
2 product.

3 First, as you may recall, the initial  
4 target tissue of concern was the ECL cell based on  
5 animal studies. In response to obvious concerns of  
6 the study that Astra at that time conducted actually  
7 several prospective controlled studies ranging in  
8 length anywhere from two to five years.

9 But then also open-label observational  
10 compassionate use studies in which individuals were  
11 treated in doses as high as 40 milligrams of  
12 omeprazole and endoscoped on a regular basis to  
13 actually look at not just the gastric mucosal but  
14 actually the entire GI tract.

15 These are data that were prospectively  
16 submitted to FDA in response to agency requests over  
17 the past few years they have actually resubmitted and  
18 reanalyzed those kinds of studies.

19 In addition, there's been extensive post-  
20 marketing reports that we've collected and there are  
21 certain weaknesses with those kinds of data but they  
22 are available data.

23 We have always complied with a prospective  
24 reporting of those, but also submitted a number of  
25 analyses looking at not only upper GI tract cancers

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but pre-cancerous lesions as well as precancerous  
2 lesions and cancers of non-GI tract tissues.

3 Those data once analyzed did not reveal  
4 any safety signal whatsoever, although the entire  
5 marketing history of the product, and those data were  
6 submitted to FDA as well.

7 Response to this is that: "Yes, there is  
8 no evidence." The nature of the kinds of studies, one  
9 could argue, may not be the perfect scientific result,  
10 but this would be utterly impractical to perform.

11 DR. DAVIDOFF: Thank you very much. That  
12 is somewhat reassuring, although those studies are  
13 obviously very difficult to do and hard to interpret.

14 I have on other question. It has to do  
15 with the related issue of the potential masking of  
16 symptoms and the delay in diagnosis of GI cancer  
17 because in the data presented to us again in the 2000  
18 material from the FDA, it was pointed out that there  
19 were in the patients taking the drug 49 cases of  
20 adenocarcinoma of the stomach occurred and, "In at  
21 least four of these cases, OMP therapy caused masking  
22 of symptoms and/or temporary healing of gastric  
23 mucosal with a one to 12 month delay in diagnosis of  
24 malignancy.

25 I note that this occurred in patients who,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of course, were already seeing physicians because  
2 that's where they got the prescription for the PPI. I  
3 would ask the question, therefore, wouldn't the risk  
4 for the masking of serious symptoms like PPI be  
5 somewhat greater than this in the OTC environment,  
6 particularly since we know the post-marketing  
7 reporting of such events is notoriously incomplete.

8 DR. TRIEBWASSER: Again, the sponsor  
9 perspective is that the screening and surveillance  
10 detection of upper GI tract cancers are amazingly  
11 difficult to do. The medical community does not know  
12 who to screen and how identify as risk populations and  
13 that we have a chance to intercede.

14 I think there are certainly going to be  
15 documentation of these rare instances, but the bulk  
16 that we rely on are the instructions which are going  
17 to be for limited use and certainly strongly advising  
18 that individuals in the consumer population keep their  
19 physicians in the loop.

20 I think that this whole area is ripe for a  
21 new investigation because I think it's just a very  
22 challenging issue even when such individuals are in  
23 the hands of physicians.

24 DR. DAVIDOFF: Thank you.

25 DR. CANTILENA: Dr. Brass.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701