

1 DR. BRASS: I would like to begin --  
2 actually, do you know the soccer score?

3 DR. CANTILENA: No.

4 DR. BRASS: Okay. Thank you. I would  
5 like to begin by asking for a clarification from the  
6 sponsor. You cited efficacy data from studies 171 and  
7 183 as the basis for the efficacy conclusion. Could  
8 you just clarify whether the endpoint cited were the  
9 prospective primary endpoints of that study or were  
10 they secondary endpoints of that study?

11 DR. PEURA: The data that I presented on  
12 the screen showed both the primary and the secondary.  
13 The primary variable was complete prevention of  
14 heartburn on day one and our secondary variables were  
15 those across the 14 days.

16 DR. BRASS: Thank you. Second, I think  
17 it's extremely likely that if this drug is made  
18 available OTC there will be pregnancy exposures  
19 despite any warnings. Therefore, could you update us  
20 on your experience with pregnancy exposures from your  
21 safety database?

22 DR. TRIEBWASSER: Certainly. We are  
23 relying on the data that we have submitted to the FDA  
24 in which several large epidemiologic studies have  
25 failed to identify a signal among women who were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 inadvertently exposed to the product during first  
2 trimester. This material is currently under FDA  
3 review and we've had discussions with FDA.

4 DR. BRASS: For the committee's benefit,  
5 could you give us an estimate of just the magnitude of  
6 that experience? How many exposures are you talking  
7 about to reach this safety conclusion?

8 DR. TRIEBWASSER: Sorry. We were able to  
9 accumulate data from three large epidemiologic studies  
10 where approximately 1,400 women were exposed to the  
11 drug and over 1,000 were exposed during the first  
12 trimester. It's on the basis of that data that we  
13 evaluated and failed to see any signal with regard to  
14 fetal risk.

15 DR. BRASS: Thank you. Then under your  
16 proposed labeling under warnings, you indicate that  
17 one should notify your doctor if you had heartburn for  
18 three months or longer without talking to your doctor.  
19 That would seem to capture 100 percent of the  
20 intended target population. I was wondering what your  
21 experience in the actual use study was compliance with  
22 that particular warning.

23 DR. PEURA: We found that approximately 65  
24 percent of the people that had previous heartburn for  
25 greater than three months had seen their physician

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 previously.

2 DR. BRASS: About their heartburn?

3 DR. PEURA: About their heartburn  
4 specifically.

5 DR. BRASS: Thank you.

6 DR. CANTILENA: Dr. Camilleri, Dr. Fogel,  
7 Dr. Uden, and others.

8 DR. CAMILLERI: Thank you very much. I  
9 would like to ask for some further clarification  
10 regarding the effectiveness of this therapy for the  
11 proposed target population. I would like to refer you  
12 to figures 62 and 63 in your dossier and also slide 25  
13 which was the day-by-day 14-day efficacy which you  
14 demonstrated.

15 On the one-day response you actually show  
16 in your dossier that less than 50 percent actually  
17 achieve the desired no heartburn over 24 hours. That  
18 is really quite acceptable because we know from the  
19 pharmacological action of this drug it is going to  
20 take three to five days to really kick in.

21 I think this slide in particular shows an  
22 important point which we should remember, and that  
23 that 30 percent or more of these patients do not  
24 achieve relief. I keep that in mind as I also note  
25 from the 171 and 183 studies that 57 percent of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients had frequent heartburn return at four weeks.

2 In the Bardhan study 68 percent of patients required  
3 three or more courses a year of the omeprazole at the  
4 same dose of 20 milligrams.

5 The clarification I would like, if I may  
6 ask this, is is this truly a benefit for this  
7 particular population of patients who have what I  
8 would regard as a quite significant level of  
9 heartburn, more than twice a week occurring over a  
10 period of 30 days or more which I think was the  
11 conclusion criteria for your particular study.

12 What is the overall benefit for a patient  
13 to receive treatment for two weeks if the likelihood  
14 of needing yet another treatment two or three times in  
15 that next year is going to be at least 60 percent.  
16 Also bearing in mind that only 65 percent or so  
17 actually respond to the treatment. Thank you.

18 DR. BIERER: Well, first I would just like  
19 to say that for the people who are using OTC products  
20 to prevent frequent heartburn, this is a very  
21 considerable benefit to those people because they  
22 currently do not have products available to them in  
23 the OTC environment that can achieve this kind of  
24 symptom prevention. I think for those individuals it  
25 is clearly a benefit.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think the question then of are there  
2 additional benefits for those people if they use the  
3 product over and over, certainly for those periods of  
4 time that they use them they will derive some dramatic  
5 benefit from those products.

6 DR. ZORICH: And I would just add that we  
7 do not consider people who are unresponsive to therapy  
8 after 14 days to actually be in the target audience  
9 and that is why I think a 14-day direction clearly  
10 advising them to seek additional physician interaction  
11 distinguishes them as what we consider to be the  
12 appropriate target audience.

13 DR. CANTILENA: Go on, Dr. Fogel.

14 DR. FOGEL: I have a question about  
15 potential unintended consequences on utilization of  
16 physicians if this drug is approved. We all know that  
17 over-the-counter H<sub>2</sub>-receptor antagonists have limited  
18 efficacy in the treatment of reflux.

19 The cost of the over-the-counter H<sub>2</sub>-  
20 receptor antagonists is somewhere between 20 and 30  
21 cents a pill depending on what you buy and the  
22 quantity that you buy at any one time. These costs  
23 are not covered by insurers or managed care  
24 organizations.

25 The cost of omeprazole in the study that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you did I believe was \$12 for 14 pills. If  
2 individuals have to pay for the medication out of  
3 pocket, the odds are after using it once or twice they  
4 will seek a doctor to try and reduce their expenses.

5 Do you have any insights or knowledge  
6 about whether insurers and managed care organizations  
7 will pay for an over-the-counter omeprazole? If they  
8 do, that would actually be a disincentive to see the  
9 doctor because the cost of care would be covered by  
10 your insurance and you would not have to have a co-  
11 pay. You would not have to go through the discomfort  
12 of seeing a physician. The question is is there any  
13 sense as to whether insurers will pay for an over-the-  
14 counter medication?

15 DR. ZORICH: I would say this is an area  
16 in terms of cost effectiveness in the general area of  
17 distribution of our healthcare dollars is constantly  
18 debated but at this time we don't have any -- we have  
19 had no indication if people will be picking this up,  
20 particularly when generics will be on board.

21 DR. CANTILENA: Okay. Dr. Uden. And  
22 then, just in general, usually cost of out of bounds  
23 for the Advisory Committee.

24 DR. UDEN: I have questions about the  
25 actual use studies and label comprehension studies.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 It relates to industry established standards and how  
2 to interpret those. I saw information up there that  
3 the literacy people 49 percent understood the label or  
4 were able to self-select.

5 I think there was 70 -- understood the  
6 label and 70 percent were able to self-select. The  
7 general warning signs on the label were understood 81  
8 percent of the time. I see numbers like 50 percent,  
9 70 percent, 81 percent in terms of actual use and  
10 label understanding.

11 Has the industry established any standards  
12 which would give us some guidelines or use some  
13 guidelines as to what is reasonable for us to expect  
14 for understanding labels, being able to follow labels,  
15 or shall we just leave it to our imaginations?

16 DR. PEURA: I think as far as industry,  
17 there are no set standards for what is desired on  
18 label comprehension. I think it has to be determined  
19 on a case-by-case basis depending upon what the  
20 indication, the warning, and the statement is.

21 Certainly for some indications you would  
22 want a high level of comprehension. In other ones it  
23 may not make that much difference and I think we have  
24 to look at the risk for each one of those.

25 DR. UDEN: And where do you fall on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 omeprazole then?

2 DR. PEURA: On omeprazole I would say that  
3 we have determined it is appropriate, that people do  
4 use it appropriately, even the low literate group. I  
5 would point out in the low literate group the number  
6 they showed of 49 percent, these people were low  
7 literate with frequent heartburn.

8 We presented this scenario to them or a  
9 hypothetical question that said, "Now, imagine  
10 yourself having infrequent heartburn and you woke up  
11 at 3:00 in the morning and you had eaten pizza but you  
12 hadn't taken the product for three days before that.  
13 What would you do in this situation?"

14 It's very hypothetical but we found out  
15 probably a truer reflection is when we look at the  
16 actual use study of people with low literacy that  
17 these people actually scored better and they had to  
18 meet all six self-selection criteria, not just the one  
19 I spoke earlier about infrequent heartburn. That's  
20 probably a more real world realistic situation.

21 DR. CANTILENA: Okay. We have Dr. Geller  
22 and other hands, Cohen, Levine. Dr. Geller first,  
23 please.

24 DR. GELLER: I just would like to point  
25 out how optimistic your reporting of the actual use

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 data is. I'm beginning with slide 41. 886 patients  
2 agreed to purchase the product. I imagine that means  
3 they did purchase the product. But then you report on  
4 only 758 of them because that is the number that  
5 returned the diary. To begin with, here you decrease  
6 your population to 87 percent of those who purchased  
7 the product.

8 Then if you take 87 percent of the next  
9 group of numbers, then the compliance goes down by  
10 about 10 or 12 percent. You have also here reported  
11 on the individual compliance, the three conditions.  
12 You have reported on only two of them and individually  
13 not together.

14 Then your definition of compliance on  
15 slide 43 is quite broad. Then you get an over  
16 estimate of the compliance because you define  
17 compliance broadly. That reduces the 79 percent to  
18 follow the labeling directions by about 10 percent.

19 Then if you look at slide 45 and talk  
20 about the return of frequent heartburn four weeks  
21 after the trial, well, now you are reporting on 83  
22 percent of the 87 percent.

23 I guess it should be said that if you go  
24 back to slide 41 the 758 patients who used the product  
25 and returned the diary were more likely to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 compliant with dosing conditions than all of those  
2 others. Those 13 percent of patients that you lost  
3 are likely to be noncompliant with the dosing  
4 directions.

5 I think then you are reporting on 83  
6 percent of the 87 percent and, therefore, the percents  
7 that have no frequent heartburn are just a  
8 misrepresentation of what actually happened from those  
9 who actually bought the product. I believe you are  
10 reporting very optimistically.

11 Last, you didn't ask if the patients who  
12 were in the actual use study used the product for  
13 relief instead of prevention. I don't know if you  
14 asked if they used antacids or other drugs to get  
15 relief.

16 I also have a question about the labeling.  
17 I would like to know the difference between  
18 prevention of the symptoms of frequent heartburn and  
19 prevention of frequent heartburn.

20 DR. PEURA: I think I heard six questions.

21 Let me start with the first one from this graph.  
22 From the 866 we did not get diaries back from 96  
23 people. We were actually looking at how did people  
24 actually use the product in this situation so that's  
25 where we came to the number of the 758. We assume

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that those people since we didn't have a diary from  
2 them, you could assume that they --

3 DR. GELLER: I think you can assume we are  
4 noncompliant.

5 DR. PEURA: Well, we can't really assume  
6 that because I think we would -- I mean, you could  
7 make a case that they were noncompliant but I think I  
8 could also make a case that they could have been  
9 compliant with this. But we do know -- the best  
10 number that we do know is from the 758 where we  
11 actually do have diaries from those people.

12 If you can flip to the next slide. Next  
13 slide, please, 42 -- 43, the one with the description.

14 This description gave us a range of 80 to 100 percent  
15 which I mentioned before is an epidemiologic standard  
16 that people use for compliance with an Rx dosing  
17 regimen.

18 The choosing between 11 to 14 doses for 11  
19 to 17 days, well, that seems like a wide range. There  
20 is actually less than 1 percent of the people within  
21 that range. Less than 1 percent of the people who  
22 took 11 doses in 17 days.

23 Most of the people if they missed a dose  
24 took it on the 15th day, not on the -- they may have  
25 taken 14 consecutive. Some would have missed a dose.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 If they missed one dose, they would have taken it on  
2 the 15 day so the range is very tight within that.

3 Onto the next slide, please, 44. In this  
4 you had mentioned that the range of -- if I can  
5 remember the question -- the range was 79 percent.  
6 You thought -- the position was there were three  
7 dosing instructions in that making sure they had the  
8 right number of tablets per dose, the right number of  
9 tablets per day.

10 As the FDA did, multiply that times the  
11 correction factor in this one. That would come out to  
12 pretty close to probably about 75 percent because  
13 those were in the 90s that were there.

14 Also, as I did report earlier, if you look  
15 at the people who took exactly 14 doses in 14 days,  
16 they did exactly what was on the protocol, the number  
17 is 63 percent. Not of the 79 but of the total pie.

18 There was one other question after that  
19 before we get to the labeling question.

20 DR. GELLER: There were three, I think.

21 DR. PEURA: Pardon? Okay.

22 DR. GELLER: It was on the percent with no  
23 frequent heartburn that now involved 83 percent of the  
24 87 percent of those who purchased the product. That  
25 was the first one.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. PEURA: Okay. It's the same general  
2 theme. We are looking at people that we actually have  
3 data upon to make a judgement. I think the labeling  
4 question was in terms of between symptoms of frequent  
5 heartburn and why do we put the word symptoms of  
6 frequent heartburn on the label. That was really --

7 DR. GELLER: In fact, I did include the  
8 word prevention because I think that ends up -- when  
9 you say prevention of symptoms, it seems to me it kind  
10 of introduces the possibility of taking it for relief.

11 DR. PEURA: Okay. Let me come back to you  
12 asked about how do we know that the people took it for  
13 prevention. I believe that was one of the questions  
14 in there. The people that took it for the 14-day  
15 period, the 79 percent of the people were taking it  
16 over a regimen of therapy. They were maybe missing  
17 one day within that over the time.

18 These people were probably not taking it  
19 in response to a symptom because they would have to  
20 have it every day. They were most likely taking it  
21 for prevention since they were taking it on a regimen  
22 basis.

23 The reason that we included the words  
24 "prevention of the symptoms of frequent heartburn" is  
25 that we did not want to imply that we are preventing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 frequent heartburn, preventing it was ever reoccurring  
2 whatsoever. It's not promising the cure. We're  
3 trying to define to the consumers the prevention of  
4 the symptoms which is more a consumer term.

5 DR. LEVINE: Symptoms is more OTC.  
6 Symptomatic relief or symptomatic prevention is what  
7 this is about.

8 DR. GELLER: I guess I just don't know  
9 what heartburn is if it's not a symptom.

10 DR. PEURA: It is. Perhaps we are being a  
11 bit redundant with it but we wanted to get the message  
12 across to the consumer that we are not preventing  
13 heartburn from ever reoccurring again. It is  
14 symptomatic treatment of heartburn. Prevention of  
15 symptomatic heartburn.

16 DR. GELLER: The two questions you missed  
17 were I asked if you asked in the actual use study if  
18 anybody used the -- if people used the product for  
19 relief. The other question was did you ask about  
20 concurrent use of other drugs for treating these  
21 symptoms.

22 DR. PEURA: Okay. Thank you for reminding  
23 me. If I could go back to the pie chart again. The  
24 pie chart before this, please. 44, please.

25 DR. GELLER: 45, I think, you want.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. PEURA: The full pie. Asked if  
2 anybody had used it for symptomatic relief. I think  
3 the best answer we can give you is that probably the  
4 people in this pie chart here between the 9 percent  
5 and the 9 percent looked as if they were using it not  
6 on a regimen basis.

7 Of that 3 percent of these people took  
8 only four doses of the product and then stopped. If  
9 we take those out, perhaps as much as 15 percent would  
10 be the max that probably we are using as a  
11 sporadically or for a PRN type basis. And accordingly  
12 --

13 DR. GELLER: Once again, that's of the 83  
14 percent of the 87 percent.

15 DR. PEURA: Importantly they didn't use it  
16 beyond the 14 days. The last question was?

17 DR. GELLER: I see the data on -- oh, no.  
18 Did you ask if subjects also used other products at  
19 the same time?

20 DR. PEURA: We did collect it in the diary  
21 whether they used other antacids or H<sub>2</sub>-RAs.  
22 Unfortunately the way the information was collected in  
23 the diary, if the person said they were on an  
24 H<sub>2</sub>-RA, it was counted throughout the whole range. We  
25 didn't record on a day-by-day basis so we really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cannot answer that question.

2 DR. CANTILENA: Thank you. Ms. Cohen.

3 MS. COHEN: I have a lot of questions. We  
4 have 44 million Americans --

5 DR. CANTILENA: How about if I suggest  
6 that you ask them sort of one at a time.

7 MS. COHEN: All right. We have -- I will  
8 try the best I can because I suffer from GERDs and  
9 esophageal rings so I'm a good person to know about  
10 diet. The word diet has not been mentioned at all.  
11 Could we look at the label? The last time I asked for  
12 a copy of the label and the packaging. Did anyone  
13 think to bring one today? This is large. I'm sure  
14 this is much larger than is going to go on the box.  
15 Right?

16 DR. PEURA: Correct.

17 MS. COHEN: We really don't know the size  
18 of the print or if people can read it.

19 DR. PEURA: We do know that people can  
20 read the print since we did label comprehension  
21 studies and actual use studies and we used the actual  
22 box that we would market.

23 MS. COHEN: Thank you. When you look at  
24 the label it says "uses." It seems to me you could  
25 add, "Not for infrequent cases," like they say on page

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 21 in this report.

2 Dr. Bill mentioned three months along that  
3 some of these suffered from angina or something else.

4 Three months is a long time to tell people not to see  
5 their doctor. "Do not use if heartburn continues  
6 after 14 days. See a physician," for those people  
7 that can afford to see a physician. Then we have 44  
8 million Americans who can't.

9 I think it's very important that some  
10 foods can cause heartburn and you should check with a  
11 nutritionist or a physician or a library because a lot  
12 of foods can be eliminated that will stop people from  
13 getting heartburn which is a lot less expensive than  
14 having to buy drugs.

15 I don't see anywhere here the importance  
16 of diet like tomato sauce or red wine. A lot of foods  
17 cause heartburn and we can prevent that with people.  
18 I think the label, I would really like to see the size  
19 of the label how it is.

20 In reading the report that was put out, my  
21 question is it apparently says that a substantial  
22 portion of subjects experience no heartburn on day one  
23 or day 14 in the placebo group. Now, what was given  
24 to the placebo group?

25 DR. PEURA: Okay. Let me try and answer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 your questions in order. The first one you asked  
2 about diet and diet restrictions. We do have a  
3 package insert with this product where we talk about  
4 tips for managing heartburn which includes diet,  
5 certain foods not to eat, elevating the bed, not  
6 eating dinner late at night and before lying down.

7 This is the label. Take the label off,  
8 please. Actually, we do contain that on the package  
9 insert. There is too much information that we cannot  
10 put on the back label of the package. We have  
11 discussed this with the agency. In the back it's  
12 called, "Tips for managing heartburn," down here which  
13 is what one would want to do. It's a primary one.

14 Second, you had said that some people may  
15 have chest pain or angina. We do clearly say in the  
16 label under "do not use" to ask a doctor before use if  
17 you have chest pain with shortness of breath,  
18 sweating, pain spreading to the arm, etc., things that  
19 could be confused with potential heart attack.

20 That we have included and believe it is  
21 important to include that on the label. In fact, it  
22 probably ought to be included on the label for all  
23 heartburn medications OTC.

24 The last one was -- I have forgotten your  
25 last question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. COHEN: I was interested in the  
2 placebos. If people were give just over-the-counter  
3 antacids.

4 DR. PEURA: They were given the placebo  
5 called the matched placebo, everything that was in the  
6 active pill with the exception of the omeprazole, the  
7 active ingredient.

8 MS. COHEN: Well, they said that there was  
9 a 40 percent treatment failure rate after 14 days in  
10 subjects with high-frequency heartburn.

11 DR. LEVINE: Forty percent.

12 DR. PEURA: Right. For some people  
13 placebos do work well for heartburn. As Dr. Weintraub  
14 once told us, a glass of water works fairly well for  
15 some people. For this the failure rate in omeprazole  
16 does not work in every patient. There is a  
17 therapeutic range in which it works. The failure rate  
18 is the 30 percent of people at the top of that graph.

19 MS. COHEN: Your statistics all involve  
20 people in the study itself. That is already a special  
21 class of people and it's not the typical and average  
22 consumer who would use the product. These people are  
23 already more conscious. They are in a study and they  
24 should be doing things that are expected of them. How  
25 does that represent the average and typical consumer?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. PEURA: In our actual use study we  
2 actually ask people how they evaluated the product.  
3 We asked them in terms of their global understanding  
4 was this product good, very good, excellent, poor. We  
5 found that 90 percent of the people rated the product  
6 good, very good, or excellent.

7 MS. COHEN: And these are the people in  
8 the study?

9 DR. PEURA: They are people in the actual  
10 use study.

11 MS. COHEN: So this is not the person who  
12 would go in and buy it?

13 DR. PEURA: It is the person who would go  
14 buy it.

15 MS. COHEN: But these are the people that  
16 knew about it because of the study you were doing.

17 DR. PEURA: They were recruited from a low  
18 intercept and asked, "Do you get heartburn?" They  
19 were close to a purchase decision that people would  
20 want to make in a drug store or outlet store.

21 MS. COHEN: Thank you very much.

22 DR. CANTILENA: Dr. Levine.

23 DR. LEVINE: I'll ask one question. In  
24 reference to slide 77, you mentioned that the majority  
25 of consumers in slide 78 won't be using omeprazole

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 chronically. There is a subgroup, of course, who have  
2 chronic heartburn, mainly patients with gastroesophageal  
3 gastro- reflux disease.

4 In slide 77 you show Chiba's work. I  
5 believe that was with 20 milligrams but most of us  
6 recognize that it's at least 30 days or so where there  
7 is complete healing, much better healing, than what is  
8 shown in this particular slide at four weeks versus  
9 two weeks.

10 While we will discuss that later about the  
11 duration, as well as possibly dose of undertreatment  
12 populations, do you have any prospect of data in your  
13 studies that go longer than the 14 days to give us an  
14 idea, or other literature because the literature that  
15 I am familiar with clearly shows a better response  
16 rate between two and four weeks.

17 DR. ZORICH: Yes. I think that -- I don't  
18 mean in anyway to imply that this data should be taken  
19 very literally that there is a flattening here. I  
20 think it's more than fair to consider that if you  
21 smooth this line out that there is, indeed, a benefit.

22 But if you take into account the fact that  
23 there is also benefit that accrues with placebo, you  
24 can see that while there is a benefit, it is not as  
25 much of a benefit as you might anticipate.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But I think more importantly than simply t  
2 his data which is, as I said, a medianalysis of 43  
3 studies, we could look at each one of the individual  
4 studies and each one would support that there is an  
5 incremental benefit as you go longer.

6           More importantly, we are not seeking to  
7 treat people with erosive esophagitis with this. We  
8 believe that those people should remain within the  
9 medical care system getting their medication from  
10 their physician. That is even more reason why people  
11 who are not responding to 14 days should be directed  
12 to their healthcare professional for evaluation.

13           I think 14 days in the OTC environment is  
14 a very logical place to say if you are not responding  
15 by 14 days, you may very well indeed have higher  
16 grades of erosive esophagitis best managed with the  
17 advice of your physician.

18           DR. LEVINE: Thank you. We'll discuss  
19 that later, I think.

20           DR. CANTILENA: Yes, we will.

21           Dr. Alfano and then Dr. Cryer.

22           DR. ALFANO: Yes. The reference is at  
23 slide 37. On slide 37 you list 385 people who elected  
24 not to participate. As I recall, you said some of  
25 them elected not to participate because they wanted to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 check with their physician first. How many of the 385  
2 wanted to check with their physician first?

3 DR. PEURA: About a third of these people.

4 DR. ALFANO: And yet that was excluded  
5 from your analysis. In other words, these are people  
6 who said the product was appropriate for them but  
7 selected out before they ever hit your database.

8 DR. PEURA: Correct. We excluded them  
9 from our analyses.

10 DR. ALFANO: The second question is slide  
11 57. Dr. Levine states that the increased incidence of  
12 adenocarcinoma beginning in the '70s is not related to  
13 acid reducers. This is related to a question I had on  
14 something Dr. Wolfe showed us where he showed the  
15 epidemiological trend, which coincidentally ended, at  
16 least in his slide, before omeprazole was launched in  
17 this country.

18 My question is what is the basis, Dr.  
19 Levine, that you say that this change is not related  
20 to acid reducers?

21 DR. TRIEBWASSER: Actually, several lines  
22 of evidence. First, the superficial look at the time  
23 relationship to this cancer and use of products isn't  
24 sufficient to really draw any correlation. In fact,  
25 the rising incidents of this cancer predated the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 introduction of the H<sub>2</sub> blockers. The H<sub>2</sub> blockers, as  
2 I'm sure you can appreciate, were introduced initially  
3 for treatment of peptic ulcer disease and GERD.

4 In addition, the initial introduction of  
5 omeprazole is the first PPI that was conservatively  
6 introduced for individuals with hypersecretory  
7 conditions like Zollinger Allison syndrome and fully  
8 responsive in peptic ulcer disease. There was  
9 probably several years, in fact, of this rising  
10 incidence that bears no relationship from an  
11 epidemiologic perspective to the use of these drugs.

12 In addition, there have been careful  
13 epidemiologic studies that have, in fact, looked at  
14 the relationship of this type of cancer and acid  
15 reducers and the acid reducers basically seem to go  
16 along with the underlying condition which does  
17 increase the risk which is chronic persistent  
18 heartburn.

19 DR. ALFANO: Would it then also be true  
20 that these drugs bear no relationship to the decline  
21 in squama cell CA.

22 DR. TRIEBWASSER: I have no evidence to  
23 even suggest that, no.

24 DR. CRYER: I'd like to get back to a  
25 comment that Dr. Camilleri made a little earlier which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 was that in his opinion that heartburn of frequency of  
2 greater than two times per week is considerable  
3 heartburn and I would agree. I guess one of the  
4 things that has really been ostensibly absent from  
5 this discussion is a description of frequent heartburn  
6 as being GERD, gastro esophageal reflux disease.

7 As a gastroenterologist I'm having a  
8 difficult time understanding the differentiation  
9 between frequent heartburn and what we really call the  
10 treatment of GERD.

11 The specific question is in your  
12 population of individuals who had frequent heartburn  
13 of more than twice a weekly, do we know how many, what  
14 was the distribution of those individuals with regard  
15 to their actual frequency? Specifically how many had  
16 it three times, four times, five times a week?

17 DR. ZORICH: I would say that within our  
18 actual use trial which observed people for a three-  
19 month window, what we found is that at the three-month  
20 contact that 43 percent of them said they were not  
21 having frequent heartburn. Here is a group of  
22 individuals who stated they weren't having frequent  
23 heartburn. The vast majority of them then took 14  
24 days of omeprazole and when contacted at three months  
25 said they no longer had frequent heartburn.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Right there you see almost half of the  
2 population saying they are not having frequent  
3 heartburn. If you extrapolate that group, even if  
4 they were to then the next day after you called them  
5 have another bout of frequent heartburn and this went  
6 on, that would be perhaps four times a year.

7 That's why I thought it was very important  
8 to bring in data that is more specific to your  
9 question like the publication by Bardhan which looked  
10 specifically at people who had a diagnosis of GERD.

11 They did have screening endoscopy at the  
12 entrance to the study. Only Grade IV was excluded  
13 from participation on the grounds of ethical reasons  
14 that these people need healing, to your point, Dr.  
15 Levine. What you saw there was that 75 percent of the  
16 people actually did well using intermittent.

17 That brings us to the question that you  
18 asked first, are we making a distinction between  
19 frequent heartburn and GERD. I think importantly it  
20 undoubtedly reflects the same bias that limits our  
21 ability to look broadly in the data.

22 Most of the clinical trials have looked at  
23 maintenance of remission and really maintenance  
24 therapies dealing with erosive esophagitis. There's  
25 only a few trials like Bardhan -- and there's another

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 by Lindh -- that really allows people to elect to take  
2 treatment on the basis of symptom occurrence. The  
3 Lindh study is another one I didn't show but it shows  
4 very similar results.

5           What you see there is there are a  
6 population, and it turns out to be the majority of  
7 people, who have episodes -- well, that's not a good  
8 word in this setting because it means something else,  
9 but they have periods of time when their frequent  
10 heartburn is acting up and then it goes into a quiet  
11 phase and it may act up again in the future. Only  
12 about 25 percent of the people seem to be requiring  
13 more chronic therapy.

14           I think it's this 25 percent of the people  
15 who are those that end up in the clinical trials and  
16 the ones who are chronically seeking physician care  
17 for further intervention who have relapsing symptoms.

18           We're not targeting the therapy to them  
19 but it's a perfectly acceptable therapy for them if  
20 they are using it with their physician being  
21 knowledgeable about it.

22           DR. CRYER:       Okay.     So as you were  
23 responding, Dr. Camilleri actually pointed me, I  
24 guess, to the briefing document that was provided by  
25 the sponsor.     From the efficacy trials the mean

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reported baseline of heartburn frequency was five days  
2 per week. Would that be an accurate statement?

3 DR. PEURA: In our efficacy trials 171 and  
4 183 the average days of heartburn was about 75 percent  
5 of the days which would be five out of seven days.  
6 The severity was mild to moderate on a three point  
7 scale.

8 You had also asked about the population in  
9 our actual use study as well as the people. Did you  
10 ask that question?

11 DR. CRYER: No, I didn't have the question  
12 but I would be happy to hear the answer.

13 DR. PEURA: It's similar population range  
14 within that. Less than 1 percent of the people  
15 actually had infrequent heartburn less than one day a  
16 week and about a third of the people had it two to  
17 three days a week. The other third had it five to  
18 seven days a week.

19 DR. CRYER: If I might, just to follow up  
20 on the population and the actual use study. I believe  
21 you said a little earlier that the population in your  
22 actual use study is as close as you can get to a  
23 population that would be making a purchase decision at  
24 a pharmacy or a drug outlet store.

25 Given that you actual use population is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fairly reflective of the actual population, I really  
2 want to get back to this issue of your low-literate  
3 population. Under low-literature population, if I  
4 understand it correctly, there was a 50 percent  
5 response rate in terms of label comprehension.

6 You've told us -- you've suggested that  
7 when the actual compliance over 14 days that their  
8 compliance was somewhat better. I think the  
9 description then was 50 percent but I never heard a  
10 quantification of how much better than 50 percent was  
11 their actual compliance over 14 days.

12 DR. PEURA: Over the 14 days the  
13 compliance was only 2 to 3 percent different than the  
14 "literate" population. This is in compliance for  
15 using the product appropriately, the one dose per day,  
16 one tablet per dose, and also over the 14-day dosing  
17 regimen period.

18 When we look at correct self-selection of  
19 those criteria, there was about a 10 percent  
20 difference. There was 70 percent for the low-literate  
21 group compared to 81 percent for the average group.

22 DR. CANTILENA: Okay. Thank you. I think  
23 we'll have some time as well this afternoon to ask  
24 more questions. I just have one which involves the  
25 actual use study. At what point in the study did you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 obtain informed consent?

2 DR. PEURA: We obtained informed consent  
3 after the people made the purchase decision to buy the  
4 product.

5 DR. CANTILENA: Okay. I was wondering if  
6 you had a copy of the informed consent document with  
7 you?

8 DR. PEURA: We don't have it with us.

9 DR. CANTILENA: Okay.

10 DR. PEURA: We can probably get you a copy  
11 if you would like to see it.

12 DR. CANTILENA: Okay. If you could have  
13 that for after lunch, that would be great. What I  
14 would like to do now is -- we are just a little bit  
15 over -- take a 20-minute break. Come back at just  
16 after 11:00 a.m.

17 (Whereupon, at 10:45 a.m. off the record  
18 until 11:06 p.m.)

19 DR. CANTILENA: While we're waiting for  
20 people to come back, the final score Germany 1, USA 0.

21 But it was a great run.

22 Our first speaker for the FDA is Dr. Mark  
23 Avigan.

24 DR. AVIGAN: Thank you. I'm a board  
25 certified gastroenterologist. Before coming to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 FDA I served on the faculty at Georgetown University.

2 There's been a longstanding interest by a number of  
3 sponsors to make treatments for the management of  
4 heartburn symptoms directly available to consumer in  
5 the OTC arena.

6 On the occasion of the first Joint  
7 Advisory Committee that discussed omeprazole magnesium  
8 on October 20, 2000, Dr. Larry Goldkind and I  
9 presented an overview of efficacy and safety issues  
10 related to the use of this product in an OTC setting.

11 At that time the sponsor was seeking  
12 approval for the following indications. First, the  
13 relief of heartburn, acid indigestion, sour stomach.  
14 Second, the prevention of these symptoms brought on by  
15 consuming food and beverages or other inciting events.  
16 Third, the prevention of symptoms for 24 hours.

17 Both FDA reviewers and a majority of the  
18 Advisory Committee attendees concluded that results of  
19 the studies performed by the sponsor did not  
20 demonstrate efficacy for the first two listed  
21 indications.

22 In the case of treatment of episodic  
23 heartburn as a symptom, neither multi-center placebo  
24 controlled trials 092 or 095 revealed superiority of a  
25 single 20 milligram dose of omeprazole magnesium over

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 placebo.

2           These studies contained over 600 subject  
3 with a history of heartburn occurring at least two  
4 days per week in each treatment arm. Although four  
5 hour prevention of meal-induced heartburn by single  
6 dose of omeprazole magnesium was demonstrated in a  
7 1,200 subject multi-center double-blind placebo-  
8 controlled study. That study is 006.

9           This result was not replicated in a  
10 separate study, study 005, which was virtually  
11 identical in its design and execution. In contrast to  
12 the absence of efficacy in studies for the first two  
13 indications, results of studies 171 and 183 supported  
14 the third claim, the prevention of symptoms of 24  
15 hours.

16           It is these two studies that the sponsor  
17 is now resubmitting for consideration of the newly  
18 proposed indication, the prevention of symptoms of  
19 frequent heartburn for 24 hours.

20           Results of clinical studies of omeprazole  
21 magnesium provided by the sponsor can be tied to the  
22 mechanism of action of all proton pump inhibitors  
23 including omeprazole. Normally omeprazole has a short  
24 half-life in the circulation; that is, between one and  
25 two hours, because the proton pump molecules, which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 are irreversibly targeted by omeprazole, may not all  
2 be simultaneously accessible to binding by the drug.

3 The dosing interval with omeprazole is  
4 only once per 24 hours and the degree of acid  
5 suppression after a single dose is low. In fact,  
6 consecutive daily treatment for a few days is required  
7 to build up to a maximum PD response.

8 This characteristic can be contrasted with  
9 that of antacids and H<sub>2</sub>-receptor antagonists which  
10 achieve identical pharmacodynamic effects after each  
11 dose including the first.

12 It is FDA's concern that omeprazole's  
13 buildup effect of acid suppression over consecutive  
14 daily doses may reinforce continuous unsupervised  
15 usage by consumers seeking optimal relief of chronic  
16 heartburn.

17 In the remaining time that I have I will  
18 touch on the following areas. First, the safety  
19 profile of omeprazole with regards to short-term and  
20 long-term drug exposure.

21 Second, findings of previously submitted  
22 studies which reflect on the potential for long-term  
23 usage of this product in an OTC setting without  
24 physician supervision. Third, the results of pivotal  
25 studies 171 and 183 measuring the effects of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 omeprazole magnesium on symptoms.

2 Fourth, highlights of early symptom and  
3 drug usage patterns of the actual use study 007.  
4 Finally, some of the outstanding issues surrounding  
5 approval of the drug for OTC use that the advisory  
6 committee must address.

7 An analysis of the safety record of the  
8 drug is supplemented by an array of clinical studies  
9 in post-marketing surveillance data of the entire code  
10 of prescription formulation.

11 Short-term administration of omeprazole  
12 has been linked to a number of serious adverse events.

13 Rarely these may be life threatening and include  
14 severe hypersensitivity reactions such as angioedema  
15 and anaphylaxis, toxic epidermal necrolysis,  
16 agranulocytosis, and clinically significant hepatic  
17 dysfunction.

18 Although the precise incidents of these  
19 adverse events cannot be determined from a voluntary  
20 post-marketing reporting system, it appears that they  
21 are quite rare and similar to serious adverse event  
22 rates associated with some other OTC approved  
23 products.

24 Omeprazole magnesium has also been  
25 associated with mechanisms that may lead to clinically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 significant drug-drug interactions. Competitive  
2 inhibition of CYP 2C19 metabolism and gastric acid  
3 neutralization by the drug are each known to affect  
4 pharmacokinetic profiles of certain agents.

5 The potential for critical omeprazole  
6 induced increases in circulating levels of some drugs  
7 such as warfarin, phenytoin, diazepam, digoxin is  
8 small but it can be further minimized by appropriate  
9 consumer labeling. Similarly, the more likely  
10 disruption of effective levels of antifungal such as  
11 ketoconazole can be minimized by labeled instructions  
12 to consumers.

13 A separate series of safety concerns that  
14 were raised during the first Joint Advisory Committee  
15 meeting are relevant only to long-term continuous or  
16 intermittent self-administration of omeprazole without  
17 physician supervision. These were discussed because  
18 of the pharmacological properties and potential for  
19 such usage which I just mentioned.

20 Safety concerns tied to long-term usage  
21 include the following. First, there is a potential of  
22 the drug to mask symptoms associated with underlying  
23 medical conditions that warrant early diagnosis and  
24 adequate treatment.

25 These include severe forms of erosive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 esophagitis, Barrett's, metaplasia and dysplasia, or  
2 cancer of the esophagus or stomach. In some  
3 individuals with these conditions, a significant delay  
4 and physician referral and patient evaluation may lead  
5 to worse outcomes.

6 A second safety issue related to long-term  
7 unsupervised administration of the drug is absence of  
8 prospective controlled trials to determine whether  
9 such exposure confers an increase in the absolute risk  
10 for the development of certain neoplasia in a large  
11 population of users.

12 All proton pump inhibitors induce  
13 increases in circulating gastrin concentrations which  
14 have trophic effects on some mucosal cells. In  
15 addition, these drugs may have genotoxic effects in a  
16 variety of cell types when in an activated form.

17 The concern about the potential for  
18 significant numbers of consumers to engage in long-  
19 term self-administration of omeprazole magnesium  
20 without physician supervision despite labeling for  
21 only short-term use was prompted because of the  
22 following points.

23 First, the drug is intended to prevent  
24 recurrent episodes of heartburn in individuals with  
25 frequent symptoms rather than effectively treat

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 episodic symptoms.

2 Both in the clinical efficacy studies and  
3 actual use studies based on clinical characteristics,  
4 it was not possible to assert that many of the  
5 enrolled individuals with frequent symptoms did not  
6 have gastro esophageal reflux disease referred to as  
7 GERD which is a chronic and often long-term condition.

8 As described by Dr. Castel at the first  
9 Advisory Committee meeting, individuals with long-  
10 standing heartburn and the spectrum of complications  
11 of erosive GERD and the severity of mucosal changes  
12 cannot be consistently correlated with symptom  
13 severity or frequency.

14 Second, the recurrence rates of heartburn  
15 in individuals with GERD are high within a short  
16 period of time after cessation of acid suppression  
17 treatment. Third, in a national usage study that was  
18 presented at the first Advisory Committee meeting more  
19 than 60 percent of individuals using omeprazole  
20 magnesium for the prevention of heartburn exceeded 10  
21 consecutive days of treatment despite a labeled  
22 instruction not to treat beyond that point.

23 This is not surprising since large  
24 percentages of individuals who have self-selected for  
25 OTC treatment with omeprazole magnesium in those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 actual use studies have had histories of long-standing  
2 heartburn that are consistent with the diagnosis of  
3 GERD.

4 It should be noted that at the first  
5 Advisory meeting the panel was split on the question  
6 of whether chronic heartburn or GERD is an acceptable  
7 OTC indication. The panel decided that sufficient  
8 evidence had not been provided to support either a  
9 favorable benefit risk assessment or approval for any  
10 of the three possible indications of acute symptomatic  
11 heartburn, prevention of episodic heartburn, or  
12 chronic heartburn.

13 In the current submission the sponsor has  
14 proposed an indication for prevention of symptoms of  
15 frequent heartburn for 24 hours and only for those who  
16 suffer heartburn two or more days a week.

17 Studies 171 and 183 were double-blind  
18 placebo-controlled two-week treatment studies which  
19 contained approximately 500 subjects in each treatment  
20 arm. Inclusion criteria included the presence of  
21 heartburn at least two days per week for one month  
22 prior to enrollment.

23 Although the primary efficacy variable was  
24 no heartburn over 24 hours between the first and  
25 second daily dose following randomization, heartburn

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 free 24-hour periods over each subsequent treatment  
2 day and during the single-blind placebo follow-up  
3 phase were also measured.

4 These studies were associated with the  
5 following findings. First, there was a substantial  
6 proportion of studied subjects who experienced no  
7 heartburn despite treating with placebo both on day  
8 one of treatment, 32 percent, and on day 14, 43  
9 percent.

10 Second, although statistically  
11 significant, the therapeutic gain of omeprazole  
12 magnesium 20 milligrams versus placebo was only 16  
13 percent on day one but increased to 29 percent on day  
14 four confirming that the maximal pharmacodynamic  
15 benefit of treatment relies in consecutive daily  
16 dosing.

17 Finally, even after 14 days of treatment  
18 with the 20 milligram doses, almost 30 percent of  
19 subjects experienced break-through heartburn.

20 It is significant that the frequency of  
21 heartburn symptoms prior to treatment had a  
22 substantial impact on the rates of response to  
23 omeprazole magnesium and placebo.

24 In subjects with pretreatment heartburn  
25 that occurred less than half the days, 50 percent of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the days, the difference between drug and placebo  
2 response rates referred to as the therapeutic gain was  
3 only 4 percent on day one of treatment.

4 This small difference was due to a placebo  
5 response rate that was over 65 percent. Even by day  
6 14 of treatment the therapeutic gain in this group of  
7 subjects did not rise above 11 percent since the  
8 placebo response rate was over 70 percent.

9 In contrast, subjects with daily heartburn  
10 prior to treatment demonstrated more robust  
11 differences between drug and placebo in response  
12 rates. These differences reflected substantially  
13 lower response rates to placebo when compared to the  
14 group with less frequent heartburn. In the daily  
15 heartburn group on day one of treatment, the  
16 therapeutic gain was 18 percent and by day 14 it rose  
17 to 39 percent.

18 The conclusion that can be drawn are  
19 consistent with omeprazole's function as a potent  
20 inhibitor of gastric acid secretion and the important  
21 role that it can play in the management of severe  
22 forms of gastric esophageal reflux with associated  
23 frequent symptoms.

24 These conclusions can be summarized as  
25 follows: First, in subjects with low frequency

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 heartburn at baseline the therapeutic gain from drug  
2 was small because of a high placebo response rate.  
3 Second, the therapeutic gain was greatest in subjects  
4 with daily heartburn because only a small percent  
5 responded to placebo.

6 Nonetheless, despite the higher  
7 therapeutic gain in these subjects there was a 40  
8 percent break-thru rate of heartburn on the last day  
9 of treatment with the drug.

10 The American College of Gastroenterology  
11 has issued published guidelines for the diagnosis and  
12 treatment of GERD. These include the following: GERD  
13 is characterized by chronic symptoms or mucosal damage  
14 produced by the abnormal reflux of gastric contents  
15 into the esophagus. Furthermore, many, perhaps most  
16 patients, with GERD require long-term possibly life-  
17 long therapy.

18 Based on the distribution of frequency of  
19 heartburn prior to treatment in the sponsor studies,  
20 it is likely that many of the study subjects had GERD.

21 Therefore, it is not surprising that in both studies  
22 after cessation of treatment with omeprazole magnesium  
23 the recurrence of heartburn was rapid. Within three  
24 days the apparent therapeutic gain compared to placebo  
25 disappeared and the daily percentage of subjects with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 heartburn over 24 hours rose to approximately 55  
2 percent.

3 In addition to three label comprehension  
4 studies, the actual usage studies 007 that measured  
5 characteristics of individuals who chose to purchase  
6 this product and their usage of the product of a  
7 duration of eight to 12 weeks has been provided.

8 Most patients who self-selected for OTC  
9 treatment in that study who had GERD is supported by  
10 the following observations. First, among the treated  
11 population more than 90 experienced heartburn for more  
12 than one year and almost 50 percent for longer than  
13 five years.

14 Second, 57 percent of these subjects  
15 experienced heartburn four or more days per week.  
16 Third, a substantial percentage of subjects used other  
17 products or prescription medications to relieve  
18 heartburn when symptoms recurred after completion of  
19 the 14-day course of treatment with omeprazole  
20 magnesium.

21 It should be emphasized that the actual  
22 use study did not measure the potential for long-term  
23 intermittent usage of the product. More details about  
24 results of study 007 will be described by Dr. daiva  
25 Shetty.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           In summary, omeprazole magnesium will be  
2 used by substantial number of individuals with GERD.  
3 In the proposed labeling the consumers were warned to  
4 "notify your doctor if you have had heartburn for  
5 three months or longer without talking to your  
6 doctor," and instructed to "stop use and a doctor if  
7 heartburn continues or returns after using this  
8 product everyday for 14 days." The consumer is also  
9 instructed, "Do not continue beyond 14 days unless  
10 directed by a doctor."

11           The advisory committee must address the  
12 following issues. First, whether a single two-week  
13 treatment course of omeprazole magnesium in an OTC  
14 setting meets the short-term and long-term needs for  
15 acid suppression of individuals who purchase this  
16 product.

17           Second, whether occasional courses of  
18 treatment in an OTC setting without physician  
19 consultation are consistent with the sponsor's  
20 proposal. Finally, whether limitation of usage to a  
21 single 14-day treatment course is an important feature  
22 to protect the safety of consumers.

23           If so, it must then be determined whether  
24 the sponsor has provided adequate information about  
25 the potential for long-term continuous or intermittent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 use of this product without physician supervision to  
2 ensure a favorable benefit risk assessment.

3 Thank you. Now I want to introduce Dr.  
4 Karen Lechter from CDER's FDA's Office of Drug Safety  
5 who will discuss label comprehension studies.

6 DR. LECHTER: I'm going to talk to you  
7 briefly about the purpose of label comprehension  
8 studies. I'll then discuss the two standard Prilosec  
9 label comprehension studies.

10 Excuse me. This mouse is not working.  
11 I'll have to use -- I'll just use the button. Thank  
12 you.

13 I'll focus primarily on the issues about  
14 which we have concerns where there is clear evidence  
15 of problems and where there is an adequate evidence to  
16 draw conclusions. I'll then discuss the implications  
17 of the results for the label.

18 The regulations state that OTC labels must  
19 be likely to be read and understood by the ordinary  
20 individual, including individuals of low  
21 comprehension, under customary conditions of purchase  
22 and use.

23 As one way to satisfy this requirement  
24 sponsors conduct label comprehension studies to test  
25 how well their proposed label communicates. Sometimes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this i done as an iterative series of studies with the  
2 label changes being made after the study and then the  
3 label being retested.

4 In some cases this goes on for several  
5 rounds. For the Prilosec OTC product the sponsor  
6 conducted two standard label comprehension studies.  
7 The first was study 02255. There were 684 persons in  
8 this study, 43 percent male. There were five cohorts.  
9 297 were in a general population.

10 Two cohorts were frequent heartburn  
11 sufferers. One was low literate which was 8th grade  
12 reading level or lower of which there were 162  
13 members. Another was high literate and there were 155  
14 in this group.

15 Frequent heartburn suffers were those who  
16 had heartburn two or more times a week or who were  
17 taking a prescription medicine for heartburn. The  
18 fourth cohort were 96 heartburn sufferers taking drugs  
19 listed on the label as requiring physician advice.

20 The fifth cohort was 42 pregnant or  
21 nursing heartburn suffers. These participants  
22 examined the label and answered questions about it  
23 with the label available for reference.

24 When asked about the product purpose, 39  
25 percent of the general population were completely

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 correct. They said "prevent frequent heartburn." The  
2 two literacy groups had similar percentages of  
3 completely correct responses.

4 As this was an open-ended question, we're  
5 not as concerned about getting a complete response as  
6 we would be for other types of questions. However,  
7 these responses may reflect problems in understanding  
8 all the aspects of the indication and we need to look  
9 at questions in which the label information is applied  
10 to learn if there is a problem understanding the  
11 indication.

12 All of the hypothetical scenario questions  
13 about use for episodic relief or prevention should  
14 have been answered that the product is inappropriate.

15 However, only about half of the responses were  
16 correct about episodic use. About half answered that  
17 the product could be used for prevention or relief of  
18 individual heartburn episodes.

19 For the three questions about episodic  
20 relief, the correct scores in the general population  
21 ranged from 48 percent to 55 percent. An example of  
22 these questions was, "You ate chili for lunch. The  
23 chili gave you heartburn. You have not had heartburn  
24 before. You want to take something now to get rid of  
25 this episode of heartburn. Based on the label, is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this product intended to be used for this situation of  
2 heartburn or not?"

3 For the two questions about episodic  
4 prevention in the general population, scores ranged  
5 from 54 percent to 61 percent. An example of this  
6 type of question is, "The food you brought for lunch  
7 today usually gives you heartburn. You would like to  
8 take something just for today before lunch so you  
9 don't get heartburn. Based on the label, is this  
10 product intended to be used for this situation of  
11 heartburn or not?"

12 Participants were asked if the product was  
13 intended for them personally to use. We call this the  
14 self-selection question. Frequent heartburn suffers  
15 with symptoms listed on the label as requiring  
16 physician consultation before using the product were  
17 correct only 41 percent of the time. Frequent  
18 heartburn suffers taking medications listed on the  
19 label as requiring physician consultation before use  
20 were correct only 50 percent of the time.

21 This rose to 82 percent after they were  
22 given a list of brand names that correspond to the  
23 generic names on the label. However, we believe that  
24 the 50 percent figure is more valid because consumers  
25 selecting OTC medicines in the store would not have a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 list of brand names.

2 Overall 67 percent of those who should not  
3 use the product or should consult a doctor first were  
4 correct in the self-selection question. The cohorts  
5 of non-heartburn sufferers, infrequent sufferers,  
6 those allergic to the product, and pregnant or nursing  
7 heartburn sufferers responded correctly at the rate of  
8 '76 percent or greater.

9 However, we are concerned that the self-  
10 selection responses suggest there is a problem in  
11 applying the label to one's self when one has symptoms  
12 listed on the label or is taking medications listed on  
13 the label.

14 On the other hand, scenario responses  
15 based on hypothetical situations about use with listed  
16 medications or medical conditions suggest good  
17 understanding with correct responses generally in the  
18 90s.

19 But the high positive results may be due  
20 to an artifact of the study in which almost all  
21 questions required a response that the product should  
22 not be used or a doctor should be consulted. This  
23 could have created a nay-saying basis in which  
24 responses are likely to be influenced by this artifact  
25 rather than by knowledge of the label.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           In conclusion, in study 02255, the tested  
2 label failed to convey adequately that Prilosec 1 is  
3 not for periodic use, that it is not for acute  
4 symptoms or for prevention of meal-induced heartburn.

5           This conclusion is supported by data I  
6 presented earlier that only 48 to 55 percent correctly  
7 said the product could not be used for relief and only  
8 54 to 61 percent correctly said it should not be used  
9 for episodic prevention.

10           Also it is not clear that people can apply  
11 the label well to their own situation if they take any  
12 of the medicines listed on the label or have any of  
13 the health conditions listed on the label as requiring  
14 physician consultation before using the product.

15           Further testing would help determine if  
16 the proposed label works better than the one tested in  
17 this study. However, the proposed label does not  
18 address the prevention and episodic issues any  
19 differently than the tested label. Improvement on  
20 these issues is not likely.

21           Study 12179 was designed to see if people  
22 who should not use the product without a physician's  
23 advice due to medical conditions would understand that  
24 fact and if they understood the indication and  
25 understood the label information well enough to apply

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it to three hypothetical situations in which people  
2 should consult a doctor before use and in one  
3 situation which consultation is not necessary.

4 There were 145 study participants, 41  
5 percent male. All had frequent heartburn. All had at  
6 least one condition mentioned on the label as  
7 requiring physician consultation. They were not  
8 taking medications listed on the label as needing  
9 physician consultation.

10 The label used was similar to the final  
11 proposed label but the tested label listed six  
12 medications requiring physician consultation rather  
13 than the three that were on the final proposed label.

14 We analyzed the results of this study  
15 differently than the sponsor did. We eliminated 40 of  
16 the 145 participants. There were two participants  
17 taking Prilosec but we were only to identify only one  
18 of those so the others still are in our analysis. We  
19 did remove the one that we could identify.

20 We removed those who should not have been  
21 in the study at all because they did not have a  
22 condition that required physician consultation before  
23 use according to the label. These included those with  
24 infrequent chest pain or infrequent wheezing. The  
25 label said those with frequent chest pain or wheezing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 should consult a physician.

2 We analyzed the results for the 105  
3 remaining who should not use the product without  
4 consulting a physician. All should have said they  
5 would consult a physician before using the product.

6 The sponsor scored anyone who had ever  
7 discussed their condition listed on the label with a  
8 healthcare professional as okay to use the product.  
9 These conditions included frequent chest pain, chest  
10 pain with other specified symptoms, trouble swallowing  
11 food, frequent wheezing, and wheezing with heartburn  
12 and unexplained weight loss.

13 Unlike the sponsor we did not believe that  
14 having ever discussed a nonheartburn medical condition  
15 with a healthcare professional is a surrogate for  
16 getting approval to use Prilosec 1. There is no  
17 evidence that these participants ever got or would  
18 have received approval to use Prilosec 1. All of the  
19 105 in our analysis should have said they should not  
20 use a product or should consult a doctor first.

21 More than half of the participants in our  
22 analysis answered incorrectly about whether they could  
23 use the product based on the label. Forty-five  
24 percent answered correctly. Of these 26 percent said  
25 they would ask a doctor and 19 percent said they would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not use it.

2 When asked an open-ended question about  
3 the product purpose, about one-third of the  
4 participants gave the complete response "prevent  
5 frequent heartburn." A series of four questions asked  
6 about whether people with certain medical conditions  
7 could use the product, three of these conditions were  
8 listed on the label as requiring medical approval.

9 The other, headache, was not listed.  
10 Scores for these questions were in the 90s. however,  
11 one-third of participants said a doctor should be  
12 consulted if the person has headache. This suggest  
13 participants were very conservative in their responses  
14 and may not have been responding as they would in  
15 normal use. It suggests that the scores for the other  
16 conditions may have been inflated.

17 After these studies the label was not  
18 changed to improve communication about nonepisodic use  
19 and use only for prevention. However, the list of  
20 medications requiring a doctor's consultation was  
21 shortened in the proposed label from six to three.

22 The list of medical conditions in the  
23 proposed label is shorter and more bulleted than in  
24 the 02255 study and is the same as in the 12179 study.

25 We do not have evidence that the proposed label

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 communicates the problem messages any better than the  
2 labels tested.

3           These studies suggest that participants  
4 understand Prilosec 1 is for frequent heartburn. Do  
5 not use Prilosec 1 if you do not have heartburn, have  
6 infrequent heartburn, are allergic, or are pregnant r  
7 nursing.

8           I mentioned in the beginning of my  
9 presentation I would focus on areas that concern us.  
10 Therefore, I did not mention that there was evidence  
11 of good understanding of some other aspects of the  
12 label information. This included that the product  
13 should not be used if you have trouble swallowing,  
14 chest pain with other symptoms, chronic cough, black  
15 tarry stools, unexplained weight loss, you are under  
16 age 18, or you have heartburn that has become worse  
17 with nausea and vomiting.

18           Despite some of these good results, these  
19 studies do show that consumers believe Prilosec 1 can  
20 be used episodically for relief of acute heartburn  
21 symptoms or to prevent meal-induced heartburn.  
22 Further, it is not clear if consumers with medical  
23 conditions listed on the label were taking medications  
24 listed on the label would understand they should seek  
25 medical advice before use or decline to use the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 product.

2 The actual use study has similar results.

3 Dr. Daiva Shetty from the OTC Division will now  
4 discuss the actual use study.

5 DR. SHETTY: My presentation briefly  
6 covers some highlights of the regulatory history of  
7 over-the-counter Prilosec program, the proposed label,  
8 target population, and the results of the actual use  
9 study 007.

10 As you have already heard Dr. Mark Avigan  
11 explain some aspects of the regulatory history of OTC  
12 omeprazole. I will summarize the differences between  
13 the original and the resubmitted NDA.

14 There were multiple changes made to the  
15 original NDA. The dose was increased from 10 to 20  
16 milligrams. The target population from anybody above  
17 12 years of age with heartburn symptoms was changed to  
18 18 years and above with frequent heartburn symptoms  
19 two or more days a week.

20 The initial proposal had relief as well as  
21 prevention of heartburn claims. The current  
22 submission has only the prevention of frequent  
23 heartburn indication. The duration of treatment was  
24 extended from maximum of 10 days of intermittent use  
25 to 14 continuous days.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           In support of the current submission, the  
2 sponsor has provided the results of one actual use  
3 study, three label comprehension studies, a new  
4 proposed label, and a safety update.

5           The study 17859 called deselection study  
6 was classified as label comprehension study. Actually  
7 it was a marketing study. Therefore, the data from  
8 the study will not be presented.

9           Now I'm going to talk about a proposed OTC  
10 label and the target population. The label used in  
11 the actual use study was very close to the label  
12 proposed for OTC marketing. The use section on the  
13 label states that Prilosec will prevent frequent  
14 heartburn for 24 hours in people who experience  
15 heartburn symptoms two or more days a week.

16           The directions also stated anyone who is  
17 18 years or older should take this drug one tablet a  
18 day every day for 14 continuous days and directs to  
19 consult a doctor if symptoms return after this 14-day  
20 course of therapy.

21           There are multiple warnings listed on the  
22 proposed label. I would like to draw your attention  
23 to one of the warnings. It's called heartburn warning  
24 and it states, "Notify your doctor if you have  
25 heartburn symptoms for three months or longer without

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 talking to your doctor." I will refer to this warning  
2 once again when I discuss the findings of the actual  
3 use study.

4 Now I'm going to present the results of  
5 actual use study 007. There are certain actual use  
6 issues for OTC Prilosec. First of all, are consumers  
7 able to self-select and deselect appropriately? Do  
8 they understand what precludes them from the use of  
9 Prilosec? Are consumers able to treat themselves to  
10 follow label use directions for duration of use and do  
11 they follow directions when to seek advice from a  
12 healthcare provider?

13 The actual use for the 007 was a three-  
14 month duration multi-center open-label, all-comers  
15 with minimal inclusion and exclusion criteria. It was  
16 intended to assess how consumers would use omeprazole  
17 in naturalistic OTC conditions following proposed  
18 labeling instructions. It did not address all the  
19 issues that the agency is concerned about.

20 On the next few slides I will try to walk  
21 you through the disposition of the study subject. A  
22 total of 1,301 subjects participated in self-selection  
23 interview. After looking at the package the majority  
24 of them, 1,251, stated that Prilosec is appropriate  
25 for them to use.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           The purposes of this presentation,  
2 subjects who self-selected that the product is  
3 appropriate for them to use will be called self-  
4 selection population. Unlike the sponsor, we believe  
5 that this population should have been the primary  
6 population for analysis of self-selection behavior.

7           I will later point out what the sponsor's  
8 definition of the self-selection population was. Of  
9 those who self-selected that the drug is appropriate  
10 for them to use, 683 chose to participate in the study  
11 by agreeing to sign some consent to buy the drug, to  
12 fill up a diary, and return for end of study follow-up  
13 visit. Three hundred and 84 subjects elected not to  
14 participate in the study.

15           The reasons why 384 subjects stated that  
16 it is an appropriate drug for their use but chose not  
17 to participate are listed on the slide. One-third of  
18 them stated that it is inconvenient for them to  
19 participate in the study. More than a quarter of  
20 them, 104 subjects, stated that they would not try new  
21 medicine without a physician's approval.

22           These groups actually could use the  
23 product if it were to become freely available over the  
24 counter. Of those 863 subjects who elected to  
25 participate, 854 purchased the drug and received one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or more diaries. Nine subjects did not meet inclusion  
2 criteria and were not allowed to enter the study.  
3 Four of those nine did not provide the consent, one  
4 was pregnant, and four previously participated in  
5 similar studies.

6           Seven hundred and 62 subjects completed  
7 the study by returning one or more diaries. Ninety-  
8 two subjects did not return diaries. Majority of them  
9 were lost to follow up. They were a minimum of five  
10 attempts by phone and at least one letter sent trying  
11 to locate these people.

12           Of the 762 subjects who returned diaries  
13 four returned blank diaries and 758 kept a record of  
14 the study drug use. Those who had the record of  
15 steady drug use will be called the treated population.

16           I will focus on it talking about compliance with the  
17 label use directions.

18           Of the 758 treated subjects, 649 were  
19 available for a three-month follow-up. This final  
20 follow-up contact was done by phone and 109 subjects  
21 could not be reached.

22           If we are going into the results of self-  
23 selection behavior, I would like to show you the  
24 difference between our and the sponsor's primary  
25 population for self-selection objectives.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we believe that subjects who participated  
2 in the initial self-selection interview and stated  
3 that Prilosec is appropriate for their use, it's the  
4 actual over-the-counter population that would not be  
5 objected to further screening as it was done in the  
6 study.

7 In this presentation the sponsor called  
8 those subjects who decided to participate in the study  
9 as their self-selection population. However, in the  
10 background package to the agency, the sponsor's  
11 definition of self-selection population included  
12 treated subjects plus additional 12 subjects that were  
13 excluded from the study by the investigator.

14 These subjects not only selected a drug  
15 for their use but also had to sign a consent that they  
16 agreed to purchase the drug, fill up a diary, and  
17 return for a follow-up visit. Using sponsor's  
18 population for self-selection objectives have  
19 sufficiently increased correct self-selection rates.

20 Demographically the self-selection  
21 population was fairly representative of the overall  
22 U.S. population with 59 percent being female. The age  
23 of the participants range from 16 to 91 with a mean of  
24 48 years. The majority were caucasian, 65 percent,  
25 and 18 percent were African American. Low-literacy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 group consisted of almost 10 percent of the self-  
2 selection population.

3 Looking at the heartburn study for follow-  
4 up with the self-selection population, you can see  
5 that majority of them had long-standing heartburn.  
6 Over 90 percent of the self-selection population had  
7 heartburn symptoms for over a year and almost half, 45  
8 percent, over five years.

9 Most of them had frequent heartburn as  
10 defined by the sponsor, two or more days a week.  
11 However, 14 percent of the self-selection population  
12 had heartburn symptoms one day or less a week and,  
13 therefore, failed correct self-selection.

14 Analyzing self-selection behavior and  
15 compliance with the label used directions the sponsor  
16 incorporated one variable, the consultation for  
17 heartburn that the healthcare provides them.

18 I would like to point out what the  
19 sponsor's definition of the consultation with the  
20 healthcare provider was. It included advice from a  
21 physician or any healthcare professional, or the use  
22 of any prescription heartburn medication anytime in  
23 the past.

24 This contact was not verified by the study  
25 personnel. Therefore, we don't know what particulars

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were discussed or what advice was given by the  
2 healthcare provider.

3 As you recall, the label states to talk to  
4 your doctor if you have had heartburn symptoms for  
5 three months or longer. This pie chart shows that  
6 less than half of the self-selected population  
7 consulted healthcare provider for their heartburn  
8 within a year.

9 Additionally, 17 persons consulted a  
10 healthcare provider more than a year prior to the  
11 study. Thirty-seven percent did not speak to their  
12 healthcare provider about their heartburn at all.

13 There was a similar ratio of these subgroups for the  
14 treated population, those who purchased and used the  
15 drug.

16 The correct subselection was based on the  
17 sponsor's predefined criteria. The subject had to be  
18 18 years or older with heartburn symptoms at least two  
19 days a week, not pregnant, not allergic to omeprazole,  
20 not having certain contraindicated conditions, and not  
21 taking contraindicated drugs listed on the label.

22 The correct self-selection was 76 percent  
23 for the self-selection population which included, as I  
24 mentioned, subjects who stated that Prilosec is  
25 appropriate for them to use. Two hundred and 90

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 subjects failed correct self-selection for the  
2 following reason, 169 experienced heartburn one day or  
3 less a week.

4 There were certain relative  
5 contraindications listed on the label, yet some  
6 consumers with those conditions are taking the list of  
7 drug-selected Prilosec for their use.

8 One hundred and thirty-four were having  
9 certain contraindicated symptoms that were listed on  
10 the label. Fifteen were using contraindicated  
11 medications. Three were less than 18 years of age and  
12 one was pregnant.

13 Of those 854 subjects who purchased the  
14 product the majority purchased only one carton of 14  
15 tablets. There were a few that purchased more than  
16 one carton. Even though the subjects were allowed to  
17 purchase up to four cartons, the limit of 14 tablets  
18 in the package have impacted their pattern of use.

19 Over all compliance with the label use  
20 directions was achieved by 63 percent of the treated  
21 population. Those were the subjects who purchased the  
22 drug and used the drug and returned diaries with the  
23 record of use.

24 Unlike the sponsor, we believe that  
25 compliance subjects had to follow all three label use

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 directions, take one table a dose, one dose a day for  
2 full course of therapy.

3 The compliance rate increased  
4 significantly from 63 to 79 percent when the sponsor  
5 changes the criteria for the compliance with 14-day  
6 regimen. The sponsor considered compliant dose who  
7 took 11 to 14 doses in an 11 to 17-day period. We  
8 believe that such an analysis increases the compliance  
9 rate.

10 The majority of noncompliant subjects took  
11 the drug less than 14 days. Nine percent took more  
12 than one dose per day. Four percent took more than  
13 one tablet per dose. three percent exceeded 14  
14 consecutive days.

15 The response to the three-month follow-up  
16 questionnaire showed that more than half, 57 percent  
17 of the subjects available for follow-up, had their  
18 heartburn symptoms return. When these subjects were  
19 asked what they did after their heartburn returned, 20  
20 percent stated that they talked to a healthcare  
21 provider or made an appointment to see one in the  
22 future.

23 Forty-six percent started using antacids,  
24 27 percent switched to prescription heartburn  
25 medication, and 21 percent used over-the-counter acid

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reducer. This suggest that subjects who used Prilosec  
2 already had access to over-the-counter as well as  
3 prescription heartburn medications.

4 The study had several limitations. It was  
5 a relatively short duration total of three months. It  
6 did not address a question if Prilosec is likely to be  
7 used intermittently, a few courses over a year, and  
8 what the consequences of such a use would be.

9 It did not address the concomitant use of  
10 other heartburn medications. The methodology of the  
11 study did not allow us to assess if consumers  
12 understand that this drug is for relief of acute -- is  
13 not for relief of acute heartburn symptoms and what  
14 consumers would do if Prilosec does not relieve their  
15 symptoms.

16 Overall conclusions that can be drawn from  
17 this study of who and how would use over-the-counter  
18 Prilosec would be summarized as follows:

19 Most of the consumers who self-selected to  
20 use Prilosec had a long history of frequent heartburn.

21 Even though the label stated to see a healthcare  
22 provider prior to the use of Prilosec more than a  
23 third of those subjects did not do so.

24 More than half of the treated population  
25 available for follow-up had their heartburn symptoms

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 return. The majority of them switched to other  
2 prescription or over-the-counter heartburn  
3 medications. Twenty percent decided to seek advice  
4 from a healthcare provider.

5 It is unclear how the interaction with the  
6 healthcare provider prior to or after the use of  
7 Prilosec would have influenced consumer behavior. The  
8 study also showed that Prilosec is likely to be used  
9 by consumers with contraindicated symptoms and is  
10 likely to be used by consumers with infrequent  
11 heartburn.

12 This concludes my presentation and overall  
13 FDA presentations. Thank you.

14 DR. CANTILENA: Okay. Thank, Dr. Shetty.

15 I would ask that Dr. Avigan and Dr. Lechter join Dr.  
16 Shetty for questions from the committee. We will now  
17 open the discussion, Brass, Johnson, and Goldstein to  
18 start.

19 DR. BRASS: I have three related  
20 questions. The first has to do with the issue of  
21 recognition of contraindicated medications on the  
22 label. This is certainly an issue the committee has  
23 struggled with time and time again. The issue of  
24 brand versus generic names is not unique to this  
25 particular NDA and is also an issue that has been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discussed.

2 But as was alluded to in discussion  
3 earlier today, the evaluation of these questions  
4 really has to be linked to the consequences of not  
5 accepting the information or not processing the  
6 information properly.

7 I would like kind of an integrated  
8 assessment from the review team as to whether  
9 concomitant use of omeprazole at this dose with any of  
10 the medications listed would pose a serious safety  
11 problem.

12 DR. ALFANO: Well, let me try to shed a  
13 more clinical perspective on that. There are two ways  
14 of looking at that problem. You can look at a whole  
15 population and ask what is the incidence of a bad  
16 untoward drug-drug interaction and find that it is a  
17 low number. Or you can ask who might be in the  
18 population susceptible to such an interaction. It  
19 really is the second type of approach where there are  
20 some concerns.

21 An example would be in the class of PPIs  
22 there's a known interaction as I alluded to with  
23 warfarin. Usually that is an interaction which is not  
24 clinically very important but there are already some  
25 known postmarketing reports for various members of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 class of individuals who might have developed  
2 increases in prothrombin time that have warranted  
3 reporting to the agency with the pages on chronic  
4 warfarin who then started a PPI.

5 In one or two cases, actually developed  
6 clinically significant bleeding. If you are asking a  
7 frequency question, the answer is generally these  
8 drug-drug interactions are not common for the group.

9 DR. BRASS: Yeah, but, again, has the  
10 clinical significance of the interaction between  
11 omeprazole 20 milligrams and warfarin been studied and  
12 what was the conclusion of such studies?

13 DR. ALFANO: It has been studied. Perhaps  
14 we might get some other comments on this but there  
15 have been studies in individuals where they have been  
16 challenged with single doses or who have been on one  
17 drug and then have been essentially tested with the  
18 other. Reassuringly in a small population of tested  
19 individuals there were no dramatic effects either on  
20 prothrombin time.

21 But the problem with that is the potential  
22 again in numbers of marketed -- if you market this to  
23 large number of people are the outliers and the  
24 confounding effects of new facts such as is the  
25 patient not only someone who is on these drugs but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 perhaps has also a problem with metabolism because of  
2 something else, an isoform difference. I think this  
3 is where it is very challenging.

4 DR. BRASS: Okay. A similar theme  
5 question has to do with the contrasting of the two  
6 difference definitions of compliance in the actual use  
7 study, the rigorous everyday 14 versus the range.

8 My question is given what we know about  
9 the pharmacodynamics and the time course of action of  
10 this specific drug, do you believe those differences  
11 in definition would translate into meaningful  
12 differences in risk benefit assessment?

13 DR. SHETTY: Probably not. We just took  
14 the more conservative approach to see how people  
15 followed all three directions on the label.

16 DR. ALFANO: Again, just a clinical  
17 perspective. I think it was already noted by a member  
18 of the panel that one of the criteria for compliance  
19 that was not a criteria for compliance but is on the  
20 labeling is if you've had heartburn for three months  
21 go see your doctor first. That was excluded from the  
22 criteria.

23 DR. BRASS: Yeah, I asked that question  
24 before because I think that's an example, quite  
25 frankly, of a warning that is not very meaningful

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 because 100 percent of the population is going to  
2 qualify for it and already has not seen their doctor.

3 Finally, a question for Dr. Lechter. You  
4 identify quite appropriately a number of concerns and  
5 limitations in the label comprehension study.

6 My question to you is after seeing the  
7 actual use study and the difference in the results,  
8 and given all the methodologic differences in those two  
9 trials whether you personally have any reassurance  
10 that your concerns from the label comprehension  
11 context are in anyway mitigated by the actual use  
12 context.

13 DR. LECHTER: I think in general we get  
14 better information from actual use studies but this  
15 study did have some limitations and we need to take  
16 that into account. We still have concerns about  
17 whether people understand the episodic use. Some  
18 things were not studied in this use study.

19 DR. CANTILENA: Okay. Dr. Johnson.

20 DR. JOHNSON: I have two questions that  
21 are directed towards Dr. Lechter.

22 You indicated that with respect to the  
23 contraindicated drugs that there was 50 percent  
24 comprehension with generics but it went to 82 percent  
25 when brand name was given. You suggested or implied

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that the 50 percent is the meaningful number  
2 indicating that brand names can't be put on the label.

3 I'm wondering if that's what you mean and, if that's  
4 the case, why? Why can we not put brand names on the  
5 label?

6 DR. LECHTER: I'm not sure. That may be  
7 an FDA policy which I can answer. I think typically  
8 we don't put brand names on OTC products but perhaps  
9 someone else could answer that.

10 DR. CANTILENA: Dr. Ganley, do you want to  
11 take a shot at that?

12 DR. GANLEY: Sure. In the OTC labeling  
13 rule -- it's not in regulation but in the preamble  
14 they had not wanted to put in brand names into the  
15 drug facts labeling. I don't think it really  
16 addressed the issue of contra indicated medications  
17 and putting actually the generic and brand name in.

18 I think Doug Bierer may have noted earlier  
19 that they wanted to have some discussions with us  
20 based on this results where there is a dramatic  
21 improvement in comprehension if you actually put in  
22 the brand name. From my viewpoint, I think that is  
23 something that could be a consideration. I don't  
24 think there is a regulation that says that we cannot  
25 do it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. JOHNSON: I think those data are not  
2 at all surprising and frankly I am surprised that 50  
3 percent recognize generic names. I would have thought  
4 it would have been lower than 50 percent.

5 My second question relates to the drugs  
6 that are on the list. I can't remember whose section  
7 of the FDA packet this was in but there were data on  
8 itraconazole which had significant interaction. Not  
9 quite as significant as ketoconazole but I'm wondering  
10 -- I wanted to ask this question of the sponsor and  
11 didn't get a chance -- why itraconazole isn't on the  
12 list or why you were not recommending itraconazole to  
13 be on the list.

14 DR. ALFANO: Right. I think there are two  
15 approaches again. One is to have a comprehensive list  
16 and fit it in, as we heard before, in a relatively  
17 small service area and add another word because in  
18 reality -- I think your point is well taken -- there  
19 is an effect where the gastric acid neutralization has  
20 an effect on all those related antifungal compounds.  
21 To be comprehensive and complete one would then -- if  
22 that was the attack that one was taking, one would  
23 have to have a complete -- write a complete list.

24 DR. HOUN: I think we can ask the sponsor  
25 your question relating to the itraconazole. We could

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 also ask them the other questions about the number of  
2 patients they formally studied on these various  
3 contraindicated drugs and the data they have on that.

4 That would be important, too.

5 DR. CANTILENA: Yes. If you have that on  
6 a slide, that would be actually the most helpful.

7 DR. PEURA: Let me first address the  
8 itraconazole question. Itraconazole is listed as a  
9 drug-drug interaction in the Rx data package on that.

10 However, for ketoconazole it is not listed on the Rx  
11 data package for that. We felt it was important to  
12 include ketoconazole in our labeling but not  
13 itraconazole.

14 DR. CANTILENA: Hold on just a second.  
15 Both drugs interact one slightly more positively than  
16 the other but now we're going to have on the Rx side  
17 one drug and on the OTC we're going to have another?

18 DR. ZORICH: It should be mentioned  
19 somewhere.

20 DR. CANTILENA: One or the other or both.

21 DR. ZORICH: Well, the important thing is  
22 communication to the patient. Since itraconazole does  
23 communicate it, they would be aware that they should  
24 not be taking omeprazole. When they were prescribed  
25 that, it is in that labeling. The question should be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 whether ketoconazole does, too, but it does not.  
2 Since it does not, we felt an obligation to include it  
3 on our label.

4 DR. CANTILENA: As opposed to having  
5 itraconazole and ketoconazole on the OTC label?

6 DR. ZORICH: To ensure that somewhere  
7 there is appropriate communication to the person who  
8 might be using both.

9 DR. CANTILENA: But the OTC is sort of a  
10 stand-alone.

11 DR. ZORICH: Yes.

12 DR. CANTILENA: On the shelf all by itself  
13 without -- okay.

14 Dr. Johnson, do you have another follow-  
15 up?

16 DR. JOHNSON: Yes. I guess I just have a  
17 comment. I understand there is limited space to put  
18 drugs. I guess my impression would be that it's much  
19 more likely you would have a clinically significant  
20 interaction with itraconazole and this drug than with,  
21 for example, warfarin and this drug.

22 If you feel there is only room for three  
23 drugs, I think there has to be a really critical  
24 assessment of what are the three most clinically  
25 significant drug interactions because I'm not sure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 those three are the three that are on the list.

2 DR. CANTILENA: How about if we do this?  
3 As we're going around with the questions, if someone  
4 has the actual slides that show the data for warfarin,  
5 ketoconazole, phenytoin, for example, that would be  
6 helpful for us to actually see that. If you don't  
7 have it handy, then we can start with that after  
8 lunch.

9 Dr. Goldstein.

10 DR. GOLDSTEIN: I don't have a question  
11 per se but I have a passing observation that I would  
12 like to make. The presentation on communication  
13 contained in it both a touch of irony and a touch of  
14 perhaps unfairness in the sense that the irony being  
15 the sponsor making a good faith effort to include  
16 various diseases for this heartburn medication on the  
17 label.

18 The unfairness perhaps is that it is the  
19 only one of this group, or any heartburn group.  
20 Neither the antacids nor the H<sub>2</sub>-RA antagonists have  
21 been required to include the series of diseases to the  
22 best of my knowledge. I think that needs to be taken  
23 into consideration by the panel.

24 DR. CANTILENA: Okay. Dr. Geller and Dr.  
25 Cryer.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GELLER: I have two questions about  
2 labeling. The first is in all the references to your  
3 doctor here. The verbs are "ask, discuss, and notify"  
4 and "see" is not used. I would think "see" is much  
5 stronger. I guess my question to the FDA is do you  
6 have a distinction about how strong the recommendation  
7 to contact the physician should be.

8 My second question --

9 DR. CANTILENA: How about if we hold on  
10 there and then we'll ask the second one after we hear  
11 that.

12 DR. ALFANO: Well, this in a sense  
13 highlights the clinical problem of management of  
14 patients with chronic heartburn generally and what the  
15 purpose of the labeling is. It really ends up being a  
16 rhetorical question for discussion.

17 Part of the context of that question  
18 really has to do with what is the optimal management  
19 for GERD and chronic heartburn. As perhaps will be  
20 raised later, there is in this algorithm many  
21 physicians do empirically treat individuals with a  
22 history prior to undertaking if they don't have alert  
23 symptoms and so on for a period of time prior to  
24 undertaking diagnostic studies.

25 I think that question should be to some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 extent asked to the sponsor what their intention is  
2 with regard to that wording. Is it to simulate  
3 management of patients who otherwise might have seen a  
4 physician? Or is the intention as a primer to get  
5 into the healthcare system?

6 DR. CANTILENA: Would the sponsor want to  
7 comment on this?

8 DR. PEURA: I think the purpose of the  
9 labeling is really to try to provide as clear a  
10 direction as possible to the consumer who might be  
11 using this product. In that regard, we do have  
12 testing that shows that the word "notify" is actually  
13 a more action provoking verb than the word "see."  
14 When you show those words to consumers, "notify" gets  
15 them to do more.

16 Since our intent here is to be sure that  
17 people who use this product understand that it is  
18 important to keep their doctor in the loop for this  
19 condition, that would be our choice of wording  
20 probably.

21 DR. GELLER: My other question concerns  
22 the process of deciding on what a label should say in  
23 an OTC setting. It seems to me that there should be  
24 an iterative process if you don't get it right the  
25 first time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           When you change the label, it seems to me  
2 you should satisfy -- attempt to satisfy all the  
3 conditions or questions that have been raised and then  
4 go and test it again. It might take more than two  
5 attempts. The label change, as I understand it,  
6 hasn't been tested again. Is my assessment of the  
7 process correct and my assessment of what's happened  
8 here correct?

9           DR. SHETTY: Usually it's not tested if we  
10 approve the drug. Now we know the label comprehension  
11 and actual use study and the proposal for marketing  
12 labels are already close. They are very similar so we  
13 know that these people will use the drug that's used  
14 in actual use study.

15           If the decision will be to approve this  
16 drug for over-the-counter marketing, unless the  
17 committee feels that we need to do another study or do  
18 like Phase IV commitment to test the new level before  
19 approval, we can request the sponsor to do that study.

20           DR. GELLER: So you're saying it's not  
21 usually an iterative procedure?

22           DR. SHETTY: No.

23           DR. GELLER: Okay. Thank you.

24           DR. LECHTER: Very frequently the sponsor  
25 will do a series of tests and change the label and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 retest. They don't always do that. In this case the  
2 last label used in the label comprehension study was,  
3 as Dr. Shetty mentioned, tested to some extent. '

4 Well, actually it wasn't the last label  
5 used. It was kind of a cross between the last label  
6 used and the label comprehension study and the new  
7 proposed label was used in the actual use study.

8 Ideally if there are concerns after the  
9 actual use study, perhaps the label should be looked  
10 at again, changed, and retested but that is an ideal  
11 situation. It isn't often done.

12 DR. CANTILENA: Dr. Cryer and then Dr.  
13 Uden.

14 DR. CRYER: So the data that Dr. Avigan  
15 reviewed for us were data that were directed towards  
16 the initially proposed indication for OTC omeprazole.

17 I'm trying to place that data in the context of newly  
18 requested proposed indication which has changed since  
19 the previous review.

20 The question is how do your conclusions  
21 change in light of the revised proposed labeled  
22 indication?

23 DR. ALFANO: I don't think that -- I mean,  
24 you can discuss these slight nuisances in the proposed  
25 changing of wording, the for-24-hours insertion. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think that there are different ways of understanding  
2 what for 24 hours means and that becomes a point of  
3 language.

4 I think that the prevention concept in the  
5 study is applicable. Basically the difference between  
6 the first and the second meeting is that we have  
7 excised out the first two indications and we have come  
8 back with a focus on the third. I think that, in my  
9 view, it follows.

10 DR. UDEN: I would like to get back and  
11 follow up just a little bit on what Dr. Geller started  
12 here. I'm going to follow up on my question that I  
13 asked the sponsor earlier on. I was not completely  
14 satisfied by the answer that I received in terms of  
15 endpoints.

16 I don't know if this is the time to talk  
17 about it, and maybe we should talk more about it  
18 later, but I think the FDA or the sponsors need to set  
19 out some definable endpoints in terms of what is  
20 understandable.

21 When the FDA started their presentation,  
22 it started that labeling is likely to be read and  
23 understood. What does understood mean? Does it mean  
24 understood by 80 percent of the people, 90 percent of  
25 the people, 40 or 50 percent of the illiterate people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and 90 percent of other people?

2 I think if sponsors went in with  
3 predefined, "This is what we want. We want 80 percent  
4 of the people to understand the label of all people,"  
5 then we would be able to get back and design a label  
6 and only 50 percent don't understand it. You design  
7 another label and you change the wording. We're  
8 talking about these are minor words and these are not  
9 minor words.

10 I would argue that notify your physician  
11 is not understandable to somebody who has low  
12 literacy. Notify is not a great word for that group  
13 of people. Probably not. I think we may need to talk  
14 a little bit more about that later on.

15 One other comments here. When sponsor put  
16 up -- I don't see it in the label but when sponsor put  
17 up the supplemental educational materials they had  
18 three circles up there and comparing omeprazole with  
19 antacids and H<sub>2</sub>-receptor antagonists and basically a  
20 marketing piece which antacids work for an hour or two  
21 and H<sub>2</sub>-receptors will work for 12 hours. This drug  
22 will work for 24. Nowhere do I see in the label any  
23 statement that you will not see this medication work  
24 for one to two days.

25 There is nothing in there to tell people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 that if you take this for a day and you are expecting  
2 a response in six hours or 12 hours you're not going  
3 to see a response. I would like at some point in time  
4 us to discuss about the addition of what they should  
5 expect from this drug.

6 DR. CANTILENA: Thank you. I'm sure that  
7 will come up this afternoon in our discussions.

8 Dr. Alfano, do you have a question for the  
9 committee -- I mean, for the FDA? Not the committee.

10 DR. ALFANO: Yes. It's a question for Dr.  
11 Shetty. At one point, Dr. Shetty, you criticized the  
12 actual use study because the sponsor didn't contact  
13 physicians to confirm that, in fact, they had been  
14 contacted by the participants. I guess my question is  
15 if it's an all-comer study, how would you do that and  
16 not infringe on the doctor-patient confidentiality and  
17 violate HIPA and things like that.

18 DR. SHETTY: We've seen studies in the  
19 past when they wanted to check whether people really  
20 went to the physician. They asked who is their  
21 primary physician and asked permission to contact  
22 their physician to ask whether really that patient saw  
23 that physician and whether the physician approved of  
24 what was the decision made. Here there was no -- they  
25 didn't have to go to see their particular physician

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 those subjects.

2 They could have asked anybody who is a  
3 healthcare professional, a friend or a relative, "I'm  
4 taking this medicine for my heartburn. Is it okay?"  
5 They would say okay and that was considered that they  
6 consulted a healthcare provider.

7 DR. ALFANO: So then you're suggesting  
8 that releases would be sent to whomever?

9 DR. SHETTY: I don't know the particular -  
10 -

11 DR. ALFANO: -- contacted on an all-comers  
12 basis? I guess my point is it seems to be an  
13 unrealistic requirement.

14 DR. SHETTY: Maybe it's unrealistic but  
15 that would be perfect or realistic to know whether  
16 really physician approved that medication for that  
17 patient to use. It could be done at the end of the  
18 study after the study is completed and contact made to  
19 the physician.

20 DR. CANTILENA: Some sponsors have  
21 actually handled that in a different way on other  
22 applications.

23 Any other questions? Dr. Davidoff.

24 DR. DAVIDOFF: Yes. I have a question  
25 primarily for Dr. Lechter. It has to do with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 wording of the label. It says, "Do not use with other  
2 acid reducers." I wondered if that statement is clear  
3 to you? Whether the meaning of that statement is  
4 clear to you? It's not clear to me because I think  
5 the intent is directed at H<sub>2</sub>-receptor antagonists.

6 On the other hand, we've heard that the  
7 data either are missing on whether there is a reaction  
8 or that, in fact, H<sub>2</sub>-RA errors are, in fact, make a  
9 difference because they diminish the efficacy of this  
10 drug.

11 We've also heard that people apparently  
12 took both H<sub>2</sub>-RA antagonists and antacids during the  
13 course of the trial, although that -- well, I don't  
14 know about during the course of the trial because that  
15 wasn't asked for but that has apparently been true in  
16 some of the other data that was presented.

17 It seems like this is an ambiguous  
18 statement not just to me but perhaps to others. Do  
19 you have any notion of how clear that meaning is?

20 DR. LECHTER: That particular issue is not  
21 tested in the materials that I have received.  
22 However, I might note that I believe, and the OTC  
23 Division can correct me if I'm wrong, that all the  
24 over-the-counter products that are acid reducers will  
25 say acid reducer on the drug facts label.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           If they are not an acid reducer, they  
2 might be called something different. Is that correct?

3           So that if consumers look at the drug facts label for  
4 the other products they are taking it will say acid  
5 reducer if that is what it is. I agree in general the  
6 term is probably not clear to the lay people.

7           DR. DAVIDOFF: That is helpful because if  
8 it does say that on the HRA package, that is fine. If  
9 you just ask 100 people what they understand what is  
10 an acid reducer, I don't think that would be a highly  
11 germane point because not everybody reads the package  
12 of the H<sub>2</sub>-RA.

13           DR. CANTILENA: Okay. Thank you. One  
14 more question, Dr. Camilleri.

15           DR. CAMILLERI: I would like to ask Dr.  
16 Shetty her advice with regard to the correct self-  
17 selection. I see from the table you have provided us  
18 that 134 of these 1,251 patients had contraindicated  
19 symptoms.

20           In the context of risk management, I would  
21 have thought that a much larger study would be helpful  
22 to understand whether people with contraindicated  
23 symptoms would deselect the option of using  
24 omeprazole.

25           I guess from a design perspective or from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the numbers that we have, is this a sufficient number  
2 to reassure us that deselection is going to occur  
3 appropriately?

4 DR. SHETTY: Well, I don't know. We don't  
5 have any endpoints for what is acceptable or not  
6 acceptable failure on those subjectives. We know that  
7 some people deselected in this study also those who  
8 had contraindicated conditions and didn't buy the  
9 product or refused to participate for that reason.

10 This was around 10 percent of that  
11 population that had those contraindicated conditions.

12 We can discuss about that more whether it is  
13 acceptable or not. Certain conditions are more  
14 serious than the others if they are not reported to  
15 the physician.

16 DR. CANTILENA: Okay. Dr. Zorich, did you  
17 want to make a comment? Either that or you have to  
18 leave the room.

19 DR. ZORICH: Well, maybe. Considering how  
20 confusing this is becoming, maybe leaving the room is  
21 good.

22 The reason you saw me kind of jump up is  
23 that this is an area that is confusing to me just how  
24 the sponsor should handle appropriately. These  
25 contraindicated symptoms have really nothing to do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with omeprazole. They have to do with people  
2 misconstruing heartburn for something else.

3           Whether it's frequent heartburn or  
4 episodic heartburn, it's really -- I don't think that  
5 it's germane because if we are talking about people  
6 having anginal like symptoms, then the fact that is  
7 happening to them at that point when they are making a  
8 purchase decision at a Walgreens, it has nothing to do  
9 with the purchase decision of omeprazole or an acid  
10 reducer or an antacid.

11           We were trying -- now I see sometimes that  
12 no good deed goes unpunished. We were trying in a  
13 very responsible way to communicate to people that  
14 anytime you have heartburn, there should be this other  
15 constellation of symptoms that you are considering in  
16 making a purchase decision.

17           I would like to clarify that I do not  
18 believe that they are uniquely related to a purchase  
19 decision about omeprazole. They are instead the AGC  
20 warning signs which could be -- somebody could be  
21 experiencing whether or not they are having a frequent  
22 or infrequent heartburn.

23           DR. CANTILENA: Right. I understand your  
24 point but if it happens on your study, then you have  
25 it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Why don't we -- actually, I would just  
2 like to go over sort of the homework assignment. I  
3 would propose that right after lunch just before the  
4 charge to the committee by Dr. Katz if we can get a  
5 copy of the ICD.

6           Then we would want to see the actual  
7 pharmacokinetic interaction data for warfarin,  
8 ketoconazole, and then the drug-food interaction data  
9 because that was a question that came up earlier this  
10 morning. These can just be slides with the curves to  
11 show us the effects. Does anyone else on the  
12 committee want to see any other pharmacokinetic data?

13           Dr. Brass, did I leave anything out?

14           DR. BRASS: No. I think you covered it  
15 but I'm sure when we see it there will be questions  
16 about its limitations.

17           DR. CANTILENA: Very good. Let's pause and  
18 we will actually start on time this afternoon at 1:30.  
19 The committee is reminded during lunch not to discuss  
20 issues that are before the committee. Talk about the  
21 soccer game and see if you can catch a replay.

22           (Whereupon, at 12:26 p.m. off the record  
23 for lunch to reconvene at 1:30 p.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:35 p.m.)

3 DR. CANTILENA: I will start the afternoon  
4 with some follow-up items that we listed just before  
5 the break. I'll turn it over to Dr. Triebwasser from  
6 Procter and Gamble. Can I have your attention,  
7 please? Thank you.

8 DR. TRIEBWASSER: We're going to present  
9 now the data, looking at the drug-drug interaction,  
10 the specific data on warfarin.

11 We're not on? Do I have it turned on? I  
12 have it turned on. Let's try it again. There we go.

13 All right.

14 We're going to present now some data on  
15 the drug-drug interaction questions regarding warfarin  
16 and also the food interaction studies which were asked  
17 for earlier. Dr. Levine from AstraZeneca will present  
18 this data.

19 DR. LEVINE: Thank you. Can I have slide  
20 58, please? We'll start with the data requested  
21 regarding drug-drug interaction studies involving  
22 omeprazole and warfarin. This is from my slide set,  
23 please. Thank you.

24 These are data that were shown at the  
25 October 2,000 advisory committee meeting. I would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 like to refresh people's memory. Warfarin is a  
2 racemate that includes two optical isomers, the R and  
3 S form. It turns out that the anticoagulant effect  
4 delivered by the racemate is primarily through the S-  
5 isomer which does not share CYP-2C19 as the primary  
6 metabolic pathway with omeprazole.

7 The R-isomer, which is metabolized through  
8 2C19 does not contribute nearly as much of the  
9 anticoagulant effect. These are group data, two  
10 studies, the first in healthy subjects. One can see  
11 if one looks at the S-isomer, which is clinically more  
12 important with regard to delivery of the anticoagulant  
13 effect, there is no change in serum concentration with  
14 a 20-milligram dose of omeprazole after 14 days.  
15 There is a very small clinically insignificant effect,  
16 mean effect, of about 12 percent.

17 I'm not going to use the pointer. Thank  
18 you.

19 The bottom study was performed in  
20 anticoagulated patients. I'll show you additional  
21 data and a couple of additional slides. Similar  
22 effects were seen. Again, no changes in the S-isomer  
23 concentration with omeprazole, in this case 20  
24 milligrams over a 21-day period, whereas with the R-  
25 isomer there was almost a 10 percent change.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   We have data looking at the  
2 pharmacokinetic effect which is of greater clinical  
3 importance. What I want to show you on the next  
4 slide, which is slide 59, I need to walk you through  
5 this.

6                   This is a rather old study in which a  
7 coagulation test known as a thrombo test was used.  
8 This was a study conducted in Sweden and this is not a  
9 test that we have presentationality with so we don't  
10 have data using prothrombin times or INRs. The TT  
11 values were a clinically relevant means of following  
12 anticoagulation in patients treated with warfarin.

13                   What you have on the X axis are the  
14 initial run-in values with patients who are treated  
15 with warfarin but they are not yet on omeprazole.  
16 Just to give you a guide, the therapeutic range that  
17 is aimed for using this test is with a value of  
18 between five and about 18. If you look carefully all  
19 the way to the right, there is one outlier with regard  
20 to, you know, just during the run-in whether or not  
21 they were within therapeutic range.

22                   Now, what we had the opportunity to do in  
23 this study was have a couple of run-in values. What  
24 we have done on the Y axis is run-in value at week one  
25 and run-in value week 2 and looked at the difference

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 just to give you the measurement variability in  
2 coagulation function just on warfarin. You can see  
3 that there is a very wide range. This has to do with  
4 the variability and warfarin effects and measurements  
5 of anticoagulation.

6 Now, keep this in mind. You can see that  
7 there's a range of plus or minus at least five to six  
8 points using the TT value but outliers that are even  
9 greater than that.

10 Now, in the next slide what I'm going to  
11 show you is the actual study slide. We had data on 28  
12 patients. This was a randomized placebo controlled  
13 crossover study which in one period patients were  
14 randomly allocated to receive placebo or then were  
15 allocated to receive omeprazole obviously being  
16 maintained on what was thought to be their stable  
17 warfarin dose based on the run-in values.

18 Here what we have again like in the  
19 previous slide on the X axis below this is showing  
20 what the last run-in value was using the TT test. On  
21 the Y axis what we have here is the difference.

22 These are individual patients, the  
23 differences in the TT value on omeprazole with  
24 warfarin or on placebo with warfarin. Again, you can  
25 see that the nature of the variation is actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 within measurement variability of the TT test if you  
2 recall the previous slide.

3 The other point that I would simply make  
4 and, again, I apologize if this is confusing. The  
5 lower the TT value the higher the -- the greater  
6 length of time it would take for coagulation to occur  
7 so there is a bit of an inverse value.

8 My point is if you were looking down  
9 closer to the four to eight range, if omeprazole was  
10 having significant interaction, you would be seeing  
11 the differences trail up into the left and you do not  
12 see this.

13 Our interpretation of these individual  
14 data are that, in fact, when you look at omeprazole  
15 effects on warfarin, the changes in the  
16 pharmacodynamic effect of the drug is actually just  
17 within measurement variability as when you are looking  
18 at warfarin alone.

19 Would you like me to proceed through the  
20 other drugs or take questions?

21 DR. CANTILENA: How about if you go  
22 through the rest of the data and then we'll do it all  
23 at once.

24 DR. TRIEBWASSER: Okay. Next slide 55.  
25 This is looking at phenytoin. Again, I don't want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 take too much time. This is a slide also shown at the  
2 October 2000 Advisory Committee Meeting. Here we have  
3 three studies with healthy subjects and a fourth study  
4 in individuals with epilepsy that required phenytoin  
5 treatment.

6 What we showed with coadministration of  
7 omeprazole in the healthy subject's doses of 40  
8 milligrams either at seven days or three days, or in  
9 the epileptic patients 20 milligrams of omeprazole for  
10 21 days, we did not see any clinical significant  
11 changes in phenytoin levels.

12 Now, on the final study in epileptic  
13 patients there were eight patients. We have  
14 individual point values that we can show you on slide  
15 57. I apologize because this is more raw data and a  
16 little bit difficult to see but the patients are one  
17 through eight down below.

18 If you read across on the top, baseline  
19 phenytoin levels were obtained at week zero, week one,  
20 and week two before omeprazole treatment was  
21 introduced. Omeprazole was then added during weeks  
22 three, four, and five, and then stopped. Then we have  
23 washout values off of omeprazole at week six or seven.

24 These are all phenytoin levels. The  
25 therapeutic range for phenytoin was approximately 40

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to 80. What one can look at is really no significant  
2 movement of individual values on omeprazole that are  
3 clinically significant. I'll leave that up if you  
4 would like to look at it carefully or we can make it  
5 available later in a hardcopy.

6 Slides off just for a moment.

7 Ketoconazole, I apologize, we do not have data. The  
8 data that were looked at with regard to the  
9 interaction between ketoconazole and omeprazole were  
10 actually not sponsor related studies.

11 In the prescription label we indicate --  
12 this is essentially a concession that because of the  
13 known effects of acid, the requirement for acid for  
14 absorption of certain drugs, the prescriber is to take  
15 that into advisement.

16 Now, we are aware of published data where  
17 omeprazole was given to individuals with ketoconazole  
18 as part of the drug interaction study. The OUC levels  
19 of ketoconazole actually declined by about 80 percent.

20 We think that it is very important from a  
21 medical standpoint to know that if one is treated with  
22 acid suppression, the therapeutic value of the  
23 antibiotic, in this case, is not going to be very  
24 high. I'll defer to others to speak about the  
25 labeling contingencies for the OTC product. We

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 recognize that omeprazole will significantly decrease  
2 the absorption of antifungal agents like ketoconazole.

3 Finally, you asked about food effects. If  
4 I could have slide 68, please. There are three curves  
5 here. This is a standard plasma concentration time  
6 curve for the use of omeprazole tablet at 20  
7 milligrams in the dark squares and the omeprazole  
8 tablet 20 milligrams after food.

9 What is not relevant here is the third  
10 curve which is the omeprazole capsule. If one wants  
11 to understand the food effect on the tablet, if one  
12 looks at the very first curve on the left compared to  
13 the second curve, which is the fasted versus fed  
14 administration of 20 milligrams of omeprazole  
15 magnesium, one can see that the c-max declines at the  
16 time the c-max extends. But the area under the curve  
17 stays the same. I can show you on a table, new slide  
18 247.

19 Again, if we are looking at the mean  
20 values for AUC, c-max and t-max for either the MUPS,  
21 omeprazole magnesium tablet administered in the fed  
22 state versus the fasting state. On the right column  
23 there is the ratio. What we show is that if you  
24 compare areas under the curve there is unity.

25 There is a difference for c-max so based

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on the way bioequivalence is interpreted, this may not  
2 be judged by equivalence. Our position is that AUC is  
3 a very good surrogate predictor of acid suppression  
4 and the fact that one sees no difference in the area  
5 under the curve. There is an element of equivalence  
6 here.

7 DR. CANTILENA: I just have one quick  
8 question if you can just go back one slide, SBU-68.  
9 The way in which the area under the curve remains the  
10 same is the slowing in the absorptive?

11 DR. TRIEBWASSER: That's correct. One  
12 would reasonably predict that in the fed state digital  
13 and gastric emptying by essentially the  
14 bioavailability based on AUC. There are different  
15 criteria that you are well aware of. Based on AUC  
16 they are the same.

17 DR. CANTILENA: All right. And so the  
18 title of your slide really is just referring to AUC  
19 then?

20 DR. TRIEBWASSER: Yes, but we also have  
21 other data that shows that the AUC is a good predictor  
22 of acid suppression.

23 DR. CANTILENA: Right. But there is an  
24 effect on c-max as well as t-max.

25 Now, just from a scientific standpoint,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 can you tell us what you think is most important in  
2 terms of the ultimate pharmacodynamic effect? Is it  
3 c-max or is it area under the curve?

4 DR. TRIEBWASSER: We think that the most  
5 relevant factor is the AUC based on the relationship  
6 to acid suppression.

7 DR. CANTILENA: Okay. Other questions?

8 MS. COHEN: Yes.

9 DR. CANTILENA: Go ahead.

10 MS. COHEN: I see that you have to take it  
11 in the morning. Now, what happens to people who don't  
12 take breakfast or people who just have coffee or  
13 people who do have to eat after it, before it?

14 The other question I have along with it,  
15 can you take it with, say, orange juice or grapefruit  
16 juice or should it be taken with water? I am  
17 concerned about consumers, whether they take it  
18 without having breakfast or they take it after  
19 breakfast or how they should take it because this only  
20 says in the morning and that doesn't mean anything.

21 DR. TRIEBWASSER: Not all the studies have  
22 been done to specifically address each of the  
23 contingencies that you addressed. Based on the bulk  
24 information we don't think that there is really a lot  
25 of difference whether or not the drug is taken with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 food or other beverages that you mentioned.

2 MS. COHEN: Suppose someone doesn't eat  
3 any breakfast at all and take it?

4 DR. TRIEBWASSER: We think the drug would  
5 still be effective.

6 MS. COHEN: What would it react with?

7 DR. TRIEBWASSER: I don't understand.

8 MS. COHEN: Isn't there something that --

9 DR. CANTILENA: I think on the slide the  
10 answer to your question would be that you would be  
11 looking at the fasting curve.

12 MS. COHEN: Fasting curve.

13 DR. CANTILENA: So he has that  
14 information. It's a higher c-max but the area under  
15 the curve doesn't change.

16 MS. COHEN: Thank you.

17 DR. CANTILENA: Dr. Brass.

18 DR. BRASS: Yeah. I would like to return  
19 to the focus of relating this information to the  
20 question posed by the reviewer as to the adequacy of  
21 the warning label and which of these drug  
22 interactions, in fact, need to be communicated  
23 effectively to avoid a public health problem.

24 First, I would like to thank the sponsor  
25 for providing the individual subject data to allow us

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to understand the outliers as well as the mean  
2 response which is quite helpful. It is clear in that  
3 relatively small population that with warfarin there  
4 wasn't any evidence of a clinically meaningful effect.

5 The question is how does that small sample effect  
6 extrapolate to a large population and whether or not  
7 there are at risk populations that are identifiable.

8 At the same time coming back to the point  
9 that was raised, I'm a little bit concerned about over  
10 extrapolating spontaneous reports because we all know  
11 that in any cohort followed on warfarin there will be  
12 individuals who will go out of whack for no clear  
13 reason at various times.

14 If they happen to be on omeprazole and  
15 happen to be reported, there may be a link. What I'm  
16 trying to gauge in terms of whether or not -- I do  
17 believe any patient on warfarin should talk to their  
18 doctor before they take any medication.

19 In terms of the standard of effectiveness  
20 of the warfarin warning, is the review team  
21 comfortable that based on this data that this is not a  
22 large population concern or do they remain concerned  
23 that there are specific subpopulations or stronger  
24 data to suspect this is a risk.

25 DR. CANTILENA: Charlie, does someone from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 your team want to handle it?

2 DR. GANLEY: Yes. I guess the point that  
3 I would make is that we've seen a pattern, a cluster  
4 of such reports in the class, as I mentioned. In some  
5 cases some of the reports actually indicated a  
6 salutatory response to dechallenge so that basically  
7 in some cases not only is there a theoretical  
8 interaction based on the CYP-2C19 metabolism but in  
9 some cases empirically there was improvement after  
10 cessation of the proton pump inhibitor.

11 DR. BRASS: I mean, were there any  
12 rechallenge in any of those in terms of doing formal  
13 study?

14 DR. GANLEY: That I would have to go back  
15 and look at that. There was enough concern to decide  
16 to change the labeling in the prescription  
17 formulation. By the way, again, I think the other  
18 point is that we wouldn't necessarily in a small test  
19 population see it but for a variety of confounding  
20 reasons you have outliers in a large population of  
21 users.

22 DR. BRASS: No, I understand completely.  
23 What about phenytoin? Do you still believe that  
24 phenytoin requires a warning in the general  
25 population?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701