

1 there might be is some differences, then, in let's
2 say immunization responses or some other
3 acquisition of acquired immunity in some early
4 childhood period when immunologic memory is being
5 acquired.

6 So I wouldn't want to go back too early in
7 terms of kids that are exposed.

8 DR. VAISHNAW: Thank you, Dr. Krueger.

9 DR. STEVENS: Thank you. To follow up on
10 Dr. Morison's question, you have shown data that
11 does not appear to affect primary immunization or
12 transition from naive to memory in a T-dependent
13 humoral immune system as well as minimal effect,
14 possibly, in the recall cell-mediated immunity
15 system. Do you have any data about the transition
16 of naive to memory in cell-mediated immune process
17 such as contact hypersensitivity or in DTH, itself?

18 DR. VAISHNAW: We don't have that. We
19 have been working with the agency throughout the
20 program to try and conduct immune test systems that
21 are reliable, reproducible across multiple centers
22 and where we can interpret the data. You have seen
23 two aspects to that. You have seen the DTH and we
24 have discussed the pros and cons of that data
25 there. You have seen the other approach which has

1 been more robust across multiple centers, and that
2 is the phi-X approach.

3 But we don't have data to that point. The
4 only point I would make is given that some of these
5 things are difficult to assess in a controlled
6 fashion because of the types of assays involved, we
7 have repeatedly asked ourselves the question what
8 is happening in the safety database.

9 The corollary to a defect in the kind of
10 conversion you are talking about is evidence of
11 opportunistic infections or a pattern of infections
12 that are suggestive of problems in terms of T-cell
13 immunodeficiency and we have failed to detect that.

14 I guess my concern also didn't come only
15 from infection but also the hint that, perhaps,
16 there may be an increase of malignant risk in
17 treated patients. So it was more that rather than
18 infection that was bringing that concern

19 DR. DRAKE: Dr. Morison has a comment.

20 DR. MORISON: I would agree with that.

21 That is the reason I raised the DNCB assay, an
22 assay which is reproducible across multiple
23 centers. It is an easy assay to do. There is
24 correlation, at least in the mouse and, to some
25 extent in the human, that if I had to develop a DTH

1 response to a contact sensitizer like that, it is
2 correlated with the development of skin cancer.

3 So there is good reason for doing that,
4 not just looking at the immune system and it is
5 quite separate and distinct from the infector in
6 infectious diseases.

7 DR. VAISHNAW: With respect to the point
8 of the potential for a signal in the malignancy
9 situation, maybe I could just review the squamous-cell
10 carcinoma rates that we observed because
11 squamous-cell carcinoma in many other settings
12 where there is high intensity of duration or
13 immunodeficiency is a good signal for occurrences
14 of--it is a good sentinel event indicating
15 significant immunodeficiency.

16 [Slide.]

17 In the placebo-controlled comparisons, I
18 think both Dr. Marzella and my colleague pointed
19 out that there was a numerical excess of squamous-cell
20 carcinomas in the alefacept-related patients.
21 Because of the excess numbers of patients in the
22 alefacept group versus placebo, in those
23 comparisons, we have been concerned whether it is a
24 kind of false-positive signal.

25 The only way we have found to try and

1 contextualize the rates we have observed is this
2 type of comparison where you look at the rate in
3 the alefacept placebo-controlled studies at 12.5
4 squamous-cell carcinoma per 1,000 patients years,
5 in the entire alefacept database, where we have
6 1,056 patient-year experience, you can see the rate
7 is stable. It is 13.3. These are patients that
8 are going over multiple courses.

9 So, if there was significant ongoing
10 immunosuppression, one might detect an elevation in
11 this rate here. Finally, at the bottom, you see
12 the expected rates that Drs. Stern and Margolis and
13 others who have been trying to address this issue
14 in the literature have documented.

15 So, at least from these comparisons, at
16 present we have concluded that the rates that we
17 have documented are within those expected. In the
18 sense of what is in store for the future, clearly,
19 as we indicated and as Dr. Marzella indicated, this
20 is a topic that is going to give continued study
21 for us because we are obliged to do that. It is
22 new therapy and a registry should help us address
23 that.

24 DR. DRAKE: Dr. Stevens, are you done?

25 DR. STEVENS: I had another question on

1 the topic, if somebody had a follow-up question--

2 DR. DRAKE: You have another question.

3 Dr. Abel, was your comment on this?

4 DR. ABEL: It relates, in a way, to side
5 effects and skin potential carcinogenicity and skin
6 cancer.

7 DR. DRAKE: Is it a question or a comment?

8 DR. ABEL: It is a question as to whether
9 we have data, and you may have mentioned this
10 already, in the patients who did develop cutaneous
11 malignancies, what their prior treatments were that
12 made them at risk; in other words, the PUVA-treated
13 patients would be, perhaps, at greater risk.

14 DR. VAISHNAW: We can go through that.

15 DR. ABEL: Cyclosporine.

16 DR. VAISHNAW: I haven't shown you the
17 data but we have those data for you if you wish to
18 review them. Would you like to do that?

19 DR. ABEL: I don't know if we need to do
20 that now.

21 DR. DRAKE: That is sort of borderline
22 between question and discussion.

23 DR. ABEL: It brings up issues as far as
24 recommendations and contraindications with regard
25 to prior--

1 DR. DRAKE: It brings up all kinds of
2 issues. If you would just address the facts and
3 then we will do the discussion this afternoon. If
4 you have a factual slide you want to show us.

5 DR. VAISHNAW: There is a factual slide.

6 DR. DRAKE: I figured you had one. You
7 are very good. I am impressed.

8 DR. VAISHNAW: I will ask my colleague,
9 Dr. Vigliani, to step up and walk you through this.
10 It is a little bit busy.

11 [Slide.]

12 DR. VIGLIANI: These represent each of the
13 individual patients who experienced squamous-cell
14 carcinomas within the study population. We have
15 indicated here the patients by course as to when
16 they developed these squamous cells. What you see
17 is that the majority actually were observed within
18 the first course and then there were additional
19 squamous cells reported in subsequent courses,
20 although the subsequent course diagnoses of skin
21 cancers actually were restricted to a couple of
22 patients who seemed to be experiencing multiple--if
23 we take the first patient, for example, in looking
24 at the baseline history, we see that that patient
25 who accounts for, actually, a total of six

1 squamous-cell cancers had a prior history of
2 squamous-cell cancers, had a prior history of PUVA
3 as well as UVB, methotrexate and cyclosporine.

4 So you see that there are a number of
5 preexisting risk factors based on prior therapies
6 as well as, in some patients, prior history of
7 squamous cell.

8 We actually have a slide that looks at
9 baseline characteristics that just defines this
10 across the entire database.

11 [Slide.]

12 In this slide, what you see are some
13 baseline characteristics of the patients indicated
14 on the left. On the top of the slide, you see the
15 proportion of alefacept-treated patients who
16 developed squamous cells and/or basal cells and how
17 these risk factors compared to patients in the
18 entire alefacept population.

19 So, looking at a prior history of
20 squamous-cell or basal-cell, what you see is that,
21 for squamous cells, 25 percent versus 1 percent
22 developed squamous cells had a prior history of
23 squamous cell. You can see similar imbalances for
24 prior treatment.

25 So I think what we can conclude from this

1 is that patients who developed these cancers were
2 patients that were at high risk.

3 DR. VAISHNAW: I think the other point
4 that, perhaps, we should make here is that, at
5 baseline, we noted that, given that squamous-cell
6 carcinoma, itself, is a predictor of subsequent
7 risk of squamous-cell carcinoma, there was an
8 imbalance between alefacept and placebo groups.
9 The placebo group was one individual that had had a
10 previous SCC. In the alefacept group, there were
11 eleven individuals. So that, perhaps, also plays
12 into the debate.

13 DR. DRAKE: We are running into lunch time
14 and I want to make sure people have time to grab a
15 bite to eat because people get cranky when they
16 don't eat. We don't want to fool around with that.

17 I have Dr. Katz left on my list and Dr.
18 Swerlick left on my list. You are okay? No more
19 questions? Anybody else with questions?

20 DR. STEVENS: I still have one more
21 question. I yielded for the follow up.

22 DR. DRAKE: You yielded for the follow up.
23 I understand. So you are next and then Dr. Katz.
24 Dr. Raimer, do you have any questions?

25 DR. RAIMER: No.

1 DR. DRAKE: Ms. Knudson, do you have any
2 questions?

3 MS. KNUDSON: My questions have to do with
4 adding children and that can come later.

5 DR. DRAKE: Okay. So we will do Dr.
6 Stevens' last question and then Dr. Katz' question
7 and then we will move to lunch and then reconvene.

8 Dr. Stevens?

9 DR. STEVENS: Thanks. I am trying to
10 integrate all the information that you gave us with
11 respect to the CD4 counts effects on--or T-cell
12 counts and the effect as well as potential safety
13 issues. You showed us that it took about six weeks
14 to really knock out the T-cell population, yet you
15 were dosing for twelve weeks.

16 I wonder about the variability between
17 patients in their attainment of that lymphopenic
18 state or relative lymphopenic state. I want to get
19 an understanding of why the monitoring is at 250
20 cells per microliter, why that, maybe, is a magic
21 number. Could we increase the potential safety or
22 further ameliorate the safety questions by raising
23 that threshold to a higher point.

24 There were a number of patients in whom
25 you withheld doses because of the lymphopenia. So

1 the question is was this repeated lymphopenia in
2 the same patients or one episode spread out evenly
3 among a number of patients. I guess, ultimately,
4 what I am getting at is trying to understand the
5 cutoff for holding the dose and also the rationale
6 behind the twelve weeks of dosing rather than some
7 other number.

8 I guess the other factor that plays into
9 that is the amount of time after you have finished
10 dosing patients in which they maintain this
11 relative lymphopenic state.

12 DR. VAISHNAW: So there were several
13 questions there. Let's go one by one. I think the
14 first one was the issue of the rates of dose
15 omission because of a CD4 count under 250. If we
16 looked in the Phase 3 studies, obviously the most
17 controlled setting, 10 percent of patients in the
18 IV study had that kind of transient dip and needed
19 a substitution. It was 5 percent in the IM.

20 Then you mentioned the issue of, well, are
21 there patients that get a more kind of multiple
22 count below 250 and would require multiple
23 substitutions. Indeed, there were 2 percent of
24 patients in the IV study had that type of event in
25 the first course and when the same patients were

1 retreated in the second course, there were none.
2 For the Phase 3 IM study, no studies had multiple
3 counts under 250 of the type you describe.

4 Now, the question of the choice of 250 has
5 been important to us. We have thought very hard
6 about it. The low limit of normal is 404 for CD4
7 T-cells. A CD4 count of 300 was elected in the
8 Phase 3 studies. We saw very encouraging safety
9 profile with that.

10 For Phase 3, the agency worked with us on
11 the designs on those studies and they were aware of
12 the threshold that we picked which was 250. You
13 have seen the safety, efficacy and other data in
14 relation to regulating dosing around that
15 threshold.

16 A couple of things, looking back at this
17 whole experience maybe that are important to
18 acknowledge is that we have been intrinsically
19 conservative and we should have been and we are
20 because we don't understand everything there is to
21 understand about alefacept lymphocyte safety and
22 efficacy although I might act as if I might.

23 We have a lot to understand and we want to
24 be conservative. We have a count of 250 because we
25 understand the safety profile around that now. We

1 propose moving forward with that. As multiple-course
2 experience increases and our safety profile
3 is defined over multiple courses, I think we can
4 revisit the issue of whether 250 is or isn't. At
5 the moment, we have data that supports 250 as a
6 rationale choice.

7 The final thing I would say about the
8 choice of 250 is that it is very much--it is all to
9 do with what is happening in the blood. It does
10 not necessarily mean that this is what is going on
11 in the extravascular compartment. If you look at
12 the individual patient profiles over time, and for
13 those patients that got infections, you very often
14 see a brisk rise in lymphocyte count far above
15 normal, in fact.

16 What that teaches us is that we are
17 looking in the blood. There is massive repository
18 outside the blood and the function, there, of those
19 lymphocytes is described by the safety profile and
20 in the lymphoid tissues by the phi-X-174
21 experience.

22 So I have given a long-winded answer, but
23 I think I have addressed most of your points.

24 DR. DRAKE: Dr. Katz.

25 DR. KATZ: Getting back to the clinical

1 study, and maybe I missed it in the briefing book,
2 but the people who recorded these rather minor side
3 effects like chills, were they the same people
4 evaluating the patient for improvement?

5 DR. VAISHNAW: Whether people getting the
6 chills were the ones that achieved significant
7 improvement?

8 DR. KATZ: No.

9 DR. VAISHNAW: I'm sorry.

10 DR. KATZ: Was the same investigator the
11 same physician evaluating chills, IM reaction, as
12 was evaluating improvement in the PASI?

13 DR. VAISHNAW: Yes. So the clinical
14 examination of patients was by a blinded
15 investigator who was evaluating both the PASI and
16 the physical status of the patient from the safety
17 viewpoint; yes.

18 DR. KATZ: I may have missed in the
19 briefing book, what percentage had IM reactions the
20 first time?

21 DR. VAISHNAW: We can address that--I'm
22 sorry?

23 DR. KATZ: What percentage of the patients
24 getting the drug had that?

25 DR. VAISHNAW: I will ask my colleague,

1 Dr. Vigliani, to walk you through the data that we
2 have addressing that.

3 DR. VIGLIANI: As I mentioned in my
4 presentation, if you look at the overall integrated
5 database, you would actually find that less than 5
6 percent of patients had injection-site reactions.
7 However, we did see a higher frequency in the IM
8 study.

9 I will just present to you here the data
10 on injection-site reactions from that study.

11 [Slide.]

12 What you see was that there were 8 percent
13 of patients with an injection-site reaction in
14 placebo, 13 percent in the 10 milligram and 19
15 percent in the 15 milligram. These are any
16 injection-site reaction.

17 If you look at the number of injections
18 that were associated with an injection-site
19 reaction, counting the total number of injections,
20 you see that the majority of injection-site
21 reactions were reported on one occasion, some on
22 two and infrequently with multiple injections.

23 [Slide.]

24 Just to further characterize the
25 injection-site reactions by severity, on this next

1 slide, what we see is that the majority of
2 injection-site reactions or 84 percent in the 15
3 milligram group were mild, 16 percent moderate and
4 no severe injection-site reactions.

5 In the IM Phase 3 studies, we had no
6 patients discontinuing due to injection-site
7 reactions.

8 DR. KATZ: I would like a comment,
9 perhaps, from the group statisticians, as far as
10 blind goes, I was concerned about the severity of
11 the injection-site reactions. Do you think this,
12 in part, negates the blind of the study because
13 there is 11 percent more injection-site reactions
14 seen by the physicians evaluating that, number one,
15 and, number two, the 6 percent chills versus 1
16 percent.

17 Considering the margin of efficacy, we are
18 talking about 10 percent, 25 percent. Are we
19 talking about something relevant? Can we have the
20 statistician comment on that?

21 DR. VIGLIANI: Can I just put back up the
22 injection-site reaction slide again, that first
23 one, just to look at what types of injection-site
24 reactions these were, or maybe I don't need the
25 slide. But the most frequent injection-site

1 reaction actually was just injection-site pain.

2 No; I guess I don't have a slide of that. Sorry.

3 So the most frequent injection-site
4 reaction was pain.

5 DR. KATZ: It was 19 percent versus 8
6 percent. The other thing was on the chills. I
7 have another question for Dr. Lebwohl and then I am
8 finished, Lynn.

9 DR. DRAKE: That's fine.

10 DR. KATZ: Mark, first of all, thank you--

11 DR. DRAKE: Mark, how come you keep
12 standing between us and break? Have you noticed
13 that this morning?

14 DR. KATZ: Mark, thank you for your
15 clinical slides which had answered questions of
16 mine, not being used to these studies, what is 50
17 percent, what is 75 percent. I certainly would
18 agree with you that 50 percent is, in a clinical
19 basis, very much appreciated by the patient.

20 I would revise my thought that 50 percent
21 isn't so great and would agree with you that is
22 quite impressive. However, you used the figure of
23 60 percent of people comparing to methotrexate. I
24 am sure, clinically, that is going to be a clinical
25 judgement for everybody and I appreciate your

1 experience because you have more than anybody else.

2 But you say 60 percent respond yet, even
3 with a PASI of 50 over the placebo, there is only
4 24 percent response. That is in the IM study.
5 There is a 9 percent clear or almost clear over
6 placebo. So when you consider the experience we
7 have with methotrexate of whatever--Figure 1 in the
8 briefing book, it said 60, but I think usually
9 85 percent is quoted and they get equal response.
10 I wondered why you would say you would pick this
11 over methotrexate as a drug.

12 DR. LEBWOHL: First of all, largely
13 because of toxicity. I think first the
14 hepatotoxicity, which is long-term, which I think
15 we can monitor for, but secondly those occasional
16 instances of pancytopenia that happen because of
17 accidents that happen out there. I view
18 methotrexate, at least with what we know about it
19 and, admittedly, we don't have long-term data on
20 alefacept, but short-term, I do believe that this
21 is a safer drug.

22 That is why I would put this ahead of
23 methotrexate. As far as efficacy, no question
24 methotrexate is a highly effective therapy. I
25 think that before we started using PASI 75 or clear

1 or almost clear as endpoints, if you ask me how
2 often does it work for methotrexate, I would say 80
3 percent of the time.

4 You said 85 percent of the time. I think
5 if you applied the same bars, you would find the
6 numbers probably a little bit higher than alefacept
7 but not as much as you think. Someone told me that
8 there was a poster at the SID that did that and, in
9 fact, found the two comparable.

10 Lynn mentioned the October meeting of the
11 FDA in which this high bar was discussed. Part of
12 discussion was even if only 5 percent of patients
13 achieved the endpoint because they knew they were
14 advocating very high endpoints, as long as it was
15 statistically significant, it would pass.

16 I think that what we are looking at here
17 is precisely that scenario. You know, we are
18 looking at the drug that the patients were very
19 happy getting, the patients who responded were
20 ecstatic getting. But a lot of the patients who
21 were ecstatic didn't achieve PASI 75 exactly two
22 weeks after they finished dosing.

23 The other issue that you mentioned with
24 Dr. Vigliani I want to say that the chills were in
25 the IV study, I believe. Is that right? In the IM

1 study, I don't think the chills occurred. I don't
2 recall. I don't think that, to the investigators,
3 that pain at the site of injection certainly didn't
4 lead us to believe that that was active or placebo.
5 That was only the first one or two injections.

6 So I don't think that we could have
7 distinguished the patients on the basis of pain at
8 the site of injection and the chills were in the IV
9 study, not the IM.

10 DR. KATZ: Thank you.

11 DR. VAISHNAW: Could I just add a brief
12 comment to that. The database that we have is
13 interesting to probe from a variety of viewpoints
14 and it gives interesting insights into the unmet
15 need in this population.

16 About 10 to 20 percent of patients at
17 baseline had abnormal liver-function tests. I
18 think it kind of underscores the point that Dr.
19 Lebwohl has just been making about the potential
20 for the current agents and where the scope of new
21 agents is to help patients like that. 10 percent
22 of patients had a hypertension at baseline and they
23 would be concerned about cyclosporine.

24 DR. DRAKE: What I would like to do now is
25 two things. First of all, I want to thank the FDA

1 and sponsor for wonderful presentations. I have no
2 doubt that the sponsor will hang around for this
3 afternoon for the discussion. That is sort of a
4 given.

5 But I would also hope that Dr. Lebwohl and
6 Dr. Krueger, your comments and your expertise have
7 been most appreciated and I hope you will be
8 available to the committee this afternoon if we
9 have specific questions. We would very much
10 appreciate it.

11 Let's aim for--I this is a short lunch.
12 I'm sorry. But still we need to try to aim for
13 1:30 because of the public comment. We are in
14 recess until 1:30.

15 [Whereupon, at 1 o'clock p.m., the
16 proceedings were recessed to be resumed at 1:30
17 p.m.]

A F T E R N O O N P R O C E E D I N G S

[1:40 p.m.]

1
2
3 DR. DRAKE: With respect to this
4 afternoon, we have a very ambitious agenda to say
5 the least. I must compliment the FDA. These
6 questions are terrific but there are a lot of them.
7 The only critique I can make is this should have
8 been a day-and-a-half meeting, I swear, because
9 this biologic is a new one for dermatology.

10 We are asking lots of questions and the
11 committee is involved. It is fun to see this kind
12 of intellectual dialogue with everybody just trying
13 to do the right thing here. So I am tickled.

14 I had a question or two that I wanted to
15 ask. This is going to be directed towards the
16 sponsors. I know it is all time-and-done, for the
17 sponsor to be done, but I saved my question. Dr.
18 Marzella had a slide that was on animal toxicity.
19 I was interested because it was kind of before all
20 the data was in.

21 What I was quite interested in is could
22 the FDA or the sponsor--and, by the way, I gave
23 both the FDA and the sponsor notice ahead of time
24 that I was going to ask this question so everybody
25 could kind of have their act together here, but I

1 want to know what the recent status of the animal
2 studies are. I want an update because I think one
3 of the most serious things that this committee will
4 have to consider is the safety issue.

5 That is clearly foremost on everybody's
6 mind and I want to know if there is an update, any
7 more recent information, on studies with respect to
8 animals and primates. Who has the information on
9 that because there is always last-minute
10 information but it doesn't make it in our book.

11 DR. VAISHNAW: I will invite my colleague
12 from Biogen to comment on that.

13 DR. GREEN (BIOGEN): Good afternoon.

14 DR. DRAKE: You are?

15 DR. GREEN (BIOGEN): My name is James
16 Green and I am referred to as the chief
17 toxicologist at Biogen at times like this.

18 DR. DRAKE: Welcome.

19 DR. GREEN (BIOGEN): I am currently Vice
20 President of a group called Preclinical and
21 Clinical Development Sciences and I am intimately
22 involved in this study as well as well as worked
23 with the FDA on a number of these issues over the
24 past.

25 To update briefly, I think what I will do

1 is just give you a general sound bite of what the
2 overall profile of the safety program looks like
3 for alefacept in animals. You heard the incidence
4 of lymphoma, single incidence. That was one
5 incidence of B-cell lymphoma that was observed out
6 of 228 animals, primates that had been treated with
7 alefacept, one out of 228 animals that have been
8 treated with various courses of alefacept from
9 periods ranging from three months to one year.

10 With the exception of the lymphoma that
11 Dr. Marzella described and Dr. Green reported, the
12 profile in primates is one that is relatively
13 uneventful, no opportunistic infections for animals
14 treated at high doses for periods ranging from one
15 month to 52 weeks, for doses that are
16 pharmacologically active and superpharmacologically
17 active.

18 The hallmark tissue change that would have
19 been observed consistently in studies of one-month
20 duration up to 52 weeks would be a subtle decrease
21 in the T-cell-dependent regions of the spleen or
22 the lymph nodes. This is a truly expected effect.
23 It is one that we have seen consistently between
24 studies and, in fact, it is one that is very, very
25 subtle in nature.

1 One of the comments that I will make about
2 the 52-week study which is in contrast to some of
3 the shorter-term studies which went from one month
4 to three months is that 52 weeks of treatment is
5 high-dose intensity exposure, that is consecutive
6 weekly dosing.

7 It is very different than the clinical
8 regimen and the intent of that study is essentially
9 to identify possible alerts or possible flags. We
10 view, and I don't think we have any disagreement
11 with the agency on their interpretation, is that
12 the observation of this single lymphoma in heavily
13 treated long-term immunosuppressed animals is not
14 unexpected and, in fact, could be viewed relative
15 to other immunosuppressive agents and put in that
16 context.

17 DR. VAISHNAW: Just if I would close that
18 comment with some clinical commentary. As Dr.
19 Green just discussed, indeed cyclosporine-associated
20 lymphoma is also well-recognized in the
21 nonhuman primate starting at therapeutic regimens.
22 The prevalence of those in the nonhuman primate
23 setting is about 25 to 30 percent in the similar
24 species when parallel types of studies have been
25 conducted.

1 You have heard about the prevalence for
2 us. The clinical implications are clear to us.

3 [Slide.]

4 I can probably just close that last point
5 with this.

6 DR. DRAKE: I knew you would have a slide.
7 I just knew it.

8 DR. VAISHNAW: In the cynomolgus monkey
9 setting, if you look here on the far right, post-transplant
10 lymphoproliferative disorder which are
11 B-cell tumors occur at a prevalence of 25 to 30
12 percent in association with cyclosporine. So we
13 have a similar situation here that, with alefacept,
14 we have observed the one B-cell lymphoma. The
15 prevalence is nowhere near this, of course, but it
16 is a finding of note.

17 We are taking that data seriously. In the
18 clinical setting, we have observed no B-cell
19 lymphomas related to immunosuppression and we have
20 clearly made this a subject of long-term study and
21 we know we will have to study this in the post-approval
22 setting as appropriate.

23 DR. DRAKE: Dr. Seigel?

24 DR. SEIGEL: Just to be clear, then, you
25 said this is not unexpected in heavily treated

1 animals and you pointed that out. But you wouldn't
2 have expected this to occur spontaneously without
3 treatment, this sort of lymphoma; is that right?

4 DR. GREEN (BIOGEN): I think the
5 experience in nonhuman primates is that this is a
6 rare observation. These is relatively healthy
7 animals and, in fact, the conditions that have been
8 described long-term, high-dose, heavy pretreatment
9 are associated essentially with this kind of
10 observation that has been viewed in other contexts.

11 I think the important point with that
12 cyclosporine is that cyclosporine dose is the
13 therapeutic dose. In fact, that data was reported
14 several years ago at an advisory committee meeting,
15 a subcommittee of the xenotransplantation group
16 that was held with CBER.

17 DR. DRAKE: I saw Dr. Green step up to the
18 table from the FDA. I would like your comment on
19 my same question, please.

20 DR. GREEN (FDA): The most recent report
21 we have had from the company was last week,
22 approximately. At that time, they reported to us
23 the end-line portion of the 52-week weekly dosing
24 study in cynomolgus monkeys. In the original form
25 of this study, which was a nine-month study, there

1 was the incidence of the lymphoma that was observed
2 and then that was converted to a twelve-month study
3 which has just ended and now a one-year observation
4 period has followed for the surviving monkeys.

5 But I think of the findings which was
6 somewhat surprising, at least to me, was a
7 treatment-related localized hyperplasia of B-cell
8 lineage which occurred in three of six low-dose
9 animals, 1 milligram per kilogram, and five of five
10 of the high-dose animals which was the 20 milligram
11 per kilogram.

12 The importance of this finding is that it
13 is unclear as to what its origin is. It might
14 reflect a reactive or adaptive response but it
15 cannot be distinguished even by the committee we
16 have had from reviewing pathologist from those
17 cases which might represent an immune-suppressed
18 related hyperproliferative response.

19 So you have basically the situation of T-cell
20 suppression against a background of B-cell
21 proliferation in which there is, in the animal who
22 had the B-cell lymphoma, was also noted to have an
23 Epstein-Barr-like virus infection which is common
24 among these animals.

25 So the one-year observation period will be

1 an important aspect of determining the safety
2 profile of this particular biologic.

3 DR. DRAKE: This is very important for
4 those of you who might have wandered in late. I
5 apologize. We should have box lunches for the
6 committee members prepared and we will try to do
7 that in the future.

8 But I asked the question, for those of you
9 who walked in late, what was the most--I was
10 concerned about one of Dr. Marzella's comments
11 about toxicity in animals. I know so many of you
12 have been skirting around that issue and so I asked
13 what the most recent update was because there is
14 always stuff that they have that doesn't make it
15 into our briefing book.

16 You have just heard the company and the
17 FDA's perspective on it. So, if I understand this
18 right, there has just been one case of lymphoma but
19 there is also this B-cell proliferation that you
20 are seeing, or hyperplasia, rather, that you are
21 seeing in this group.

22 We are not quite certain what that means.
23 It could be a precursor or it could be. Dr. Green
24 from the FDA, would you clarify that just a little
25 bit more for me?

1 DR. GREEN (FDA): I think you are exactly
2 right. It is not known. I think it was surprising
3 that there was a hyperproliferative research. The
4 consequences of that hyperproliferative response
5 are basically unknown. They could possibly be the
6 harbinger of something adverse or they could be a
7 normal response which, over the course, the
8 recovery period, will diminish and not present any
9 issues.

10 But, at this point, that is an unresolved
11 point.

12 DR. GREEN (BIOGEN): I think the other
13 perspective that I could add to what Dr. Green has
14 added, again, viewing the B-cell hyperplastic
15 responses within the context of the single
16 incidence of lymphoma. We have had these
17 observations extensively peer-reviewed by
18 veterinary pathologists and human medical
19 pathologists. The conclusion that they reach is
20 they say, well, this is not an unusual kind of
21 hyperplastic finding that we see in heavily
22 immunosuppressed patients, patients that would be
23 in the transplant setting.

24 In fact, those animals that would have
25 been in the transplant dataset that Dr. Vaishnav

1 showed, if looked at histologically, it would not
2 be unusual to see those similar kinds of changes.
3 They are categorized and recognized as uniformly
4 being reversible, nonneoplastic and it is not with
5 any probability that they progressed to anything
6 more serious when treatment is stopped.

7 We have other nonhuman primate data in the
8 registration submission that hasn't been discussed
9 here. But these studies have incorporated long-term
10 recovery periods and, as part of our peer-review process, we
11 have gone back and looked--these
12 are very, very subtle changes. It is only with
13 hindsight and foreknowledge of the single incidence
14 of lymphoma that these tissues have been looked at
15 very, very carefully.

16 What we have found is that we had seen
17 focal evidence in previously conducted studies of
18 the same kinds of findings, but when these animals
19 essentially were put on long-term recovery periods,
20 upwards of seven months, they completely reverse.
21 So that pattern is consistent with what I think the
22 human experience has been in patients that have
23 been heavily immunosuppressed.

24 DR. DRAKE: Dr. Green?

25 DR. GREEN (FDA): Just to provide a little

1 bit more information, as best I recall, there were
2 two longer repeat-dose studies in nonhuman
3 primates. One was a seven-month baboon study and
4 the other one was a 44-week cynomolgus monkey. The
5 study that was recently reported to us in unique in
6 the length of time that the animals were dosed.

7 As I recall, the 44-week cyno study didn't
8 have similar findings. So it may be that some
9 place between 44 weeks and 52 weeks, where just
10 running this study again produced these results. I
11 would also point out that, although there can be
12 honest disagreements about how to evaluate this
13 material, the lower dose, the 1 milligram per
14 kilogram dose is, in our opinion, clinically
15 relevant.

16 DR. DRAKE: But you said three out of
17 five.

18 DR. GREEN (FDA): Yes; with the low dose

19 DR. DRAKE: At the low dose, and five out
20 of five of the higher dose.

21 DR. GREEN (FDA): Yes. It is clearly a
22 pharmacologically active dose.

23 DR. VAISHNAW: I would agree with Dr.
24 Green that there are no findings that we have here
25 that are not of clinical relevance in terms of

1 trying to understand their implications for us in
2 the clinic. What we would say is that there is an
3 opportunity here to identify a subset of events
4 that we should focus on in the clinical setting.
5 In dosing 1500 individuals at the clinical regimen,
6 which contrasts very significantly with the regimen
7 that has been explored here in this nonhuman
8 primate setting, both in terms of dose, in terms of
9 duration and in terms of the intensity of exposure,
10 that we have not had any immunosuppression-related
11 lymphomas or lymph adenopathy in the human setting.

12 But we cannot disagree and acknowledge
13 that this is data of clinical relevance and
14 something that has to be the subject of studies as
15 the database expands in the postapproval setting.
16 We propose a registry type approach to understand
17 the incidence, if any, of immunosuppression-related
18 events like that.

19 DR. DRAKE: Thank you very much. I am
20 going to move to the public comment.

21 Open Public Hearing

22 I am very delighted to see public comment.
23 Sometimes, we don't have it at these meetings and
24 so it is delightful.

25 Gail Zimmerman from the National Psoriasis

1 Foundation. Welcome, Gail. We are delighted to
2 have you here.

3 MS. ZIMMERMAN: Thank you for that
4 introduction, Lynn, and I am glad to be here in
5 behalf of the National Psoriasis Foundation. I am
6 President and CEO. The Foundation was founded in
7 1968 by patients and physicians interested in
8 helping people with psoriasis and psoriatic
9 arthritis.

10 We spend our time providing information to
11 the public on psoriasis and also serve as an
12 advocate, we hope, effectively on behalf of
13 patients.

14 Our funding comes principally from
15 patients and their families. 70 percent of our
16 budget is from the public. 20 percent comes from
17 the pharmaceutical and biotech industry. 10
18 percent of our budget, of that money, goes to our
19 operating budget and the other 10 percent goes to
20 special projects, principally medical education for
21 physicians.

22 I am here today on behalf of the
23 foundation to communicate our support for the
24 approval of, if I may say, Amevive. The other word
25 I stumble over sometimes, alefacept. We support

1 that approval because we believe very strongly that
2 there is a need for more treatments. There are too
3 few treatments out there for people with moderate
4 to severe psoriasis.

5 I wanted to communicate the reasons we
6 believe that and also I have brought three members
7 of the Foundation who have psoriasis to let them
8 share briefly their story with you on coping with
9 the disease.

10 In the twenty years I have been at the
11 Foundation, I have discovered it is difficult for
12 many people to quickly appreciate the impact of
13 this disease. It is physical but it has a
14 tremendous emotional component that is often hard
15 to grasp if you are not intimately involved in
16 treating it or in working with patients.

17 I wanted to tell you briefly about a
18 survey we did this last couple of months. We did a
19 national survey funded by Biogen and Immunex-Wyeth-Ayerst.
20 We went to them. We saw an opportunity to
21 obtain funding to do a national survey, a public
22 survey, to measure the incidence of psoriasis and
23 psoriatic arthritis and to establish some
24 benchmarks about treatment. We were trying to find
25 out is it only our members that are in need of more

1 treatments or is everyone feeling the same way; is
2 it a representative population.

3 So we conducted this study and we finished
4 it in January. We defined moderate to severe
5 psoriasis as anything over 3 percent BSA. Based on
6 that, we concluded or estimated there are 1.5
7 million moderate to severe psoriasis patients in
8 the country.

9 In surveying them, in taking a small
10 random sample of that group, 78 percent said they
11 were not currently on any systemic therapy
12 primarily due to side effects of lack of efficacy.
13 That is a big number. Frankly, that reflects what
14 our membership has told us in our small member
15 surveys. There is a great reliance on topical
16 steroids, still.

17 So we feel very strongly that we want to
18 encourage new treatments. We feel that Amevive
19 offers a potential safety profile that makes it a
20 tool, a desirable tool, to add to the physician's
21 treatment kit. We think there are many patients
22 out there that would like this therapy because of
23 that potential safety profile and its ease of
24 administration.

25 So, with that, I want to just conclude to

1 say that I brought three members. These members,
2 two of whom have used Amevive, we have asked them
3 here because we wanted to hear--this is their story
4 to tell you how they felt after this treatment.
5 The third is a member who is not on treatment
6 currently, or has just started treatment, and who
7 has been on every treatment out there for psoriasis
8 just to give you a brief overview of how it feels
9 to make choices today about treatment and to live
10 with the disease.

11 Thank you.

12 DR. DRAKE: Thank you, Gail.

13 I guess the first one is Ms. Diane Lewis.
14 There is nothing like hearing from patients who
15 actually have to deal with this disease to
16 understand how important it is that we have good
17 therapies for them. You are really a hero to come
18 tell us about your experience, sharing your life
19 with us and we thank you.

20 MS. LEWIS: Thank you very much. Good
21 afternoon. First, I would like to say that myself
22 and the next two speakers are lay people. This is
23 our personal testimony and we are nervous and I ask
24 you please turn off your cell phones because that
25 ring could really throw us off. So, person-to-person,

1 please turn them off. Thank you.

2 My name is Diane Lewis. My age of onset
3 was nine after a strep-throat infection. I have
4 had this disease for twenty-four years. My family
5 has been members of the National Psoriasis
6 Foundation since 1986. I am currently in treatment
7 at the Psoriasis Daycare Center at the University
8 of California, San Francisco, under Dr. Ku. I am
9 using a combination of bath PUVA and topical
10 steroids.

11 My list of treatments include natural
12 sunlight, LCD 20 percent, topical steroids,
13 Dovonex, anthralin, gacrimin outpatient, which is a
14 combination of UVB and topical tars, systemic
15 steroids, Accutane, methotrexate three times. I
16 have had a liver biopsy and climatotherapy at the
17 Dead Sea three times.

18 That is just about everything that you can
19 possibly name. I have not been on cyclosporine.
20 For the last twelve years, I have had a total time
21 of either totally clear of less than 15 percent for
22 only four months. That is not very much. I am
23 generally totally covered. The highest I have ever
24 been is 95 percent.

25 The time factor of treatments is

1 extensive. It is hard to balance friendships,
2 career and a life with having to go to a
3 dermatologist or a day-treatment center all the
4 time. I have lost jobs over the fact that I had to
5 go into gracrimin. They would not hold my job for
6 me.

7 It has been also difficult for my
8 education as stress is a factor and finals is
9 always difficult and I have actually had professors
10 and universities say to me, "But it is just a
11 little skin thing." When I can't move and I can't
12 walk, it is not just a little skin thing.

13 In the last twenty-four years, I have
14 dealt with the shame that comes with psoriasis, of
15 wanting to cover yourself, of feeling like you have
16 no control over your body. It is very difficult.
17 The bonus of that is yesterday, when I was riding
18 the local metro, nobody would sit next to me so I
19 got to sit all by myself and I wasn't crowded. You
20 always have to find the silver lining.

21 There is intense isolation with this
22 disease. It is very difficult to communicate what
23 it feels like to constantly be in pain, itching,
24 not sleeping at night, waking up stuck to your
25 sheets because you are bloody, having blood stains

1 on your clothing and constantly having to dust
2 yourself.

3 There is also a fear of rejection. This
4 has affected my intimate relationships. It is very
5 difficult for somebody you are involved with for
6 you to say, "I'm sorry, but I don't want to be
7 touched right now and, not only that, I don't want
8 to be touched for the next three months." It
9 destroys intimacy.

10 It is also hard in friendships because you
11 don't want to burden your family and friends with
12 constant complaining but sometimes it is how we
13 feel. Growing up with psoriasis, it has been
14 difficult, as I become an individuated person, to
15 create an identity that is separate from psoriasis.
16 As such, in my early twenties, I went into a severe
17 depression for five years. For three of those
18 years, I was afraid to leave my home. I would
19 leave my house once a week to do my grocery
20 shopping and to see a therapist.

21 I was a total victim to this disease and I
22 have slowly climbed out of it to the point where,
23 in 1998, I was able to backpack by myself around
24 the world.

25 There is also intense desperation

1 associated with this disease, desperation to find a
2 treatment that works, desperation to find a doctor
3 who can deal with it. Not many dermatologists can
4 deal with the severity of my disease as they don't
5 have the instruments. There are actually
6 dermatologists who don't have phototherapy in their
7 offices and they will put you right onto
8 methotrexate or they will just keep giving you
9 topical steroids because they are not comfortable
10 giving you systemics.

11 It is very difficult finding a
12 dermatologist who can deal with this and I am very
13 lucky that I live in San Francisco and that I have
14 the Psoriasis Daycare Center where they are able to
15 give me a variety of options. Nonetheless, I have
16 to accommodate this disease. I have had to find a
17 profession that will allow me to have total
18 flexibility where I can take off three months at a
19 time to deal with my disease and be able to not
20 work 9:00 to 5:00 as, in the mornings, I have to
21 take two-and-a-half hours to go and have my bath
22 treatments.

23 I live three blocks from the Psoriasis
24 Daycare Center so that it is easy for me to go in
25 the morning and get my treatments and not blow it

1 off.

2 It is also hard to find piece of mind. I
3 want to tell you that, at one point, when I was
4 depressed, the level of desperation and my desire
5 to have relief would be that I would actually slice
6 some of my plaques off with an exacto knife for
7 that 10 seconds of relief so that the tightness
8 wasn't there, so that the itching wasn't there, and
9 it was the only way I could get it to go away
10 knowing full well that, within 10 seconds, intense
11 bleeding would start and I am sure immediate
12 keratinization. That is desperation.

13 There are not a lot of treatments out
14 there for severe psoriasis. I am a young woman. I
15 want to keep my liver and I want to keep my
16 kidneys. So I ask you to really consider this
17 treatment. I am very honored to represent all the
18 patients with severe psoriasis here in the United
19 States.

20 Thank you very much.

21 [Applause.]

22 DR. DRAKE: Thank you very much, Ms.
23 Lewis. Bless you for coming forward. It is very
24 helpful.

25 Is it Ms. Maryellen Crawford is next?

1 MS. CRAWFORD: I am here today. I came
2 with the National Psoriasis Foundation from
3 Portland. I am Maryellen Crawford. I am a
4 psoriasis sufferer. At the age of thirty-three, I
5 was in a car accident and my elbows became very
6 inflamed. The doctor said, oh, when you go home,
7 they will clear up. They didn't and I was
8 diagnosed with psoriasis.

9 Over the years, I have had as much as 75
10 percent. Now I am down to 1 percent, which is a
11 joy. Living with the consequences of the lesions
12 is difficult, both emotionally and practically.
13 People staring at me, moving on buses and in
14 movies, in plays, so that they don't have to
15 possibly touch or come in contact.

16 Not swimming with my children in the local
17 pool. I have never been told exactly that I can't
18 go in, but you know they would rather I didn't. In
19 the neighborhood, the children would ask my kids,
20 "What is the matter with your mother? Has she been
21 burned," or "Is she contagious?" and then maybe not
22 coming to the house to play. Or, at school
23 functions, they would ask me to volunteer. With
24 the kids I knew once they would get a look at the
25 legs or the arms that they would shy away, so I

1 didn't do it. I stayed home.

2 My husband also had to live through this.
3 He lived through the bleeding, the itching at
4 night. When I was near tears, he would comfort me.
5 I wished, lots of times, that it would just go
6 away.

7 Only wearing the long sleeves, summer and
8 winter, not only for yourself the embarrassment,
9 but the people around you would become very aware
10 of how they felt and you didn't want them to feel
11 uneasy. So, lots of times, you would stay home.
12 You wouldn't go where you wanted to or with your
13 children.

14 The bedsheets and the clothing would
15 always be stained either with the blood or with tar
16 treatments that you were on. The skin would become
17 very, very tight and then crack and bleed and it
18 made sleeping almost an impossibility. The
19 scarring that you will live with the rest of your
20 life.

21 Seeking medical help often was a
22 nightmare. You would go from doctor to doctor
23 getting tar treatments, different ones maybe, but
24 the results were always the same. They didn't
25 help.

1 I gave up going to the physicians because
2 I was discouraged and just medicated myself with
3 what I had learned through the years. Then, one
4 day, I read a little article and it said that there
5 was going to be a study and it had very little side
6 effects. I jumped to the phone. I couldn't wait.
7 That is when I read about Amevive. I was so
8 excited that it had been tested in Europe with
9 success and that it had supposedly very little side
10 effect.

11 The drug Amevive, in the study that I was
12 on, was an incredible experience for me. The side
13 effects are minimal, just a little nausea after my
14 shot and usually I go home and rest and I am just
15 good as new. For the first time in all these
16 years, I feel whole. There are days when I get up
17 and I have forgotten that I have had psoriasis and
18 the memories of the anguish and the embarrassment.

19 I would seek out Amevive in a second, even
20 though it hasn't been approved. I was that
21 thrilled. That is why I am so honored today to
22 have been asked to talk about it. I just want to
23 shout it from the rooftops. Everyone I know with
24 psoriasis I have tried to tell them about it, that
25 there is hope, don't give up.

1 Even though I am considered to have mild
2 psoriasis, the hurt and the mental anguish has been
3 no less difficult than someone with severe
4 psoriasis. It is my hope that the committee would
5 approve Amevive very quickly.

6 Thank you for the honor of being here
7 today.

8 [Applause.]

9 DR. DRAKE: Thank you very much, Ms.
10 Crawford. We really appreciate you coming.

11 Mr. Morton, welcome.

12 MR. MORTON: Thanks for having me. I am
13 almost in tears. I have only had this disease for
14 about three years so I am really an infant in the
15 world of I guess wisdom, I should say. I really
16 don't know where to start. I had something all
17 written down so I guess I am just going to read it
18 for you guys.

19 Imagine slightly bumping your elbow on a
20 cupboard or a door and needing a band aid. Imagine
21 combing your hair and ripping out the chunk of your
22 scalp on accident. Imagine wanting to get a
23 haircut but being too embarrassed to go to the
24 barber. Let me ask you a question. Have you ever
25 been in an accident where you have broken a limb or

1 maybe had a bandage and had people ask you, "What
2 happened?" and, after while, maybe it gets a little
3 bit annoying. If you have had psoriasis, you have
4 experienced it and it is annoying.

5 I want to ask you also to picture yourself
6 as a young man or woman, mid-twenties, maybe early
7 twenties, and you have grown up so far normally,
8 maybe played sports, had girlfriends, had
9 boyfriends depending on your gender, I guess. Keep
10 in mind, that you are in your prime, the time when
11 you are supposed to be having fun and possibly
12 finding your soul mate.

13 You wake up with this lesion on you. It
14 is small at first and the next day, it is a little
15 bit bigger. Then, over time, maybe it multiples.
16 So you go to the doctor and he tells you try this
17 and that and writes you a few prescriptions and you
18 leave his office feeling absolutely no resolution.

19 A month or two goes by and you have been
20 using the treatments, topical probably. They are
21 not helping you. You go clothes shopping now no
22 longer for what it is in style or what looks good
23 on you but what will cover your hideous lesions.

24 Let's say once you were a happy person,
25 maybe even good-looking. The good-looking person

1 you once were had degraded. You once played in the
2 sun and now you just stay inside. Everything you
3 once took for granted, like taking a shower or a
4 walk or playing basketball with friends or maybe
5 even asking out a pretty girl all seems awkward and
6 uncomfortable.

7 Let's say you had good self-esteem which
8 you thought was unbreakable. It wasn't.
9 Unfortunately, that was me. I was on an
10 experimental drug which had no noticeable side
11 effects to me. It helped me be again the person I
12 once was and, from my understanding, I have been on
13 it for the last two years, it is not an absolute
14 cure. However, it is a step in the right
15 direction.

16 It is a little different from most or all
17 treatments. Like I said, I haven't been as
18 experienced as Ms. Lewis over there. But if you
19 live the way I have for the last few years, believe
20 me when I tell you that you would this drug also.

21 Thank you.

22 [Applause.]

23 DR. DRAKE: Thank you very much, Mr.
24 Morton. We really appreciate your sharing with us.

25 Ms. Zimmerman?

1 MS. ZIMMERMAN: Excuse me, Dr. Drake. I
2 just needed to clarify that our expenses for this
3 trip out here, the patients and myself and the
4 staff, were paid for by the Foundation.

5 DR. DRAKE: Thank you very much.

6 Dr. Menter? Welcome, Dr. Menter.

7 DR. MENTER: Dr. Drake, thank you. I
8 appreciate the opportunity to come to speak to you
9 today in this public forum portion. Basically, I
10 would like to address three points. Number one,
11 who am I. Number two, why am I here. And, number
12 three, why do I believe new therapy is needed for
13 the treatment of psoriasis.

14 From a personal point of view, why am I
15 here? I have, just from a conflict of interest
16 point of view--just as Gail said, I have paid my
17 own way here. I am a consultant for Biogen. I
18 have participated in clinical-research studies both
19 for Amevive as well as for almost all the
20 "biologic" drugs that are currently under
21 development.

22 Basically, I have two brothers with
23 psoriasis. I have lived with them for twenty-five
24 years. They all live with us in Dallas. I have
25 tended to their psoriasis and just like we have

1 very eloquently heard, I have gone through the
2 struggles that they have had dealing with
3 psoriasis.

4 I also had the fortunate experience of
5 chairing the National Gene Bank for Psoriasis these
6 last ten years under the auspices of the National
7 Psoriasis Foundation and was able to travel around
8 the country looking at families with psoriasis,
9 large families with psoriasis, fortunately one of
10 which was able to produce a gene for psoriasis for
11 our gene bank.

12 I was amazed, just like you have heard
13 today, how often fathers, grandfathers, kids,
14 cousins, nephews when we got these families
15 together, never knew that their loved ones has
16 psoriasis. It is a hidden disease. You can just
17 have to read John Updike's personal experiences in
18 his book on how a psoriasis patient has to suffer.

19 Basically, it is a hidden disease and I
20 think the time has come, just as we have heard
21 today, for this psoriasis disease to come out and
22 for people to recognize that this is as disease on
23 a par with other chronic inflammatory disease,
24 asthma, diabetes, arthritis, Crohn's disease,
25 diseases of the autoimmune system, of the immune

1 system, that have a similar long-term chronic
2 course.

3 So that is why I am here today. I also
4 treat a number of psoriasis patients and have done
5 for the last twenty-seven years in Dallas. We have
6 a large psoriasis treatment center, just like you
7 heard from Diane, similar to what Dr. Ku has in San
8 Francisco. Currently, we have, at last count last
9 week, 565 patients taking systemic therapy for
10 psoriasis, the three main therapies you have all
11 heard about earlier this morning.

12 So why am I here? What is the reason for
13 me to come here and try to have ten minutes of time
14 to speak to you about psoriasis. You have heard
15 the quality-of-life issues from the patients. You
16 have heard the presentations this morning about the
17 drug, the efficacy, the safety data.

18 Basically, I believe there is a
19 significant reason to have new drugs for psoriasis
20 for one main reason. We have good drugs currently.
21 The three systemic drugs currently, methotrexate,
22 cyclosporine, Soriatane and PUVA, the light
23 treatment, give us good results in I would say 60
24 to 70 percent of patients.

25 On the other hand, and I think this is

1 critical, we cannot look at psoriasis any more as
2 short-term-treatment disease. Patients currently
3 with all the treatments that we have, systemic
4 treatments, relapse within six to eight weeks when
5 getting off the drug.

6 We cannot keep patients long-term on some
7 of these drugs because of the side effects you have
8 heard about. So, from a quality-of-life point of
9 view, it is critical that we look for drugs that
10 will improve quality of life by improving
11 remissions, either on treatment if it is safe or
12 off treatment for longer periods than six to eight
13 weeks.

14 A psoriatic hates one thing. They had
15 being cleared and then allowed to relapse six to
16 eight weeks later. They will tell you this. We
17 need to look at psoriasis as a long-term, chronic
18 inflammatory disease that needs long-term control
19 like a diabetic takes an insulin shot every day,
20 when an arthritis patient has to stay on long-term
21 treatment. We need to find drugs that will allow
22 us to maintain a stable course for these psoriatic
23 patients out there.

24 From a perspective point of view, I have
25 lived through Soriatane coming to the market. I

1 use Soriatane. I have lived through methotrexate.
2 With methotrexate, we have a 30-year track record.
3 I think Mark Lebwohl may have mentioned that three
4 patients underwent liver transplantation for
5 methotrexate. These are patients at our
6 institution who have been overdosed with
7 methotrexate.

8 We have a huge big transplant population
9 at our institution in Dallas. Three out of the
10 first 200 patients transplanted were psoriasis
11 patients who had had too much methotrexate. So we
12 cannot treat with cyclosporine for longer than a
13 year, with PUVA for periods of time without skin-cancer
14 risk.

15 So why, to answer my third question, do I
16 believe we need a new treatment for psoriasis? I
17 have polled, out of the 500 patients we have plus,
18 between the three of us, and we do psoriasis
19 treatments on a daily basis and psoriasis clinics
20 on a daily basis, I have polled our patients, would
21 you prefer a weekly injection, a monthly injection,
22 recognizing there are other drugs coming down the
23 pipeline that may have different manners of
24 administration. This has been done. The British
25 have published a publication showing, as well, that

1 the vast majority of patients would prefer a weekly
2 or a monthly injection if this will keep them clear
3 for longer periods of time than is currently
4 available except for PUVA which does keep people
5 clear for longer periods of time.

6 The vast majority of patients will tell
7 you, give me a weekly injection. If it is safe,
8 and I recognize this is a major problem with a drug
9 that is new--not a major problem, but something
10 that we all have to consider--but having started
11 with cyclosporine in the 1980s where we didn't know
12 much about it, methotrexate in the '70's that we
13 didn't know much about, recognizing that those
14 drugs took a long time to be approved, they have
15 helped our patients but we need more.

16 We need more medicines available for our
17 patients currently today. Half the patients drop
18 out of treatment because of concerns about side
19 effects and almost a third of our dermatologists in
20 the country will not utilize systemic treatments
21 currently.

22 Therefore, in the last two minutes, why do
23 I believe we need a new treatment for psoriasis? I
24 have talked about the current drugs we have
25 available. They will continue to be utilized.

1 Dermatologists do a wonderful job in mixing and
2 matching medications probably as well as any other
3 specialty. I believe should this panel decide to
4 approve alefacept that dermatologists will find the
5 most expedient way to utilize this drug with safety
6 criteria that dermatologists being fairly
7 conservative people in the majority will recognize
8 and understand.

9 Drug holidays off treatment is important
10 to minimize side effects. I think I have already
11 mentioned that the three drugs we currently have
12 available we cannot get patients off these drugs
13 for longer than six to eight weeks without them
14 failing and sometimes failing fairly substantially.

15 So that is drugs with the safety profile
16 that we understand, affording long-term remissions,
17 are very critical. Too many patients have
18 withdrawn from treatment, as I have said. I do
19 believe that the problems that you have heard about
20 so eloquently from the patients and the NPF are
21 real and afford us the opportunity to take 6
22 million lives in the United States, improve the
23 quality of their lives and improve the treatment
24 that we currently have available.

25 I would urge the panel to take into

1 consideration all that has been said and consider
2 not only safety profiles, not only improvement, but
3 the tremendous need in the marketplace for patients
4 to have better treatment.

5 The final point I would like to make is
6 that psoriasis, as you have heard today, is a
7 disease of young people. The vast majority of
8 patients with psoriasis present before the age of
9 35 when body image is important. They are
10 developing their body image. Those of us who are
11 older recognize that our paunches are getting a
12 little bit bigger and our hair is getting thin, but
13 the bottom line is when a person is fifteen,
14 twenty, twenty-five and their body image has not
15 yet been established, looking at themselves in the
16 mirror every day and recognizing their psoriasis is
17 an important factor in their own self esteem.

18 Females have equal representation with
19 psoriasis. Currently, a twenty-five to thirty-year-old
20 female or a thirty-five-year-old female
21 contemplating pregnancy cannot take any of the
22 drugs we currently have available. So we need to
23 have drugs available that have a safety profile
24 that we can understand, we can follow, we can
25 watch, we can be conservative and we can improve

1 the quality of life for our patient population.

2 Thank you, Dr. Drake.

3 DR. DRAKE: Dr. Menter, thank you for a
4 very passionate and well-thought-out presentation.
5 We appreciate your taking time to come.

6 I also have to tell you that I want to
7 also thank Ms. Lewis for helping me make my
8 announcement about the cell phones because I forgot
9 again. So you helped me. So thank you very much.
10 There is more than one way to skin a fish, isn't
11 there. Thank you so much.

12 We do appreciate so much, Gail, you and
13 all your representatives coming. It takes time out
14 of people's days and lives but it is important for
15 people to put these things in perspective. The
16 committee has to weigh efficacy and safety, which I
17 think is our foremost issue, it is important to
18 hear from patients so we know why we are all here.

19 So thank you again.

20 Committee Discussion and Vote

21 DR. DRAKE: Now, here we go, group. We
22 are down to the real serious nitty gritty now. We
23 are now into just the committee deliberations.

24 The sponsor will be asked not to comment
25 unless called upon during this time period because--it is as

1 much a time issue as anything, but this
2 really is the committee's time to think about
3 things and discuss it.

4 As you can see, we have a lot of
5 questions. I have tried to have some time lines
6 that are rational about most of this. I would like
7 the committee to think about how much we have to
8 cover and keep your comments as abbreviated as
9 possible and pertinent. Maybe we can get through
10 this agenda.

11 I may change the order. I am going to
12 change the order just a little bit. I am going to
13 take the Chairman's prerogative. We are going to
14 take Roman numeral I first followed by IV because I
15 do not want us to miss the crux of the issue with
16 people, perhaps, having to leave or running out of
17 time. Frankly, each one of these questions could
18 take a day in and of themselves. They are
19 wonderful questions and they are wonderful
20 thoughtful propositions. So there was some real
21 thought that went into it.

22 Roman numeral I, I am not going to read
23 the whole thing but I would just like to highlight.
24 Let's start with Part A. It is about lymphocyte
25 reduction and risk of infection. Just to make a

1 few quick summary points, in Study 711,
2 approximately half the participants experienced at
3 least a single occurrence of the CD4 cell count
4 below the lower limit of normal at any time during
5 a treatment.

6 That was kind of a point. Then the next
7 point the has been made is that the total
8 experience of patients receiving more than two
9 cycles is limited. The third point--these are
10 safety concerns. You understand this doesn't rule
11 anything in or out. With every drug we have these
12 issues and so it is just kind of important to
13 highlight them and see if we think the risk-benefit
14 ratio is where it ought to be.

15 Third is a central issue, interestingly
16 enough. It is where the lymphocyte reductions
17 result in clinical sequelae. Serious infections
18 were reported in about 0.2 percent of placebo and
19 0.9 percent of active drug in the treated patients.
20 There didn't seem to be an apparent relationship
21 between lymphopenia and infections and there were
22 no opportunistic infections observed, which I think
23 is important.

24 Then I think, in the fourth paragraph, one
25 of the points I want to make is that normal

1 lymphocyte and CD4 cell counts were required before
2 the first treatment cycle and normal CD4 cell
3 counts were required for subsequent cycles. These
4 are kind of the major points upon which the agency
5 based their questions to us.

6 Have I given that an accurate summary?

7 Dr. Weiss, do you have anything to add to that?

8 DR. WEISS: No; that is fine. Thank you.

9 DR. DRAKE: Okay, good. Depending how
10 much the committee wants to get into, I think the
11 first thing--the only one of all these questions,
12 of all these Roman numerals, that we need to vote
13 on today, so you will know that, too, is No. IV.
14 Roman number IV is where we will have a vote.
15 Otherwise, these are questions, discussions and I
16 may ask for a sense of the committee, just a sense
17 of what you are thinking, to give the agency some
18 direction of how the committee is thinking, but
19 they are not votes.

20 So has the sponsor generated sufficient
21 data premarketing to characterize treatment-related
22 effects on lymphocyte reductions? What say you?
23 Listen to me. I have been listening to O'Reilly
24 too much using his same quote.

25 Dr. Raimer?

1 DR. RAIMER: I think we do need to follow
2 patients if the drug gets approved to watch whether
3 we have a registry or exactly how it is done, I
4 think the numbers of infections need to be
5 monitored.

6 But I am very encouraged by the fact that
7 we don't see opportunistic infections. These were
8 over a fairly large number of months so I think if
9 it were really going to be a very significant
10 problem that probably would have shown up in the
11 studies that have been done so I feel reasonably
12 comfortable and not totally comfortable. I think
13 it is definitely going to need to be monitored
14 because it definitely is a potential problem. But
15 I feel reasonable comfortable at this point in
16 time.

17 DR. DRAKE: Dr. Swerlick?

18 DR. SWERLICK: I have a question regarding
19 what level of safety we are talking about. We are
20 able to identify, or potentially identify,
21 significant infections in a patient population,
22 about 1,300 patients extending over a few years.
23 If we are looking for adverse events that are going
24 to occur 1 in 10,000 or 1 in 100,000 or more, how
25 many patients are we going to have to follow for

1 how long? Perhaps the people from the FDA can
2 address that issue.

3 DR. SEIGEL: Following patients for rare
4 events that have a significant background you could
5 follow forever and not determine if you don't have
6 a controlled population. If you are talking about
7 rare events that are very uncommon in the
8 population, certain specific types of tumors, liver
9 failure or whatever, those will stand out in a
10 postmarketing.

11 If you are talking about an increase in
12 the incidence such as these data might suggest of
13 something like cellulitis. That is certainly going
14 to happen to patients without the treatment, I
15 think the answer is, especially given that these
16 patients will be on and off this therapy and
17 several other therapies, that you will not know,
18 outside of controlled studies.

19 DR. DRAKE: Bob, you just hit on the crux
20 of the question, how do we know when safety is
21 enough safety. I don't think this committee ever
22 knows. Sometimes, you just have to keep tracking
23 and see what happens. But I think the important
24 thing is we don't turn something loose that we
25 think might cause imminent harm would be the way I

1 would approach it.

2 DR. SWERLICK: I would like to know the
3 standards so we don't set the standard in such a
4 way that it could never be approved.

5 DR. DRAKE: I see.

6 DR. SWERLICK: If we set a standard that
7 is so difficult--and I am trying to get a feel for
8 where the standard is.

9 DR. SEIGEL: The laws and regulations
10 speak to safe and effective and for biologics say
11 pure and potent. I can tell you that the long
12 tradition with the FDA and its advisory committees
13 is that safety is certainly considered in the
14 context of benefits. Many of the drugs that are
15 used to treat cancer wouldn't be considered safe if
16 used to treat a common cold or a simple headache.

17 So it is a judgmental risk-benefit but
18 there is not a lot of formal guidance I can give as
19 to what a standard is in that regard.

20 DR. DRAKE: Dr. Katz?

21 DR. KATZ: To go along with what Bob just
22 said, isn't it difficult for us to discuss this in
23 an isolated manner without integrating it with
24 efficacy. I know, Lynn, that we have to discuss
25 one thing at a time, but you are probably willing

1 to have certain risk if you are clearing up 90
2 percent of people. If you are clearing up 15
3 percent of people, maybe you are willing to accept
4 lesser risk even in a disorder such as this.

5 As Bob said, we need a little more
6 guidance before we make an agreement whether this
7 is acceptable or not, an acceptable risk for this
8 condition.

9 DR. SEIGEL: Excuse me, and let me clear
10 up and in answer to Dr. Swerlick's question because
11 I wasn't sure if you were asking what is the
12 standard for how safe is safe enough, or how much
13 data is data enough.

14 DR. SWERLICK: Both.

15 DR. SEIGEL: Because I answered the first
16 one, but there is a guidance for how much data and
17 it was alluded to in the sponsor's presentation.
18 It is one developed in the international
19 harmonization process which speaks about drugs for
20 chronic disease and suggests that there should be--the
21 numbers that come to my mind are in the 1,000
22 to 1,5000 range of exposures, 300 to 600 at least
23 for six months of therapy, 100 for a year of
24 therapy.

25 But that guidance is also full of provisos

1 where certain signals arise. Where there are
2 concerns about serious rare events, you may need
3 more or whatever. So it is to be taken in the
4 context of the science. But that is the guidance
5 given to provide an approach to identifying rare
6 events that may occur in chronic therapy that are
7 not anticipated.

8 There has been some discussion since those
9 went into effect some probably seven or eight years
10 ago, and given some the concerns about adverse
11 events being discovered with drugs after their
12 approval as to whether those guidances are
13 adequate. For many drugs, we have larger numbers
14 than that.

15 DR. SWERLICK: Basically, the first
16 question points to use of surrogate markers to try
17 to predict whether or not something untoward will
18 happen in the low-frequency event. The difficulty
19 with that is that we really don't know--even if we
20 see drops in lymphocyte counts, how do we interpret
21 all that?

22 I guess the crux of my question is that it
23 is not really if something untoward will ultimately
24 happen in one patient who is receiving this drug.
25 If you give it to enough people, something bad is

1 going to happen whether it is related or unrelated.
2 Ultimately, what is the frequency that we will find
3 acceptable? Will that be 1 in 10,000, 1 in 100, 1
4 in 1,000? That is where I am uncomfortable
5 because, ultimately, that is where we are called
6 upon. And I don't know what the standard is.

7 DR. SEIGEL: Right. That is why I was
8 answering the first part. That is determined in
9 the context of anticipated benefits. There isn't a
10 standard. What is acceptable in one disease and
11 for a highly effective drug versus a less effective
12 drug or for a more serious versus a less serious
13 disease is going to vary and it is usually a matter
14 of common--by saying it is common sense, I don't
15 mean to say it is easy. It is not easy, but it is
16 not a hard number.

17 DR. DRAKE: Dr. Morison.

18 DR. MORISON: I think one of the issues is
19 how are you going to follow the patients, not just
20 how many patients have you got but how are you
21 going to follow them. The example immediately
22 comes to mind is the multicenter study on PUVA
23 therapy here in the United States. They followed
24 1,500 patients and, after about ten years, had
25 about a 98 percent follow-up rate on those 1,500

1 patients and found an increased risk of squamous-cell
2 carcinoma within two and a half years of the
3 approval of the treatment whereas, by comparison,
4 the European study has 3,500 patients that, after
5 about five years, was only following 1,500 of those
6 patients and it took ten years to find an increased
7 risk of squamous-cell carcinoma.

8 So, when you are talking about a registry
9 or following patients, I think it has to be clearly
10 defined what you mean by following patients. Are
11 you taking a population of patients and making sure
12 someone is keeping tabs on those patients and
13 looking at them at regular intervals because,
14 otherwise, you could have a lot of ex-PUVA patients
15 or UVB patients or sun patients out there with
16 squamous-cell carcinoma and you won't detect them
17 unless someone is very carefully following those
18 patients.

19 So the use of the word "registry," I think
20 should be defined rather than just drug registry.

21 DR. DRAKE: Let's discuss both parts of
22 the questions then, of the first question and the
23 second question, since we have kind of wandered
24 into that.

25 Dr. Epps?

1 DR. EPPS: I guess I would like see more
2 data although two cycles is more than one, I don't
3 necessarily think it is multiple. Certainly, if,
4 according to the testimony of people who have
5 experienced this medication, if they really like it
6 and they think it helps them, then certainly more
7 cycles could be performed for longer studies and
8 more data.

9 I think it would also be important to
10 interview the people who dropped out, find out why
11 they dropped out, who didn't have side effects,
12 necessarily. Is it because they couldn't wait? Is
13 it because they had an untoward effect or whatever.
14 But I think that is important to know, too,
15 collecting the pro and the con for any medication
16 because, although we hear the testimony of people
17 who benefit from it and, of course, we all want
18 medications for psoriasis and more options.

19 I am in a pediatric group and my options
20 are much more limited. I hear the stories of
21 people won't hold their hand and won't play with
22 them. So I am very aware of the other side
23 effects, but I am also very aware of the long-term
24 safety effects and we will get to the pediatric
25 questions later, but I think if there are adults

1 who are willing to move forward and have multiple
2 cycles, I think it would be important to collect
3 that data.

4 DR. DRAKE: I think we can mix some of the
5 kiddie stuff in with this right now. Everybody
6 commented to me about kiddie stuff during break, so
7 make your comments, if you will, just kind of right
8 along with that. If we look at children right now,
9 what do you think about this? Should pediatric
10 patients be included in this now? That is one of
11 the agency's questions.

12 Do we need specific studies in pediatric
13 patients? You are a pediatrician.

14 DR. WEISS: Just let me clarify, too, that
15 I think what is on the table, a question that we
16 will hopefully get to, is Roman numeral IV, an
17 indication for use in adults. The question, then,
18 would be for pediatrics because the sponsor is not
19 actually asking right now.

20 DR. DRAKE: I know that.

21 DR. WEISS: The question would be if and
22 when to study children.

23 DR. DRAKE: I have a suggestion, then. In
24 the interest of time and streamlining the process,
25 this is an important clarification. The sponsor is

1 not asking for children. The children is sort of a
2 second phase in the process. Let's focus our
3 discussion now on adults and get through the
4 primary adult stuff because this is not a request
5 by the sponsor to do children.

6 So we could put that off and address that
7 later, time permitting. Is that fair enough, Dr.
8 Weiss?

9 DR. WEISS: That is correct.

10 DR. DRAKE: Good. We solved that. Boy,
11 you saved me some time there. Good job, Dr. Weiss.

12 I want to ask a question. I want a sense
13 of the committee. That second part, given that the
14 sponsor is proposing the product be indicated for
15 multiple cycles, please comment on the adequacy of
16 the data to support multiple-cycle use. We have
17 had data on two cycles.

18 I want a sense of the committee. This is
19 not a vote. This is just a sense. Do you think
20 that this data is sufficient at this time for us to
21 go ahead and think about--do we need more data--I'm
22 with you a little bit. The efficacy almost comes
23 before the safety but do you think--let's for the
24 moment assume that the efficacy was okay and we are
25 thinking about recommending approval of this.

1 Do you think that we have enough data in
2 terms of cycles or should, perhaps, the number of
3 cycles given be limited initially until further
4 data is collected? What is your sense of the
5 committee? Dr. Abel, do you have a comment on
6 that?

7 DR. ABEL: My sense is that there should
8 be some limitation. If, indeed, the responses last
9 up to nine months, then, hopefully, the responders
10 are going to be the ones that will be treated. But
11 the ones who don't show response won't have
12 multiple cycles to try to push them to be
13 responders and maybe increase the possibility of
14 toxicity side effects.

15 There are some who aren't responders. I
16 have to maybe get a better feel for the percentage
17 but there are excellent responders, there are
18 moderate responders and there are some that clearly
19 may be nonresponders. But I would not like to see
20 those nonresponders being pushed with multiple
21 cycles to try to get them to be responders and just
22 treat them every twelve weeks, I mean after only a
23 twelve-week interim.

24 DR. DRAKE: Dr. Seigel and then Dr. Tan.
25 Dr. Tan, did you have a comment on the--

1 DR. TAN: Right on this.

2 DR. DRAKE: Okay; excuse me, Dr. Seigel,
3 he kind of had his hand up first.

4 DR. SEIGEL: That's fine.

5 DR. TAN: I think we discussed in the
6 morning that we don't have--there really isn't
7 enough data to differentiate the benefit of the
8 second course is due to the carryover effect of the
9 first course. So there wasn't enough data as we
10 discussed in the morning, I think.

11 DR. DRAKE: Dr. Seigel?

12 DR. SEIGEL: I just wanted to make sure
13 that the committee understood, as they discussed
14 this and particularly since you asked the sponsor
15 and they have been very compliant--they are
16 remaining quiet--to note that there is two-cycle
17 data in the controlled clinical trial. There is a
18 limited number of experience with patients on
19 third, fourth and fifth, I think 150-some odd on
20 third and another 120 who have had four or five
21 cycles.

22 They are subselect groups. They are not
23 studied on the same controlled protocol but there
24 is some experience available with additional
25 cycles. Then probably in comparing, like,

1 lymphopenia issues, if you look at the 80 people
2 who had four cycles or the forty-some odd who had
3 five cycles, they are a subgroup, people who might
4 have had certain types of either durable responses
5 or unfavorable responses in early cycles aren't
6 getting later cycles. It is a little hard to
7 understand, but there is, indeed, some data
8 available on longer cycles.

9 We are not comfortable, I think, with the
10 amount.

11 DR. DRAKE: You are not comfortable with
12 the amount? Okay. So the agency has got a level
13 of discomfort. Solves that.

14 Any comments on how to discuss the optimal
15 ways to generate additional data on infectious
16 risks? Lloyd. It is 2 under A under Roman numeral
17 I, please discuss optimal ways to generate
18 additional data on infectious risks.

19 DR. KING: I had suggested one of the
20 surrogate markers would be the C-reactive protein.
21 There is a whole body of information, such diverse
22 things as atherosclerosis, et cetera. The best
23 predictor is not the lipid profile but the C-reactive
24 protein as studied in the Framingham study
25 of nurses.

1 So it seems to me that, if you are going
2 to have cells, the question is whether they are
3 potent or not; that is, the product being released
4 could be an acute-phase reactant. So it seems to
5 me that one of the populations that keeps coming
6 up, diabetes, atherosclerosis, psoriasis and so
7 forth, I, for one, believe that psoriatics are much
8 higher risk as a subpopulation for atherosclerosis
9 and heart disease than one would imagine.

10 Part of that may be the C-reactive
11 proteins. So I would suggest that it is oftentimes
12 difficult to culture things. We all have a lot of
13 things--you can't culture strep from cellulitis.
14 It is like 10 percent. So I would suggest
15 measuring C-reactive protein and other parameters
16 would tell you whether or not the up or down pool
17 of T-cells did or did not produce the biological
18 assassins.

19 DR. DRAKE: Bob and then Dick.

20 DR. SWERLICK: I would just inject a word
21 of caution again using surrogate markers. The
22 difficulty is that, unless you study that within a
23 population of psoriatics who have not been treated
24 with this drug, you don't know how to interpret it
25 because the gold standard becomes whether you can

1 actually diagnose an infection or not.

2 Therefore, in order to generate sufficient
3 data to know whether or not the drug sets people up
4 for increased numbers of infections, you just have
5 to follow a lot of people for a long period of time
6 and compare them to controls that were followed for
7 a long period of time. Otherwise, I am not sure
8 how to interpret the surrogate data.

9 DR. KING: They already have data on
10 psoriatic arthritis. So one of the ways,
11 potentially, to get into the issue of children and
12 psoriasis is look at C-reactive protein. They are
13 already doing biopsies. I am not sure they are
14 biopsying joints of children. So maybe our
15 rheumatology colleague could help us more this kind
16 of phenomenon, but I agree, you can't always
17 diagnose infection. But if you have psoriatic
18 arthritis and you are already getting response and
19 you are measuring C-reactive protein as your
20 surrogate marker, I am talking about that specific
21 population.

22 DR. DRAKE: Dick?

23 DR. TAYLOR: I may have some confusion
24 with regard to the registry. I am not sure what
25 that is going to include. But it appears to me

1 that if the registry was inclusive enough, it could
2 tell you about lymphocyte counts after four, five
3 or ten cycles and it could tell you about the
4 malignancies and it could tell you about some of
5 these things that we are concerned about and maybe
6 make it easier for us to worry about the efficacy
7 and not so much about the toxicity.

8 So maybe somebody could explain what is
9 going to be in the registry or maybe it could be
10 expanded to include some of these things. Who is
11 going to control the registry? Who is going to do
12 it? Is it on all patients?

13 DR. DRAKE: With all due respect, I would
14 like to ask Dr. Seigel have you guys thought about
15 a registry? Where is the FDA on this?

16 DR. SEIGEL: I think the company has
17 proposed one. Whether or not we would be
18 discussing with them whether a registry is the
19 right way to proceed will depend, in significant
20 part, on the determination as to whether to approve
21 the drug now. I think some of the issues can be
22 addressed well in a registry. Other issues are
23 better addressed with randomization and controls.

24 So, obviously, we are looking for some
25 guidance and to make some guidance and to make some

1 decisions as to where to move forward. So I don't
2 know that we have had substantial input yet as to
3 registry design. We have not.

4 DR. WEISS: Oftentimes, registry
5 discussion comes when we are talking about
6 approving a product and then these would oftentimes
7 required postmarketing commitments and we would
8 discuss in much more detail at the time of an
9 approval about the size of the registry and the
10 amounts of data to be collected and the types of
11 periodic follow up to the agency that would be
12 coming in.

13 There are lots of details. There is a lot
14 that can be done right now. There hasn't been much
15 discussion in that regard.

16 DR. DRAKE: So we are not quite there yet.
17 Since you stood up, and I don't, by any means mean
18 to be rude, would country give us a quick sentence
19 from the sponsor? But I really want to keep this
20 committee-focused right now.

21 DR. VAISHNAW: The first half of the
22 sentence is that there are over 800 patients in
23 safety-extension studies and the current snapshot
24 of the database reveals several hundreds in the
25 fourth and fifth course is different from the

1 different you are reviewing right now. The safety
2 profile remains the same. If that is helpful to
3 that panel to know that.

4 Secondly, the registry study, we are in
5 active dialogue with experts and we feel there are
6 a number of good ways to move forward and
7 definitively answer the question is the risk of
8 something like squamous-cell carcinoma elevated
9 and, as a sentinel event, our hypothesis would be
10 that a discrete elevation in the rate of that would
11 be telling in terms of potential for other types of
12 risks, and this is a tractable problem.

13 DR. DRAKE: Thank you very much.
14 Seth?

15 DR. STEVENS: I would just like to
16 comment, with all due respect to Dr. King, about
17 the use of surrogates. I would agree that the way
18 to follow infection is clinically to look for
19 infection. I think that we associate things based
20 on our clinical experience in the past. I think an
21 example of that this morning was, for example,
22 chills which we normally associate with infection.

23 There were chills. There wasn't strong
24 evidence for infection. I think when using
25 biological-response modifiers and things like that,

1 some of our old associations don't carry over. I
2 think when the thing that you really are interested
3 in is something that we are trained to do, that
4 doesn't involve expense or risky tests, I think
5 that is the best way to monitor for those events.

6 DR. DRAKE: Other comments on this first
7 question, on this first section, on the safety, the
8 lymphocyte reduction. Lloyd?

9 DR. KING: I am still concerned about this
10 line that says who is going to follow up and
11 monitor the lymphocytes if you turn it loose? It
12 has been my experience there is a whole lot of off-label use
13 and, once you open the door, it is the
14 Harvard law that, under defined conditions, the
15 organism will do as it dadgum well pleases.

16 The idea of the registry actually is
17 intriguing to me because, having been involved in
18 the fuss about Accutane back and forth, it seems to
19 me that the study will get the results you plan for
20 but it is the unexpected things that, if you turn
21 it loose, people are going to be so--as you heard,
22 "I want something, even if it is going to be
23 dangerous for me."

24 Then, after the fact, after you have taken
25 three courses of, say, arsenic for asthma you find

1 out fifteen years later it causes cancer. So I
2 think the idea of registry really has to be
3 hammered out and actually who is going to follow
4 these people because if you just turn it loose and
5 say all you have got to do is take a skin injection
6 once a week, I can imagine that there will be whole
7 lots of nondermatologists and other people doing
8 this because it happened to me with Accutane. So I
9 am concerned about the registry.

10 DR. DRAKE: Dr. Weiss and Dr. Seigel, what
11 I am hearing, to kind of summarize what I have
12 heard, is that the sense of the panel is that there
13 probably needs to be a registry or some semblance
14 of a registry, perhaps some follow-up studies,
15 either before or after, preapproval or
16 postapproval, but clearly some follow-up studies.

17 Probably two cycles is very limited
18 information upon which to base long-term
19 conclusions. So, as you get into multiple cycles,
20 I think you are clearly going to need more
21 information about what happens to lymphocytes, what
22 happens to infections, what happens to the whole
23 malignancy notion.

24 I think there are all kinds of things that
25 would need to be followed out either before or

1 after approval. Is that a fair assessment from the
2 committee's perspective? Lloyd?

3 DR. KING: Yes.

4 DR. DRAKE: Does anybody have additions or
5 corrections to what I have just said? Dr. Weiss
6 and Dr. Seigel, is that adequate for you guys? Do
7 you need more information before I move on to the
8 next one?

9 DR. WEISS: I think that is adequate.
10 Thank you.

11 DR. DRAKE: Okay. You notice I didn't say
12 is that exceptional because I don't think we have
13 given you any exceptional help there. But I think
14 we are a little baffled ourselves exactly how to
15 proceed. So at least we can try to help you.

16 Let's talk about B, the changes in antigen
17 response. In Study 708, the number of DTH shifts
18 from plus to minus was higher in the treatment
19 group compared to placebo. So let's look at the
20 questions. Should all individuals be evaluated for
21 latent t.b. infection with a tuberculin skin test
22 prior to therapy? If latent infection is
23 uncovered, discuss how such individuals should be
24 managed with respect to use of this drug.

25 Comments on that question? Bob?

1 DR. SWERLICK: I don't think it should be
2 any different than using any other
3 immunosuppressive. Essentially, if you put
4 somebody on prednisone or you put somebody on
5 cyclosporine or Immuran, you are going to end up
6 managing it the same way. So at least they have to
7 be held to the same standard.

8 DR. DRAKE: I think that is a very simple
9 answer to this question, just make it the same
10 standard as other immunosuppressives. Any
11 additions or comments to that?

12 DR. SWERLICK: The only other question
13 about the PPD, it may be meaningless because these
14 patients may have been put on other
15 immunosuppressives which may modify it. So I think
16 it has to be sort of determined, an algorithm
17 depending on whether or not they have been on
18 immunosuppressives before.

19 DR. DRAKE: Other comments on that
20 question? Should subject monitoring include
21 periodic assessment of DTH?

22 DR. SWERLICK: My comment on that it is
23 such a miserable test. I am not sure to interpret
24 it so it would be hard for me to require them to do
25 that.

1 DR. DRAKE: I saw almost everybody at the
2 table shaking their head no. So you got an answer
3 there. Number 3, should the sponsor perform
4 studies to evaluate the ability to respond to
5 immunization such as pneumococcal or influenza
6 vaccines? Lloyd?

7 DR. KING: If you are going to address the
8 pediatric population or older people where you do
9 that for--where they COPD, et cetera, I think the
10 answer would be yes. I think you really have to
11 talk about if you are going to vaccinate against
12 Asian flu which may knock people out.

13 The same reason you knocked out the age
14 population not getting this drug early on, I think
15 you have to say that a recommendation would be
16 high-risk populations, children and older people
17 with disabilities, the answer would be yes.

18 DR. DRAKE: Help me, Lloyd. Are you
19 saying we should not give it to these patients or
20 do it with due consideration?

21 DR. KING: No, no. I'm sorry. I'm saying
22 if you are going to give it to these populations,
23 addressing the issue of children, then you are
24 going to talk about is the immunization going to be
25 effective.

1 DR. DRAKE: Let's talk about adults
2 because we are not on kids yet.

3 DR. KING: Adults in high-risk
4 populations, I think it should be periodically
5 tested to see if they are going to respond to the
6 flu shots or whatever in the same way you want to
7 know if they are going to resist Asian flu or
8 whatever. I think you are going to have to have
9 populations you recommend testing.

10 DR. DRAKE: Other comments? Elizabeth?

11 DR. ABEL: I think this might apply to all
12 of the potential side effects, change in antigen
13 response, malignancies. We have talked about who
14 are candidates for this treatment but I think we
15 also have to think what population groups may not
16 be candidates or what population groups there might
17 have to be special cautions written up in the
18 package inserts. These might be not just children
19 but--well, we are not talking about children but
20 previous treatment in regards to, say, PUVA or
21 cyclosporine, geriatric patients, et cetera.

22 DR. DRAKE: I think what I am hearing, the
23 sense of the committee is saying one needs to use
24 reasonable and rational precautions in high-risk
25 populations.

1 DR. KING: Yes.

2 DR. DRAKE: Is that a fair assessment?

3 Dr. Weiss? I see that is not enough; right.

4 DR. WEISS: Now, that is helpful. When we
5 get beyond the letter questions, if there is a
6 recommendation for market approval from this
7 committee, we have several questions about what
8 populations it should be indicated and studies in
9 other populations.

10 But one of the questions, and we have had
11 experience with these kinds of studies in other
12 therapies such as anti-TNF strategies where the
13 question specifically is if you have an adult who
14 is being treated on a chronic basis, and they are
15 coming in for their yearly flu shot, is it
16 important to have a study, and these studies can be
17 done in a controlled fashion, to determine whether
18 or not these individuals actually can mount or have
19 a blunted response to the standard vaccinations
20 that they might be getting while they are on
21 treatment.

22 DR. DRAKE: Thoughts on that question?

23 DR. SWERLICK: I think it might be helpful
24 to interject any previous experience you have with
25 the anti-TNF biologics if those answers are

1 appropriate to questions that are being posed here.
2 In particular, actually, I was thinking about the
3 previous question about repeated courses. How has
4 this been handled before and what was the
5 justification for those criteria?

6 I think that is really useful information.

7 DR. SWERLICK: I think for both anti-IL2
8 receptors, anti-CD25 products and anti TNF-receptor
9 products, we have rather routinely had, I think
10 almost invariably had, postmarketing commitments to
11 study the impact of those on vaccination of
12 recipients. I am not sure I could generalize what
13 the results of those studies are. There is some
14 controversy in some cases.

15 DR. DRAKE: Seth?

16 DR. STEVENS: I think that some of my
17 hesitancy is when we talk about moving the use of
18 this drug to different populations and the task
19 before us today. So in terms of not an increased
20 risk of influenza in the patients that were treated
21 with this drug to date, those sorts of things give
22 me a certain perspective. Then when you start
23 saying, well, what about elderly people who should
24 be getting these vaccines that were not
25 specifically studied, that is where I start to lose

1 my solid footing.

2 So I guess I just have that as a comment,
3 not to sort of derail things but I think that I
4 have agreed essentially with what we just heard
5 from the FDA and from the other committee members.

6 DR. DRAKE: Dr. Taylor

7 DR. TAYLOR: Do a small study. Figure out
8 what is going on.

9 DR. DRAKE: You want to do a small study,
10 figure out what is going on. Premarketing?
11 Postmarketing? Or either?

12 DR. TAYLOR: Either.

13 DR. DRAKE: So that gives you some
14 flexibility. I have a question about lymphocytes.
15 Somehow, I still haven't got it about the potential
16 nonrecovery. It seemed like there was a small
17 percentage of patients who never recovered. This
18 is one time I am going to ask Dr. Seigel, perhaps
19 you can help. If not, then I am going to go to the
20 company because I am still confused about how
21 important an issue is that and what must we do
22 about this recovery, and is it important.

23 DR. SEIGEL: I will defer, actually, to
24 Dr. Marzella but, except to briefly summarize, as I
25 understand the data, a lot has to do with how you

1 define recovery. If you talk about recovery to the
2 lower limit of normal as opposed to recovery to
3 baseline as has been pointed out, that will differ.

4 Over a period of nine months, there is
5 not, in aggregate, a recovery to the pretreatment
6 levels, whether those depressions are clinically
7 significant and what level of recovery is
8 important. Lou, do you want to add to that?

9 DR. DRAKE: Maybe we are knocking out the
10 bad guys that need to be knocked out anyway and
11 hopefully they will recover with more normal
12 lymphocytes. How is that for doing a short cut?

13 DR. SEIGEL: Not bad.

14 DR. DRAKE: You know what I am trying to
15 say.

16 DR. MARZELLA: I guess you are either an
17 optimist or a pessimist or you want to see the data
18 before you make a decision.

19 DR. DRAKE: Thank you, Dr. Marzella. That
20 is just terrific. We have really clarified this
21 issue.

22 DR. MARZELLA: I think that, obviously, it
23 is a profound biologic change. To be honest, the
24 clinical significance is not known, but that
25 doesn't mean that we don't need to follow these

1 patients and document when, in fact, a recovery
2 occurs.

3 There is similar experience in other
4 indications. For instance, we have seen other
5 products that cause lysis of T-lymphocytes that
6 cause profound depressions. It takes sometimes
7 years for these counts to recover. We still don't
8 have the full picture of what it means but I don't
9 think we can afford to ignore it. I think that we
10 need to understand what happens.

11 There is a suggestion, at least with two
12 cycles, that these decreases can be cumulative. It
13 will be important to clearly understand whether
14 they are or not. So my sense is that they need to
15 be followed.

16 DR. DRAKE: I would ask the committee--I
17 agree with you on that, actually. That is my
18 sense. The question is is this important enough to
19 be done preapproval or postapproval. Does the
20 committee have a sense on that? Is this something
21 that can be done after approval to follow it out or
22 does it need to be done ahead of time?

23 DR. ABEL: I think it depends on the
24 number of cycles these patients are going to be
25 receiving.

1 DR. DRAKE: No, no. That is not the
2 question. If we decide to approve it, they will be
3 receiving cycles.

4 DR. ABEL: Well, that's true.

5 DR. DRAKE: So that is not the issue.

6 DR. EPPS: I think it should be done
7 before. Most of these people have only had two and
8 they still haven't recovered. That is just my
9 feeling. I think we need more data.

10 DR. DRAKE: We just heard Dr. Marzella
11 say, and I am not being argumentative. I am trying
12 to be a little bit of a devil's advocate. We just
13 heard him say that sometimes it takes years for us
14 to figure this out. In terms of risk-benefit, do
15 we want to deprive--if we decide this is
16 efficacious, do we want to deprive patients of this
17 drug?

18 DR. EPPS: At what risk?

19 DR. DRAKE: At what risk? I don't know.
20 That is the question I am posing to you guys.

21 DR. MARZELLA: If I can make another
22 comment. Another option would be to reconsider the
23 thresholds that one allows patients to decrease to.
24 That could be also tailored to specific
25 populations, some that are more susceptible,

1 obviously. So there are different ways of
2 approaching this.

3 DR. DRAKE: That is actually a very good
4 suggestion is modify the level that you allow them
5 to decrease to so that it is not particularly
6 dangerous so if it continues to go on, you have got
7 a little give room in there until you collect
8 further data. Is that what you are trying to say?

9 DR. MARZELLA: That is one option, I
10 think.

11 DR. DRAKE: That is one option. Good
12 idea. Seth?

13 DR. STEVENS: I would just like to say
14 that that was part of where I was coming from with
15 my question this morning about the relationship of
16 these picking 250 versus 300 cells. I guess, just
17 to balance Dr. Epps, I would be inclined to say
18 that those studies could be done after rather than
19 before because--for a long list of reasons.

20 DR. DRAKE: A sense of the committee. How
21 many think it could be done before? This is just a
22 sense of the committee. I am just going to have
23 them hold their hand up so I can kind of get a
24 sense. I am not getting by name at all. I am not
25 voting. I just want a sense.

1 Who thinks they can be done afterwards?
2 Okay; we are getting somewhere, then. That's good.
3 I hope you guys recorded that the committee split
4 but it seemed to me the sense was that--I am going
5 to restate it. The sense is that there are some
6 members of the committee who feel it should be done
7 premarketing but there is a greater number of the
8 committee that thinks it could be done
9 postmarketing.

10 But I think you are getting a sense that
11 there is a high level of caution that should be
12 exercised in this arena and certainly very careful
13 follow up and perhaps periodic reviews, maybe even
14 back before this committee sometime in the future
15 or back before the FDA, certainly, within a
16 rational period of time because I think the risk is
17 nobody wants it to get away from us because we are
18 uncertain about what we are going to see with
19 repeated cycles.

20 Is that a fair expression? Is that a nice
21 summary of where the committee is? Dr. Epps, you
22 don't agree. Feel free to speak up.

23 DR. EPPS: I am just listening.

24 DR. DRAKE: Okay.

25 DR. SWERLICK: I have a question. Is

1 there any data that would suggest that the average
2 T-cell count, CD4 count, seen after the infusion
3 which is within the normal range confers a risk of
4 infection to any population?

5 DR. DRAKE: There is no evidence of that
6 that we have been presented.

7 DR. SEIGEL: That CD4 counts such as were
8 observed here confer risk of infection to other
9 populations in other settings?

10 DR. SWERLICK: Yes.

11 DR. DRAKE: That statement was made that
12 both the sponsor and the FDA were in agreement on
13 that during the presentations.

14 DR. STEVENS: I guess I would just raise
15 the issue that entity that was popular several
16 years back of idiopathic CD4 lymphocytopenia in
17 which there were opportunistic infections and
18 malignancies that were associated with low CD4
19 counts that persisted in the absence of HIV and so
20 on.

21 That would be the only other instance that
22 I could consider.

23 DR. SEIGEL: I think not all CD4
24 lymphocytopenia is the same. In most cases, you
25 are going to have functional disturbances.

1 Sometimes, you have clonal deletions. Sometimes
2 you have selective memory or naive, depending on
3 the drug and the disease. So I am not exactly sure
4 how to approach that question.

5 DR. DRAKE: The Chair has recognized Dr.
6 Krueger.

7 DR. KRUEGER: I would like to make two
8 very brief comments. The first is I have, in a
9 study of effects on memory cells, subsetted the
10 memory-cell effects into long-term memory which are
11 called central-memory cells and then other cells
12 that are called peripheral memory cells which are
13 the bad guys, if you will. They are the short-term
14 effectors that end up at the skin and produce
15 psoriasis.

16 There is a relatively small effect of this
17 drug on decreasing the number of the long-term
18 memory cells. Instead, the effect is mainly in
19 this short-term expanded population. That, to me,
20 gives some comfort in the idea that long-term
21 memory is not being abrogated. But my studies are
22 limited to a single course and don't address the
23 multiple-course issue.

24 Secondly, I want to say that there were
25 studies done in England with an antibody called the

1 CAMPATH antibody many years ago which was
2 profoundly T-cell-depleting and produced T-cell
3 counts that were regularly below 100.

4 There were, in that setting, some
5 immediate concerns with infection seen but there
6 has actually now been many, many years of follow up
7 of patients that have stayed regularly with T-cell
8 counts below 100. In that setting, while there is
9 some risk, it is clear that it is a very different
10 risk setting from the AIDS population where the T-cell risk
11 below, let's say, 250 or 200 cells is
12 quite high.

13 So I think the risk of immunosuppressive
14 for an individual T-cell count really depends on
15 the circumstance.

16 DR. BONVINI: Dr. Krueger, could you
17 please state--I haven't seen the result of this
18 study that you have referred to now. Is this
19 derived from in vitro experience, in vivo, and if
20 these were patients, how many patients are involved
21 in the calculation?

22 DR. KRUEGER: May I have the Chair's
23 permission to show a slide?

24 DR. DRAKE: Yes.

25 You notice how he just happened to have

1 that at his fingertips?

2 [Slide.]

3 DR. KRUEGER: This is a measure in twenty-one
4 patients that are treated with alefacept with
5 the intravenous administration at the standard
6 dose. So this is the effect on these two groups of
7 cells that are called central memory and infector
8 memory. The overall effect on memory CD4s is about
9 a 30 percent reduction. What you can see is that
10 this long-term memory group is affected much less
11 than this and the p-value for this difference is
12 incredibly--

13 DR. BONVINI: Based on CCR7?

14 DR. KRUEGER: Based on CCR7 and CD4 who
15 have RA negativity as well as a lineage marker. It
16 was a four-color flow experiment. There is a
17 fourth antigen in this. So these are actual in
18 vivo data for psoriasis patients treated with the
19 drug.

20 DR. BONVINI: Were these responders,
21 patients--

22 DR. KRUEGER: This is a mixed group. I
23 will tell you that the responding patients tend to
24 have more depression of this group of cells
25 compared to nonresponders but that, in the

1 nonresponders--I'm sorry; this differential is
2 extremely well preserved.

3 DR. DRAKE: Dr. Katz?

4 DR. KATZ: I have a sense in our
5 discussions on the last two points that there is
6 some anxiety about the safety. If that is the
7 case, why need this be rushed without gathering
8 more patients? We are talking about 1,000
9 patients. We are talking about multiple courses of
10 how many patients, 300 patients.

11 It is a definitely effective drug but I
12 don't see the urgency before they gather--if there
13 is a little uncertainty with many more patients,
14 then that would be more valid to take the risk.
15 But, otherwise, we are dealing with small numbers
16 and anxiety around the table. The question is
17 everybody is talking about labeling and follow up
18 and so forth. Don't you think that that should be
19 done before it is released?

20 DR. DRAKE: Dr. King?

21 DR. KING: I guess if you take it in
22 context, I tend to think biologics and chemicals
23 like methotrexate are two different things.
24 Insulin has been around a long time. It is a
25 biologic. Growth factors for the hematopoietic

1 disorders, and so forth, are biologics. So there
2 is a great deal more information than you would
3 think out there.

4 This is building on that, not starting de
5 novo. So when you think about this product, you
6 are really talking about there is not any known
7 effect on the liver or the kidney. So now you are
8 talking about what is the effect on the immune
9 system which is what it is targeting. It is not
10 going to target the central nervous system or the
11 liver or the kidney. What you are really talking
12 about is what is your long-term risk for an
13 infection or cancer or whatever.

14 I have the bias that, basically, skin
15 cancer starts for most people in childhood. So you
16 are not literally going to survey cancer effects
17 for a long time except in a registry-type study.

18 So if those of us who are diabetic waited
19 for a long time until we got total risk issues on
20 insulin, most of us would be dead. So I am
21 comfortable with a registry as long as we define
22 what we are measuring and I haven't heard anything
23 here to tell me that infection was up or cancer is
24 up. All we really had a potential bogeyman of what
25 it may or may not do.

1 DR. KATZ: There is a little, not
2 statistically significant data, but there is a
3 little direction on most cancers and infections.
4 This is really not analogous to hormone-replacement
5 therapy. You are interfering with immune response.
6 Hopefully, this is going to be completely safe and
7 it will afford the 10 to 25 percent of patients
8 over placebo with effective treatment, but I am
9 just saying that, perhaps, more patients should be
10 treated.

11 DR. KING: Actually, I beg to differ with
12 you because I don't think of any difference between
13 a cytokine and a hormone. The immune system
14 releases peptides and peptides hit receptors and
15 that is how hormones work, at least the peptide
16 hormones work.

17 DR. DRAKE: Seth?

18 DR. STEVENS: I think we are back to the
19 question that Dr. Swerlick asked to start us off
20 which is how safe is safe enough. I think if we
21 repeated all the studies and we doubled the length
22 that they were followed and doubled the number of
23 cycles, maybe the statistics would shake out and
24 maybe they wouldn't.

25 But I think we are looking at shades of

1 gray rather than eventually reaching black or
2 white.

3 DR. ADELMAN: Madame Chairman?

4 DR. DRAKE: Yes.

5 DR. ADELMAN: Would it be possible for me
6 to put up one slide that just might help focus on
7 this conversation?

8 DR. DRAKE: Yes.

9 DR. ADELMAN: We recognize the challenge
10 and the concern about how much data are necessary
11 to approve a fundamentally novel drug in an
12 indication that has significant need. As some have
13 said, how much data is enough? You never really
14 have enough. That is why, in the context of our
15 conversation, we have discussed our commitment to
16 going forward with a very structured organized
17 registry or trial after approval that we would
18 envision would collect thousands of patients and
19 carefully monitor their long-term outcome from
20 safety and focussing on some of the key issues that
21 have been raised today which are absolutely correct
22 and relevant for concern.

23 But what I want to do is just point out
24 that the process continues even today as we speak
25 because there are 800 patients who are in various

1 stages of retreatment. The serious adverse events
2 we hear about immediately when they occur. So I
3 think that this slide, as of May 20th, so this is
4 current--you can see that right now, up to Course
5 5, we actually have 116 patients currently
6 receiving their fifth course of therapy.

7 The number of serious adverse events is
8 listed here. You can see that there are serious
9 adverse events that occur at all courses, but we
10 haven't seen anything new or unusual that we
11 haven't discussed today, and the trend is not
12 toward increasing incidence of serious adverse
13 events.

14 So we feel that this process is ongoing.
15 The agency is being made aware of this information.
16 They will be made aware of the information up to
17 and through an approval date and we will probably
18 expand the size of this group that we are
19 following.

20 But this is the core group to address the
21 question that has been raised which is how safe is
22 multiple treatment. These patients are undergoing
23 multiple treatment and we are carefully monitoring
24 their lymphocyte counts, incidence of infection,
25 incidence of malignancy and any other untoward