

1 discussion which we may be on a different page with
2 Europe--the European agency, with respect to
3 parametric release, so this would help us, in a
4 sense, formulate our thoughts on, is parametric
5 release very different from the CQV or whatever
6 that concept is?

7 DR. LAYLOFF: I think the--and, certainly,
8 in the United States, it would be very different,
9 because in the parametric release, the product
10 itself that's being released has never had a
11 measurement made. So it's a really a leap of faith
12 based on your measurements on a surrogate that
13 allows you to go forward and this is not anywhere
14 near that.

15 DR. RUDD: Yeah, if I could just comment
16 on the European situation. It's fairly timely
17 because Ajaz referred earlier to the CGMP EMEA
18 guidance on parametric release, which appeared, I
19 think, during the end of last year.

20 There has been a small working party
21 commissioned by CPMP charged with the task of
22 providing more extended guidance. So it's industry
23 providing some input now to CPMP to maybe to close
24 the gap a little bit. And a number of us,
25 potentially from AstraZeneca, Pfizer and

1 GlaxoSmithKline, in the U.K., have recently
2 developed some guidance which has actually been
3 presented to CPMP today. I think it was
4 inappropriate to circulate that draft document to
5 this group before CPMP saw it, but I'm more than
6 happy to try and do that immediately afterwards.

7 It is narrowing the gap. It does reflect
8 very much the quality-by-design concept. The
9 parametric release term, which I think has been a
10 bit of an albatross for a number of years, because
11 it is historical and does mean a number of
12 different things to different people. The proposal
13 is that that's being replaced with the term
14 real-time release and the document very much
15 develops the quality-by-design concepts. And I
16 think it does--it does close the gap, as I said,
17 between the position I think this committee's at.

18 But it does also provide an extra piece of
19 information which I think could be very useful to
20 consider here and that is some proposals which
21 clarify the relationship that could exist between a
22 process-based measurement and the end product
23 quality attribute, that might be predicted by that
24 process measurement. So, to give an example, I
25 mean, despite what PQRI might tell us, I believe

1 intrinsically that there's a relationship between
2 powder-blend uniformity and tablet-content
3 uniformity. It just seems intuitively right to me.
4 So that's a nice one.

5 Similarly, you can make a relationship
6 between powder-blend assay and finished-product
7 assay. And the document attempts to derive other
8 relationships. So, you know, what combination of
9 measurements could you make which might be
10 predictive of dissolution testing, for example. I
11 think that's a very useful point and I think any
12 guidance that we eventually develop would be well
13 advised to try and address that same point. Maybe
14 not in the same way, but not to leave that point
15 untouched. I think the gap's closing, that's the
16 import thing.

17 DR. HUSSAIN: The historical sort of
18 baggage with the term parametric release, I think
19 I'm very pleased to hear that at least they're
20 moving away from that because parametric release, I
21 think, Tom, in your presentation--in the recent
22 meeting that we were together--in essence, creates
23 a scenario where I think confidence is not there.
24 So even when you have parametric release for
25 parentals, people just do the test anyway for the

1 fear of lethal concentrations and so forth.

2 So moving towards more science-based
3 measurements, I think, sort of alleviates some of
4 those concerns associated with parametric so.

5 DR. LAYLOFF: I think in our earlier
6 discussions, too, there's no intent to abandon all
7 testing and stability testing would be there and
8 things like that. It's a different ball game. I
9 think Questions 2c, I don't think we need to
10 address. Going on to Question 3: Does the
11 Subcommittee wish to refine or modify the working
12 definition of PAT proposed at its first meeting in
13 February? If so, how should this be modified?

14 DR. HUSSAIN: The definition that came out
15 of the--by the benefits working group, was,
16 essentially systems for analysis and control of
17 manufacturing processes based on timely measurement
18 during processing of critical quality parameters
19 and performance attributes of raw and in-process
20 materials and processes to ensure acceptable
21 end-product quality at the completion of the
22 process. That was the proposed definition by the
23 group and I think, keeping some of the thoughts in
24 mind what David sort of summarized them so that
25 different aspects of PAT in different arenas of

1 development and so forth. Would we want to stay
2 with some similar definition or sort of modify
3 this?

4 DR. KOCH: Yeah, I think this fits very
5 well. I think the emphasized word there in the
6 definition is going to beg for some dynamic, timely
7 definitions of critical. And I don't thin it needs
8 to be in the primary definition, but I think
9 there'll be a subset of what is critical at this
10 time, based on technology or performance.

11 DR. MARK: I think there's a word coming
12 in here which we first heard from our European
13 friend and I'm hearing it several times. And the
14 keyword here seems to be time. You can imagine a
15 whole range of possible technologies in use. Some
16 will give an answer in a second, some in a minute,
17 some in an hour, some in four hours or whatever.
18 And the question then becomes, well, what do we
19 mean when we say timely? What do we mean when we
20 say real-time? I think this is a question which
21 sooner or later is going to have to be addressed.

22 DR. SHABUSHNIG: But, just to comment
23 back--can't that be left in terms of the context of
24 the process that's being measured? In other words,
25 if you have a process that's a two-day process, an

1 hour measurement periodicity may be appropriate,
2 whereas, if you have a process that takes a minute,
3 you need something tighter. And I'm not certain
4 that we want to constrain ourselves in the
5 definition at this point. I think there has to be
6 appropriate science around what the appropriate
7 timeliness or measurement interval should be.

8 DR. MARK: That may well be--that it will
9 have to be, as Ajaz said, every new technology will
10 have to have its own SOPs, but I think sooner or
11 later that is going to have to be something that's
12 going to have to be part of the definition.

13 DR MORRIS: Just to Mel's point, if I can
14 for a second--oh, sorry, did I step--very briefly
15 to critical--the word critical here. It may not be
16 necessary, only in the sense that you may be
17 monitoring parameters that, independently, don't
18 constitute a critical component, but when taken in
19 conjunction with others, give a signature as, David
20 I think you had mentioned last time, said would be
21 the real metric.

22 DR. RUDD: Yeah, if I could just come back
23 to that point about the real time concept. And I
24 think John's comments are exactly right. It is,
25 obviously, process-dependent.

1 As an example, I think all we're really
2 talking about with this idea of real time is the
3 ability to--and this is very much in the
4 manufacturing environment--is the ability to make a
5 measurement and then do something about it, in
6 terms of corrective action, if that's what the
7 process needs. So it's a time frame whereby we're
8 not just making a measurement, it's a measurement
9 that we can react to. One example I got from the
10 food industry in the U.K. And this is particularly
11 important for continuous processing, a lot of their
12 analysis is very much off-line, still
13 laboratory-based, but with extremely rapid
14 turnaround of measurements so that they can
15 actually go back and correct the process or take a
16 time slice out of the production material, if the
17 percent was out of control, particularly. So, it's
18 just--just really that. It's about making a
19 measurement that you can then do something with,
20 you can react to--feedback corrective, action,
21 rather than just make a measurement and write it
22 down and never do anything with it.

23 MR. COOLEY: One comment, David, though
24 is, when you say it's a measurement that you react
25 to, you know, are we limiting the application of

1 PAT by saying that you have to react to it or you
2 have to control something with it because, as you
3 mentioned earlier, going back into the process into
4 development is where there may be great benefit of
5 PAT that the subcommittee's not really addressing.

6 And in that aspect, it may be just
7 monitoring what's going on and doing no control
8 whatsoever. So I would challenge that maybe we
9 need to take the word control out of the definition
10 and make it a timely measurement that lets you
11 understand your process.

12 DR. RUDDER: Yeah, I mean, I did preface
13 it by saying, in the manufacturing environment.
14 So, yeah, simply making the assumption there, that
15 if there is a need to make the measurement during
16 manufacturing. And don't forget, we might well do
17 enough in development to establish that we don't
18 actually need to measure anything on a routine
19 basis. But making the assumption that if we are
20 making a critical measurement, during
21 manufacturing, then, presumably, you want to do
22 something about it, if the data from that
23 measurement is not what you'd expect, hence the
24 reactive component.

25 But, yeah, you're right, I prefaced it by

1 saying in the manufacturing arena. And that's the
2 only scenario where I think you would need to use
3 the measurement in a reactive sense.

4 DR. MILLER: And that goes to what we have
5 discussed in the past, in the previous meetings. I
6 would like to add, for clarity of thought, I
7 believe, two sentences are better than one long
8 sentence. It aids in thinking and appreciating the
9 concepts. And let me, suggest a little refinement
10 to the point of the beginning of the first sentence
11 and the beginning of the second sentence. I would
12 like to see something in this order: Systems for
13 analyzing and controlling manufacturing and delete
14 of. This first sentence ends with the word
15 processes. The second sentence begins with
16 PATs--capital-P, capital-A, capital-T, small-s
17 assure acceptable end-product quality at the
18 completion of a pharmaceutical manufacturing
19 process. This two sentences to me, aid in clarity
20 and allow for, let's say bigger thinking. It
21 separates and allows for thinking. My small
22 suggestion, thank you.

23 DR. HUSSAIN: One sort of aspect, which
24 David raised was that in development we may find,
25 using all the technologies that some things need

1 not be measured. So the definition in the
2 development arena and the manufacturing arena could
3 be slightly different. But, essentially the
4 technology which I would also sort of ask you to
5 consider is, would design--statistical design of
6 experiments be part of PAT? And this was a
7 discussion we had at the first meeting, because
8 now, instead of doing a trial-and-error type
9 single-factor experiment, we developed a product
10 and we have very little information about
11 interactions and so forth.

12 But now if a company opts to do a
13 well-designed experiment, some companies do that
14 now--and would that be considered as PAT, because
15 one of the suggestions which I didn't put as a
16 question was to change the name to Process
17 Assessment Technology rather than Process
18 Analytical Technology. My personal feeling,
19 analytical is assessment so that--that goes to that
20 point in the sense--would something have to be
21 measured to be PAT? Is that question, so.

22 Dr. CIURCZAK: I had a thought about what
23 Rick was saying. We're moving to a conclusion here
24 in terms of controlling and looking at
25 every--eventually looking at every tablet, some

1 people would like. And we forgot some of the early
2 work that Ajaz brought in. Some of the people who
3 spoke of this taking a year or so, sometimes to
4 make a process because there's large gaps. And
5 should PAT encourage just substituting things,
6 like, sticking in a probe to measure moisture
7 rather than sending it out for Carl Fisher, et
8 cetera, et cetera. In other words, shorten the
9 process as it now stands. Give some feeling of
10 confidence to the process engineers that these
11 probes give us good information and work so that
12 they'll eventually buy into the tablet-by-tablet
13 down the line.

14 I think in terms of, if we waited for the
15 Mustang--Henry Ford had waited for the Mustang, we
16 would have been riding horses from the early 1900s
17 to 1965. If we want to encourage instrument
18 manufacturers to progress to the point where we
19 have the speed, accuracy, precision to read tablets
20 as they come off the press in milliseconds. If in
21 the meantime we allowed them to make a living
22 selling their instruments for such things as
23 putting a probe into a granulator or a blender or
24 things like this, you jump--you can't go from a
25 grandfather clock to a quartz watch in one week.

1 And I'm thinking that if we focus totally
2 on total control, are we now taking away from the
3 very large and very real economic benefits of
4 putting process, on-line instrumentation into play
5 where we now take samples up to the lab and cut
6 something from six months to six weeks. And
7 wouldn't that, indeed, give everybody involved,
8 including management the confidence to say, hey,
9 they were right there, they're probably right about
10 this PAT think now and let's control everything.

11 DR. BOEHLERT: I also would suggest that,
12 perhaps, we can clarify this definition by dividing
13 it up into two sentences. Right now, the way I
14 read it is the focus is on the process with the
15 dosage form and controlling and monitoring that.
16 And, in fact, if you haven't controlled and
17 understood the properties of the excipients and
18 active ingredient that you put into that process,
19 there's no amount of controlling and monitoring on
20 the dosage form process that's going to give you a
21 final product. It meets all requirements. And
22 somewhere we need to get that thought in there.

23 It now talks about performance attributes
24 of raw and in-process materials, but that's not
25 something you do during the processing of the

1 dosage form, that comes before, hopefully, you
2 don't want to--not always, but hopefully, you don't
3 want to start and find out you've got a problem
4 midway through the process.

5 So you might want--if you divide that into
6 two sentences, you might be able to get that
7 thought incorporated.

8 DR. MORRIS: Yeah, and just to follow up a
9 bit on your point. I think is that, certainly, the
10 intent is not to exclude individual
11 monitoring--monitoring of individual unit
12 operations or certainly not API or excipients. Is
13 that served by broadening this to not just--to be
14 not just inclusive of manufacturing processes but
15 to break it down more in the language to
16 ingredients, unit operations and processes? I
17 mean, it could be that simple.

18 DR. SHEK: I think, it's there. It talks
19 about raw materials, right? The way it's written
20 now, it says, that--

21 DR. MORRIS: Right, attributes of raw--

22 DR. SHEK: --attributes of raw materials.
23 So I thought that's what, basically--

24 DR. MORRIS: Yeah, I was just saying to
25 change the language to be a little more specific to

1 say, you know, pharmaceutical unit operations and
2 actors and excipients, but that might
3 address--well, I mean, just so it doesn't exclude
4 that.

5 DR. ANDERSON: I'd like to ask a question.
6 Are we talking about a method of providing
7 information and the quality of whatever it is we
8 are manufacturing at various stages of the game, so
9 to speak. And what we do with that information
10 depends on what the information says. And if, in
11 fact, my understand of this is correct, then it's
12 not clear to me why control is a part of the
13 definition. So, my question is, are we looking at
14 a method of determining the quality of whatever it
15 is we're doing at a given stage of the game, as
16 opposed to actually having a system that controls
17 what happens when we find something? You
18 understand my question?

19 DR. KIBBE: All right I have that--the
20 burden of authorship, I guess. This definition was
21 the result of a lot of discussions about what we
22 think process assessment technology or process
23 analytical technology can do for the American
24 public, for the agency, and for the industry. And
25 we think it can do a lot of things beneficial for

1 all of us.

2 First, it can, in some places replace
3 older methods of releasing batches, more
4 efficiently, more actively and more in a better
5 way. In some places if applied correctly, it will
6 help the company even control their own process so
7 that they don't have to worry about the loss of a
8 batch because the process starts to go bad part way
9 through, they can monitor it on an ongoing basis,
10 which we put in as timely, and make adjustments.

11 It improves the process because it will
12 allow release quicker and, therefore, the
13 timeliness of the information and the release of
14 the batches and the time it takes to do a batch or
15 do an individual product gets shortened and the
16 cost to the company gets better. And it makes it,
17 in some ways, easier for the agency, because the
18 agency can then depend on a whole set of ongoing
19 information whenever it reviews what's going on and
20 it doesn't have to look at snapshots.

21 And we've recognized--and I hope most of
22 you understand--that some of the snapshots that we
23 use now to release batches are not very statistical
24 powerful. We take very small numbers of tablets to
25 decide that we're going to let a million tablets

1 walk out the door. And we are going to feel, I
2 think, much better about all those decisions when
3 we put things like this in place.

4 And hence the agency, and I'm going to
5 speak for the agency, even though I'm not in it, is
6 very encouraging to get industry to do this because
7 it then increases their level of confidence that
8 good decisions are being made on a day-to-day basis
9 that affects the health and well being of the
10 American public.

11 So, yes, control is important and it's
12 part of it and we're not making purely a regulatory
13 definition, we're making a definition, everybody
14 can work with and use in-house or on a regulatory
15 basis and so on. And so I think that's important.

16 Timely is important, because information
17 that's untimely is what we do now. So, I mean,
18 we're trying to get better at this process. And
19 so, I think some of those terms are good, now.

20 I agree with my colleague over here says
21 that if you have a sentence that goes for more than
22 four lines on a typewritten page, it probably is
23 going to be confused. And the people who will
24 confuse it the best are the lawyers. And I
25 apologize to all of you out there who might be a

1 lawyer. But they will, you know, just--and I think
2 we might want to strengthen the definition on a
3 regulatory side of the aisle by breaking it up into
4 bullet points or something where we know clearly,
5 exactly what we want.

6 And I also know that wordsmithing using 28
7 people to do it is a nonproductive process, okay?
8 And while all of your suggestions are great, I
9 think it's probably a good idea to let one or two
10 people sit down and try to come up with the next
11 stage of it. So, I hope I've helped.

12 DR. ANDERSON: Let me just clarify. I am
13 not against the word control, what I'm--if you're
14 talking about an NIR system, the NIR system doesn't
15 control anything. It provides information. I
16 think what I'm questioning is the placement of
17 controls and in the sense--control in this
18 particular sentence. It's not the system that does
19 the controlling, something else happens as a result
20 of the information that's provided by the system.

21 DR. HUSSAIN: To clarify, I think when we
22 talk about PAT, we PAT because we said measurement
23 information technology, the feedback control--the
24 entire thing is a system in our mind. The
25 measurement part is just one part of the system.

1 DR. MILLER: It goes back to the English.
2 The system is analyzing and controlling, which is
3 different--that what I want--I want gerunds in
4 there, it's too passive and it goes to subject for
5 confusion and other interpretations, so I agree, I
6 agree a couple people need to wordsmith it and get
7 it into a couple of sentences or bullets and that's
8 how we'll work our way out of it.

9 It's--the more you think about the way
10 it's written, it allows for too many
11 interpretations.

12 DR. LAYLOFF: Okay, I'm going to invoke
13 the Kibbe rule and we're going to stop discussion
14 and Ron, you can talk to Ajaz.

15 MR. CHISHOLM: Yeah, can--I mean, just as
16 an example. I was fortunate enough that we had our
17 senior management together dealing with us and Ajaz
18 last week and I put the definition in front of
19 them, thinking it would all be wordsmithed and
20 changed, just you all are doing at the moment. And
21 lo-and-behold, not one single word was changed.
22 All they said was, let's hope that the people that
23 David was referring to--the definition that comes
24 out of Europe and that lot and the definition that
25 we have here are harmonized in some way, because I

1 think that's probably quite important from an
2 industry viewpoint.

3 I'd just like to guard against one point.
4 We, as an industry, do not intend to test every
5 tablet under any circumstances, because there's
6 statistically no need. It would be going from the
7 sublime at the moment to the ridiculous. We will
8 test a significant sample, which I think is where
9 we need to be.

10 The answer to your question, I think, Ajaz
11 actually answered and that is that you have to
12 think of these systems in their entirety. We
13 would, in fact, gather data in batches, that's on
14 the raw materials, blend times changing, the tablet
15 analysis, et cetera. Over a large number of
16 batches. Firstly, we'd do it during a batch to
17 make sure we weren't going out of specification.
18 But our data would then be, as it were, data-mined
19 and analyzed to look for long term trends so we
20 could understand the processes better.

21 In that way, it's about control. But
22 you're quite right, it's not about instant control,
23 because if you ain't got it right, you ain't gonna
24 get it right.

25 DR. LAYLOFF: As always. Okay, if we can

1 move on now. Have we identified--this is Question
2 4, on page 3: Have we identified the key
3 issues--real or perceived--that can be categorized
4 under the heading of regulatory risk or
5 uncertainty, and do you agree with the current
6 thinking on how these risks may be minimized?

7 DR. HUSSAIN: I think the way we sort of
8 approached this is for marketed products with good
9 compliance history, essentially within the known
10 history problem. We believe the quality is good
11 it's fit for intended use. I think I want to keep
12 emphasizing that this is focused on improving the
13 process and we are not questioning the quality of
14 the product. So with that in mind, we sort of
15 proposed that how we would address that.

16 And one of the main risks that is being
17 identified by industry, as it happened today, also,
18 is the risk of finding flaws in the current system.
19 And what our position is the current system is fit
20 for intended use. There's no safety and efficacy
21 concern. So there should be a way to resolve that
22 and then move forward and not be penalized for
23 that.

24 And the point I--Dr. Woodcock made at the
25 Science Board presentation was some of our current

1 testing could create that. For example, with
2 content uniformity, it's a situation where no
3 tablet should be outside 75 to 125 and on stage
4 one, essentially, it says that when you test 10
5 tablets if the mean is between 85 to 115 and the
6 RSD is 6.8 percent or less, that's acceptable.

7 If you assume that we normally distributed
8 system, what it means is 6.8 percent RSD would
9 actually we'll have tablets outside 75 to 125. And
10 when you increase the sample size, then you will
11 find those 75 to 125 and it means that every batch
12 is out of specification, literally.

13 So what we are proposing is and the
14 Science Board endorsed that--when we find something
15 like this we will use a rational statistical
16 approach for addressing that and not say this is
17 out of control--so, my glass is out of control.

18 DR. LAYLOFF: Ajaz has become out of
19 control

20 DR. MORRIS: If I could just comment, I
21 think that goes back to something we talked about
22 at the first meeting, which is reconciling the
23 specifications from two different methods. I mean
24 the errors that are associated with a PAT, as
25 opposed to a malcompendial [ph] test may be

1 different, but if they map to each other in a
2 statistic--and I'll leave this to the key
3 mathematicians and statisticians, but then you're
4 not out of specification as long as they're mapped.
5 But I think that's the--that's the--I don't know
6 what the word would be, biggest request on behalf
7 of the industry to the agency is that that be
8 recognized. That, in fact, when you do have tails
9 of the distribution that we don't now see that not
10 impugn the product. I think that's what it comes
11 down to.

12 DR. HUSSAIN: Just to summarize for the
13 committee. The current thinking is, the safe
14 harbor concept that Dr. Woodcock has talked about.
15 Essentially the way we have framed that safe harbor
16 concept is that we believe that the current system
17 provides product of good quality that is fit for
18 its intended use. During development of PAT
19 applications on marketed products, the information
20 collected using experimental PATs would be
21 considered as research data. Only approved
22 regulatory tests will be used for product release
23 and regulatory decision. So you would be--feel
24 free to sort of collect that data and then we can
25 find a way to--if there are flaws, then how we

1 would address that, but not be penalized for that.

2 DR. MORRIS: IS it audited, though still,
3 is that data audited, or is that an open question?

4 DR. HUSSAIN: Not for agency purposes,
5 it's research data, so you would use that for
6 making or transitioning into the PAT application.

7 DR. LAYLOFF: I think the legal reference
8 methods are going to be the approved method or the
9 USP method, I mean that is the benchmark, that's
10 what you operate from and if you have other data,
11 it's not really relevant from a regulatory point of
12 view, it's academic.

13 MR. FAMULARE: No--as part of a regulatory
14 inspection, that wouldn't, you know, if you're
15 doing--if your R&D facility normally isn't
16 inspected and in terms of somebody's doing a
17 post-approval GMP inspection, if you're doing R&D
18 work on PAT that wouldn't be the normal course that
19 an inspection would take you through. Once you
20 implement PAT or PAT becomes part of the paradigm ,
21 you know then we have to look at it--

22 DR. LAYLOFF: Up close.

23 MR. FAMULARE: --from a reasonable
24 perspective. And as Ajaz alluded to, if you're
25 using a specification of content uniformity based

1 on limited sampling, then we have to have the
2 proper guidance for our investigators and the teams
3 doing these inspections that you have to see it
4 through a different set of glasses. It's a
5 different statistical paradigm. And the company,
6 basically, the bottom line is the company is taking
7 this for use of product improvement and we
8 shouldn't do anything in our inspectional [ph]
9 approach to hinder product improvement, otherwise,
10 we've defeated the whole purpose of our
11 inspectional program.

12 DR. SHEK: So, in practical terms, okay,
13 if we're going into 4a, okay, where it says robust
14 products, and a sponsor decides to look into use
15 PAT and they found some various data there, you
16 know, information. Is this data will be open now
17 to inspection through, let's say a general GMP
18 inspection and the question would come, have you
19 done something about it? Here you have the data,
20 and I would assume some concern might be there.
21 And that's not R&D, now it's already in production,
22 manufacturing, maybe it goes to a technical
23 services group, to look are there are some findings
24 there and it still passes, you know, the specs
25 everything is there with the test, but we have some

1 findings which may be directing you to that you
2 have to do something with the product.

3 DR. MILLER: And the follow-up to that, if
4 I may, is that that was the discussion about safe
5 harbor, all along. It was finding unintended
6 results, has nothing to do about doing routine
7 testing in a PAT environment for whatever attribute
8 you want to define, it was safe harbor for
9 unintended findings. And we're skirting or
10 skating, excuse me, away from that point a little
11 bit. And I want that to come into focus.

12 DR. HUSSAIN: No, actually, we are asking
13 the question to you, I mean, the question is being
14 posed to you. What is the committee's thought on
15 the safe harbor concept in this instance? What we
16 think is, in the sense, and I'll have Joe sort of
17 answer, also, is to say that now you have moved PAT
18 to a manufacturing line--

19 DR. MILLER: Right.

20 DR. HUSSAIN: --it's still not your
21 primary method, you're still collecting data to see
22 whether it's suitable and you're actually going
23 through the validation process. Now, you routinely
24 see a few more tablets which are outside,
25 quote/unquote, "specifications."

1 The decision, I think what we will
2 have--as we go through the--during the validation
3 process of the PAT, you already have a validated
4 process of the old method. That method will be
5 used, so we're not using--so at some point we would
6 need to meet and say, all right, with PAT you are
7 seeing these defects, what are your new, either
8 acceptance criteria based on sound statistical
9 principles? So that your process is the same used
10 before and after. So you really have to come up
11 with a new set of criteria how to evaluate those
12 numbers.

13 MR. FAMULARE: The existing regulatory
14 paradigm, even going back to our previous
15 subcommittee meeting, will remain sound, so we're
16 not going to use that new data, now that it is
17 online in the manufacturing area to impugn the
18 percent as long as your existing validation and
19 regulatory methods are working and doing what they
20 are intended to do.

21 And as Ajaz said, what the next step would
22 be, well now, you see this trend, it's not
23 something for our investigators to report on the 43
24 or initiate some regulatory paradigm. It's
25 something we may come back to you and say, okay,

1 where are you going now with the PAT and what will
2 we do with this process?

3 DR. MILLER: I'd like to comment and, just
4 for the record, with discussions that we've had
5 externally at CAMP and also with Bristol-Myers
6 Squibb, I would like this to go down as part of a
7 definition for safe harbor. Application of PAT to
8 a particular process product will be at the sole
9 discretion of the manufacturer and I'll--don't
10 write it down, I'll give it to you again, but just
11 think about the words. The application of PAT does
12 not necessarily imply that a critical parameter has
13 been identified. The FDA agrees that a company
14 cannot be inspected, held under unusual scrutiny,
15 or be liable for regulatory requirements as a
16 result of data generated during the PAT development
17 and implementation phases. And if we need to write
18 it on the board, we'll do that. But that's the
19 beginning of where we are with safe harbor. And it
20 goes to, again, this aspect of finding unintended
21 circumstances.

22 DR. HUSSAIN: What I would suggest is in
23 this instance, if you could just share that
24 definition with all the committee members and that
25 the committee could make our recommendations on

1 that.

2 DR. MORRIS: I think one point is that and
3 in Ron's definition, as well, is that, obviously,
4 any company sees dramatic excursions, they're not
5 going to wait to be told to look at it, but during
6 the phase when there is still a question of whether
7 or not the implementation, as we talked about this
8 morning, the implementation is proper, then you can
9 get spurious results that, in fact, don't reflect
10 the process and as we were talking about with Hank,
11 is that the best way to find polymorphs is to scale
12 up and the best way to find flaws in your sensors
13 is to scale up, as well. And I think that's the
14 spirit of the definition.

15 DR. MILLER: The follow-up is in the
16 spirit of this is--these are approved processes,
17 there is no question --whoops, likewise,
18 Ajaz--there are not questions about the product or
19 the process but, you know, technologies are
20 technologies and Acts of God, so we need to
21 understand that.

22 DR. LAYLOFF: I wanted to make a comment
23 on the, you know, we have a discussion and that's
24 very useful. However, I think it's important to
25 know that many of these comments should be

1 submitted to the docket as public comment, you
2 know, so that they're out on the docket.

3 DR. MILLER: Well, we appreciate that, but
4 this also stimulates immediate thinking and
5 challenging to our committee members and anyone
6 during the two days. I we'll be glad to put that
7 down in writing, very clearly, but it goes to the
8 process of stimulation your thinking.

9 DR. LAYLOFF: No, the stimulation is fine,
10 but send it in to the docket.

11 DR. HUSSAIN: Tom, I'm not sure, I mean,
12 I'm not sure, the whole thing is sort of a public
13 record anyway, so the docket, we had sort of a
14 different thought for the docket was actually to
15 get different type of information, so this is sort
16 of a suggest from a committee member to sort of
17 have the discussion here, and that's relevant to
18 that.

19 MR. FAMULARE: Just to follow up on your
20 thought, while PAT is developmental, you know, you
21 have all those concerns, but you have the concern
22 that Hank raised in his robust process where he
23 gets this outlier at 62 percent and what does he do
24 with it? Well, maybe PAT will help him, you know.
25 So then, you don't want it one way, but we'll give

1 it to you the other way if it helps you.

2 DR. KIBBE: Let me just say that when we
3 discussed this, we discussed the regulatory
4 environment being empowering. And I think your
5 points are well taken and I think we would
6 encourage the agency's guidance to take them into
7 account and empower the companies to try PAT out,
8 to use it on a process and if, for some reason, it
9 doesn't help them control that process well and
10 meet the current standards, then we're not going to
11 make them do it. All right? But I have a sense
12 that some of these unforeseen boulders are going to
13 be bumps in a process to a better environment all
14 around and that, in the process, of developing a
15 PAT if they find one of these things and they want
16 to continue to forward, they might find ways around
17 it, they might find cures for it, or they might
18 find a way of correlating the data they get from
19 their PAT to the data that they already get and
20 say, all right, the standards on our standard
21 testing is x and the standards for a PAT testing is
22 you and the two are directly correlated, we still
23 produce the same product, is that okay with the
24 agency? And then the agency can go forward.

25 And so, while it's nice to worry about

1 things that might happen, we haven't opened the
2 closet to monsters incorporated on this. I think
3 we can go forward and I think we need to be clear
4 with that. We are not going to force a company
5 that takes the energy to look at PAT and try to
6 develop something to implement it just because
7 they're tried it. Okay?

8 DR. BOEHLERT: I just wanted to make one
9 other comment. It's not unheard of now for the FDA
10 to come into a company who think they have a
11 product and process well under control and make
12 comments on the acceptability of that process and
13 it's controls. We're not going to eliminate risk
14 here, you know, that risk is always there that
15 somebody's going to take a look at what you're
16 doing and say it's not what we think you should be
17 doing or how you should be doing it.

18 The concern is that once you start working
19 on PAT and you have data on hand now that confirms
20 that observation, you know that the agency will not
21 look at it as a safe harbor kind of concept, but
22 look at it as, well, we could have told you that if
23 we'd come in earlier, that you have a problem with
24 your process. And you know that people are not
25 going to want to generate more data that will just,

1 you know, be on hand to show that they do, indeed,
2 have a problem. Because you may not think what
3 they have now is okay.

4 MR. FAMULARE: But aren't--if there were
5 to be an enforcement or any type of an issue it
6 would have to be based on the conventional,
7 existing paradigm, not what PAT did or added to it.

8 DR. BOEHLERT: Yeah, exactly, but having
9 additional data on hand, may not help that
10 situation, as far as the company is concerned.s

11 DR. MORRIS: I think one point is to--

12 MR. FAMULARE: I'm sorry, just to finish
13 that thought. Then the company already knew it
14 from the conventional data and this is what just
15 icing on these cake so--

16 DR. MORRIS: And I think that was sort of
17 the point I was going to follow up to your 62
18 percent point is that what we've seen is that
19 processes that are fairly robust, at least in our
20 hands even at scale at some point, certainly not as
21 much as the, we haven't done as much full-scale
22 work as the folks across the aisle, but typically
23 are benefitted by the application of PAT. They
24 reflect that.

25 And the processes that are on the edge,

1 everybody already knows they're on the edge, I
2 mean, that's not a secret, so I think, to Judy,
3 that's to your point, is that it's certainly not
4 going to make a process that's on the edge look any
5 less variable but, hopefully, it points out
6 opportunities for improvement.

7 DR. HUSSAIN: To sort of re-emphasizing
8 that we truly want this to be a win/win and the
9 lack of trust and the lack of the history has
10 been--and we have to rebuild that trust and as you
11 go down the questions, you can see how we're trying
12 to do that.

13 One of the aspects is, in the sense for
14 PAT-based submissions, as we identified--that's the
15 reason I was focusing on the definition is because
16 we really need to distinguish PAT applications and
17 inspections from the rest of them, because we are
18 creating a new team which should be the only folks
19 who are reviewing and inspecting these things and
20 not anybody else. So you have, essentially, a new
21 regulatory paradigm emerging from this. So, as you
22 go down the questions you'll start seeing how we
23 sort of intend to handle this.

24 So what the safe harbor concept simply
25 is--it's a good compliance history, it's an

1 approved product, safe for intended use, there are
2 no safety and efficacy concerns, most of the time
3 and I don't expect, personally, to find any safety
4 or efficacy concern. There will be concern of
5 deviation from maybe some established
6 specifications and that, I think we probably want
7 to address through statistics, a more statistical
8 approach.

9 And then, I think if variability can be
10 reduced with the application of PAT, I think it
11 would encourage companies to do that. And
12 companies would, obviously do that. So that would
13 be the sort of paradigm. So.

14 DR. MILLER: And that comes from the
15 discussions that were held at the Science Board--

16 DR. HUSSAIN: Right.

17 DR. MILLER: --Janet and you were involved
18 speaking to the statistical tails that occur so,
19 you know, that's out there and we have to use PAT
20 to potentially control that to a finer level.

21 DR. HUSSAIN: Sort of a personal point I'd
22 like to make here is this --in a sense, I think,
23 the zero-tolerance-type of limits that we have
24 worked under USP and so forth. Keep in mind, USP
25 is not a release test. USP's a market standard.

1 It was never intended to be a release test. So
2 what it simply means is if somebody takes the
3 product from the market and tests it according to
4 the USP, you have to meet that standard. It's that
5 standard, so, and so people sort of blur those
6 things up.

7 At the same time, I think with the
8 continued uniformity as it's outlined in the USP
9 right now, we know if it's normally distributed you
10 will have numbers outside that. And today, how do
11 we deal with that situation? We actually throw
12 away batches because it's out of specification and
13 in some cases the quality the batch that is
14 rejected and the quality which is accepted is no
15 different. So, are we just feeling good about
16 having a zero-tolerance and saying we don't want to
17 deal with it? This is a way to really deal with
18 the science issues underlying the whole process.

19 DR. MILLER: Ajaz, that also goes to the
20 harmonization point, because there is some concern
21 to the fact that, well, these products are tested
22 as USP. So how does Europe or other countries or
23 how will they accept potentially a product that
24 doesn't have a USP test and then so it's an
25 alternate test?

1 DR. HUSSAIN: You always have the USP
2 test, you have that USP test.

3 DR. LAYLOFF: And the USP does not require
4 that you test by the monograph. It says that if
5 tested by the monograph, it has to comply. But you
6 can use alternate technologies as--

7 DR. MILLER: Well, then it goes--I
8 appreciate that, but it goes to labeling and
9 nuances, I think--

10 DR. LAYLOFF: No, it just says, if tested,
11 it would comply to the USP standards.

12 DR. HUSSAIN: There's no difference.

13 DR. LAYLOFF: I think we've hit most of
14 the 4s, haven't we?

15 DR. HUSSAIN: The 4a, the question 4a was
16 essentially saying that the statistical criteria,
17 essentially the normal distribution and the
18 inherent variability that we currently accept is
19 one of the reasons for finding flaws.

20 Are there any other problem scenarios that
21 would need to be considered for products which are
22 in good compliance. I mean, that's the question.
23 So, now we can go on to the next one, then. So,
24 everything is right on target.

25 DR. LAYLOFF: We didn't much enthusiasm on

1 that one.

2 DR. HUSSAIN: Well, I think the question
3 4b, I think could be looked at from two different
4 perspectives. One is that if we are able to say
5 that it's a good compliance history, we don't need
6 questions 4b, that's one way of looking at that.
7 Or do we should consider 4b, I mean, that could be
8 the way of addressing that. Because you will--may
9 find something which should be corrected and then
10 you really need to have a risk-base, not sort of
11 use the penalty format, you say correct it over a
12 period of time or something of that sort. A
13 risk-based approach would be needed.

14 DR. MORRIS: One point on 4b, I think, is
15 that, you know, there may be times when you try to
16 apply PAT, say, to blending or something and
17 there's just no correlation at all. In which case,
18 you say, well, this is not the sensor or I haven't,
19 you know, implemented it properly. That seems to
20 be fairly straightforward. But it comes back to,
21 then, if the industrial scientists make that call,
22 then it comes back to the training of the reviewers
23 and inspectors to recognize that, I think, as well.
24 So, it's training on both sides, but to me that's
25 an easier hurdle to overcome.

1 MR. FAMULARE: I think a lot of 4b would
2 also be the use of enforcement discretion when
3 these issues are found and what steps the company
4 is taking towards resolving them if they are
5 legitimate issues that need to be addressed.

6 DR. MORRIS: That's corrective action.

7 MR. FAMULARE: It's the step towards the--

8 DR. MORRIS: That's the other side, yes.

9 MR. FAMULARE: --which is part of the
10 normal paradigm, you know, steps towards compliance
11 is the most important consideration that we look
12 at.

13 DR. RUDD: I'm sorry, I think I'm slightly
14 behind. I think my comment relates to 4a, but it
15 will be very quick. Just ready to re-enforce the
16 fact that we need to recognize that we'll see
17 statistically more variability as a result of the
18 application of PATs and so, I think in terms of any
19 training component, we just need to get a good
20 understanding of what that additional variability
21 might be. Don't have any answers to that, but I
22 think it's just a recognition that, you know, the
23 expectations need to change. Sorry for being a bit
24 behind, there.

25 DR. LAYLOFF: Okay, I'm going to stop

1 this. Did you have--want to comment on this?

2 MR. CHISHOLM: Just the main thing that
3 came out when I put these questions to people
4 really was what we'd like from the agency is more
5 of a definition of what--when I put this to a
6 number of people and they said really the questions
7 that come back are they would like the rest to be
8 more specifically defined, I think, rather than
9 generalities. What does constitute a problem, you
10 know, I think there's a variable feeling in the
11 industry that it's still a little bit willie [ph],
12 although everybody's getting a very warm feeling
13 about all the correct things that are being said.
14 You maybe have to be slightly more specific. And
15 I'm thinking, not so much of existing products
16 here, as even for new products. It just goes back
17 to Dave's point there that there will be
18 statistical variations, which is something the
19 pharmaceutical industry's never dealt with in it's
20 life. So, it's not a yes or a no situation
21 anymore, it's a maybe situation.

22 And we have to give some thought to that
23 because it is a very risk averse industry. So,
24 it's just a comment rather than a question, I
25 think.

1 DR. SEVICK-MURACA: Yeah, I would like to
2 see this issue of statistical variability along new
3 PATs somehow being formally recognized in our
4 guidance that, as new PATs come through, that there
5 has to be a cogent scientific approach to saying
6 when, you know, to handle the scientific--the
7 measurement variability. And that's the thing that
8 I'm really concerned about, because sampling sizes
9 are an issue here, depending upon low-dose,
10 high-dose, you know, there's going to be enormous
11 ranges of variabilities, and these need to be
12 addressed in how we're going to regulate and how
13 we're going to put PATs into the validation
14 concept.

15 So I think that we need to do some
16 training on that.

17 DR. MARK: You know, maybe I'm showing my
18 ignorance here, but I'm not sure what it means to
19 say you have risk-based approach. Is that a
20 standard pharmaceutical term or what's the meaning
21 of it in this context?

22 DR. HUSSAIN: Well, I think, everything
23 that is focused on safety and efficacy, most of the
24 time we don't think there's a safety and efficacy
25 issue. But if there is a concern with respect to

1 safety and efficacy, for example, we see a number
2 of tablets at 60 percent and so forth, that the
3 dose truly is lower for a drug, then a corrective
4 action would need to be sort of worked with the
5 agency and so forth, so there's a risk associated
6 with safety or efficacy.

7 DR. LAYLOFF: Okay, we're going to stop
8 this discussion at this time. We've invited a
9 speaker from NIST to be with us this morning.
10 James Wetstone, is going to tell us a little bit
11 about what NIST does.

12 MR. WHETSTONE: Thank you, Tom. Let me
13 get this thing going. There we are. Well, thanks
14 again for the invitation. My name is James
15 Whetstone, I'm the Chief of the Process
16 Measurements Division, which is one of the
17 divisions at NIST that's in the Chemical Science
18 and Technology Laboratory; I'll speak a little bit
19 more about that and, again, thanks to the committee
20 for allowing me to take a few minutes of your time
21 to tell you a little bit about what NIST does and
22 how that might have some impact on process
23 analytical technologies as they might be applied in
24 the pharmaceutical industry.

25 First of all, these are some discussions

1 of what NIST does, what we think we do, how we do
2 it, what our core values are, our mission and
3 vision statements, I'm not going to repeat those.
4 I think you all can read that about as well as I
5 can.

6 We're a presentation of the Department of
7 Commerce. Our mission is strongly oriented toward
8 providing measurement technologies and standards
9 for industry and government agencies. And we
10 strive to realize our mission and vision and use
11 our core values in order to do that.

12 NIST is a broad--has broad technological
13 capabilities that run through a variety of
14 industrial applications or interests all the way
15 from electrical power where, you know, everyone has
16 one of these things sitting on the side of their
17 house and they're all traceable to the primary
18 standards that are maintained, actually, by the
19 electricity division just across the 270 here. One
20 of the tall buildings you saw over there was our
21 administration building.

22 All the way from electrical power to
23 medical testing, dentistry, transportation of
24 various sorts and refrigerants here means that some
25 of the work that NIST has done in the past, about

1 10 years ago, accelerated the acceptance of the
2 Montreal Protocols for new refrigerants that are
3 not global warming materials.

4 This is an organizational chart of the
5 organization of NIST. It's composed of a number of
6 things, NIST, actually was derived from the
7 National Bureau of Standards about 15 years ago.
8 And there were some new duties that were given to
9 NIST at that time. And those are embodied, really
10 in three places.

11 One is the National Quality Program, Ajaz
12 mentioned in his presentation of the Baldrige
13 Award. And the Baldrige Award is administered by
14 the National Quality Program. The Advanced
15 Technology Program is a funding vehicle for
16 high-risk industrial research activities. The
17 Manufacturing Extension Partnership is akin to the
18 Agricultural Extension Agent system that has
19 existed in the U.S. through the Department of
20 Agriculture for almost, I think, over a century,
21 actually.

22 This puts technological expertise
23 throughout the states available to, primarily, to
24 small manufacturers.

25 These seven laboratories are what we call

1 the old Bureau of Standards. Those are the
2 technical capability of NIST, this comprises about,
3 oh, 80 percent of our total staff.

4 What I'm going to talk a little bit more
5 about is the Chemical Science and Technology
6 Laboratory, where the technical expertise is lodged
7 that is pertinent to the discussions of this
8 committee.

9 CSTL visions and missions are similar to
10 NIST. Specialization has to do with chemical
11 biomolecular and chemical engineering activities.
12 What we try to do is enhance U.S. industries
13 competitiveness and capabilities through the
14 application of new measurement technology and
15 standards. Part of this has to do with the
16 assurance of equity in trade and, obviously, it
17 impacts public health, safety, and environmental
18 quality, also.

19 Our activities are really enunciated by
20 these three goals. We have a measurement standards
21 activity, which is a core mission responsibility of
22 NIST. We provide--we, CSTL, provides standards in
23 these areas, as I mentioned above. We have a quite
24 extensive reference data activity that is centered
25 on chemical reference data of various types and

1 biochemical reference data.

2 And then measurement science is that area
3 of--that is the well spring of our technical
4 capability. We engage in a wide variety of
5 research activities that are aimed at ultimately
6 improving the ability to make measurements.

7 This is just an organizational chart of
8 the Chemical Science and Technology Laboratory,
9 Bill Koch was supposed to be here today to give
10 this presentation, but he's out of the country so
11 I'm giving it. My division has somewhat more
12 application to process analytical technology than
13 some of the others, although all of them have some
14 contribution to make to there.

15 And just to emphasize, that the way we're
16 organized is really by discipline. So, if you look
17 at this Analytical Chemistry is just what it says
18 it is. Physical and Chemical Properties is just
19 that, physical and chemical properties of both
20 materials and chemical processes, primarily; some
21 physical processes; Surface and Microanalysis
22 Science is primarily world-class microscopy
23 capability, all the way from optical to various
24 types of charge particle-based microscopies.

25 We have responsibility for the kinds of

1 things listed here, these are the group names, we
2 have responsibility for the national measurement
3 standards for these types of what I call
4 thermodynamic variables, which are, in many cases,
5 intimately attached to the manufacturing processes,
6 certainly that the pharmaceutical industry's
7 concerned with.

8 And the Biotechnology Institute--or
9 Biotechnology Division is--looks at
10 biotechnological processes; structural biology is
11 an important piece of that. And in that we have a
12 collaboration with the University of Maryland and
13 its Center for vast research in Biotechnology.

14 We speak of our programs in the terms of
15 the industries that we try to serve with advanced
16 measurements technologies and standards. Certainly
17 health care is a pertinent issue today.

18 Our facilities, as I said, are mostly just
19 across the interstate. You're certainly welcome to
20 come. It's a little bit harder to get in the gate
21 these days than it was about a year ago. But it's
22 still not difficult. You might see this building
23 as you go back down the interstate to the airport,
24 that's our administration building. We have a
25 facility, NIST has a facility in Boulder, as I

1 mentioned, there's the CARB facility which is just
2 about five minutes away from here. And we have
3 some facilities in Charleston, South Carolina, in
4 collaboration with National Ocean and Atmospheric
5 Administration.

6 What do we do? Well, we provide standards
7 for a lot of different things. And what I'm going
8 to do is run down this a little bit. I picked some
9 of these things because I felt like that they would
10 have application, perhaps, to this particular
11 audience. Raman spectroscopy has become, certainly
12 a process analytical technology that's widely used.
13 Mel Koch here, from CPAC and Kelsey COOK from MCEC
14 have organizations that are practitioners of that
15 art and they're practitioners of those arts in
16 industrial contexts and there's a fair amount of
17 experience in having done that. Not in the
18 pharmaceutical industry, but certainly in many
19 others.

20 Spectrophotometry, atypical [ph]
21 absorbency standards, I think there was some
22 mention earlier today about the penetration of that
23 particular technology into the pharmaceutical
24 industry and what we do is to provide the absorbent
25 standards for those devices.

1 Reference data, well, there's a lot of it,
2 and I just put some stuff down here that was, I
3 thought might be useful in this industry.
4 Certainly the mass-spectrometric database is sort
5 of a hit-and-miss product that's in just about
6 every analytical mass-spectrometer that hits the
7 street. It's sold through our office of standard
8 reference data to most of the mass spec makers and
9 they incorporate it into their software. It's
10 updated about every two or three years.

11 And then, as I said earlier, we provide
12 instrument calibration services for these kinds of
13 things in my division.

14 Just a quick thing--one of the things that
15 the industry came to us about three or four years
16 ago was the fact that raman spectrometry is getting
17 to the point that it is, as I said, a widely used
18 process tool. We think there will be issues about
19 the ability to look at the intensity response from
20 one instrument to the next. The ASTM committee on
21 spectroscopy felt that was the case, too. And so
22 what we've developed is the first fluorescent
23 standard that can be used to calibrate in situ
24 raman spectrometers. It allows you to do a number
25 of things; one, it allows you to compare one

1 process to another without moving the instrument
2 from here to there. The first thing that we've
3 done is the 785 nanometer excitation source is one
4 of the most commonly used sources in industry at
5 this point, so we decided to do that one first.

6 It will be available for sale
7 either--certainly by September, perhaps, by now. I
8 signed the report on analysis of this thing about
9 three or four weeks ago. We intend to go to the
10 other commonly used excitation sources and, as I
11 said, we expect the impacts of this to assist the
12 industry in doing comparative measurements in
13 process control.

14 So, with that, just going to put this back
15 up again. We try to work with industry as much as
16 we possibly can, with other government agencies.
17 Typically in a third-party, disinterested-party
18 role and, certainly, welcome any kind of comments
19 you might have.

20 I just thought that I might add a plug.
21 And that's this plug. Mel is certainly a member of
22 the IFPAC Board as is Rick Cooley, and Kelsey's
23 involved and there's another--some other friendly
24 faces here. I think Ajaz put together a session at
25 the IFPAC meeting last year. This is the

1 International Forum on Process Analytical
2 Chemistry. It's a place where you can go and
3 listen to folks who have had a lot of experience in
4 applying various types of spectroscopies,
5 primarily, and to--it's beginning to be some
6 sensors as those technologies are beginning to
7 mature to process analysis and control issues.

8 So, with that I'll stop and thank you,
9 again, for your attention.

10 DR. HUSSAIN: One, just to, NIST, I think,
11 would be a very, very valuable partner to FDA in
12 developing with respect to standards and things
13 that will evolve. What we have been trying to do
14 is link with NIST and, in fact, at the next meeting
15 of PAT, we might offer an opportunity to spend a
16 day and have a workshop at NIST on some more
17 technical aspects. So that's something that we are
18 considering right now. In addition to that, I
19 think the information technology--standards for
20 information technology also, I think, NIST can help
21 us in that regard and we are exploring that
22 possibility. So, thank you again.

23 MR. WHETSTONE: Thank you very much, Ajaz.

24 DR. LAYLOFF: I think, Ajaz suggested that
25 we meet with NIST because they might be able to

1 generate standards necessary to provide calibration
2 for various sensors.

3 And with that we will break for lunch.

4 And we'll start again at 1 o'clock. Thank you.

5 [Lunch Break.]

1 A F T E R N O O N S E S S I O N

2 [1:12 P.M.]

3 DR. LAYLOFF: We have four people who have
4 requested to make a presentation to the committee
5 at the open public hearing. Dr. Justin Neway, from
6 Aegis Analytical Corporation.

7 DR. NEWAY: And what's the magic secret to
8 getting the slides to show?

9 [Technical Interruption.]

10 DR. NEWAY: Mr. Chairman, ladies and
11 gentlemen, thank you very, very much for this
12 opportunity to speak to you today.

13 My name is Justin Neway, I am one of the
14 two founders of a company called Aegis Analytical
15 Corporation. We're a software company based in
16 Colorado area, near Denver and we make software
17 systems, develop and supply software systems for
18 pharmaceutical manufacturers, specifically.

19 What I'd like to speak to you about today
20 in the 20 minutes or so that I've requested is to
21 present you with a perspective that I haven't heard
22 discussed yet, except, actually this morning, some
23 elements of what I'm about to talk about came up.
24 And I'd like to use those openings to illustrate a
25 particular set of problems that I think need to be

1 taken into account with respect to what PAT does,
2 in terms of bringing out guidance and
3 implementation in the industry.

4 I've called my talk Implementing New
5 Process Analytic Technologies: The underlying
6 challenges.

7 And I'm speaking specifically about in the
8 manufacturing area itself, rather than in process
9 development or R&D. And there is a specific set of
10 problems that I'm going to address today.

11 To get started, I'll give you a little bit
12 of background on myself and the company so that you
13 know the basis on which I'm making these
14 statements. And then just to recap some of the
15 benefits of PAT as we see them and I think as many
16 manufacturing professionals see them.

17 I'll outline these challenges and rather
18 than just leaving you with a bunch of whining, I'll
19 actually attempt to tell you what I think can be
20 done, both from an industry point of view and a
21 vendor point of view and a regulatory point of
22 view, to help these things converge and achieve the
23 kinds of objectives that I know you have as a PAT
24 subcommittee.

25 So, to start with is that I'm a trained

1 biochemist and microbiologist. I spent 15 years in
2 pharmaceutical manufacturing in several different
3 companies. And I became very intimate with the
4 data environment associated with process
5 development and manufacturing in pharmaceuticals
6 and biotech.

7 After that, I started Aegis about five
8 years ago with venture funding from the venture
9 arms of GlaxoSmithKline, Merck and Aventis. By
10 this time, I and my colleagues had made
11 presentations and visited, essentially, the top 30
12 biotech and pharmaceutical companies over the last
13 5 years. Several different sites, several
14 different organizations within each and we have a
15 tradition of actually, convening customer advisory
16 panels to develop requirements for our software
17 that help us more closely address what the
18 industry's needs are. So this is the backdrop for
19 the statements I'm going to make.

20 You can see that I've been on both sides
21 of the table, both as a user wanting to solve the
22 kinds of problems that pharmaceutical manufacturers
23 have and now actually being in the position of
24 being a vendor supplying software to address those
25 issues.

1 Now to quickly summarize what I see as the
2 benefits of PAT implementation, they're pretty
3 obvious--we've gone over them this morning. I
4 would like to emphasize the two in the middle here,
5 most particularly. Shorter cycle times and batch
6 release times and moves towards parametric release.
7 I was very pleased to hear the interesting new
8 distinction coming up on parametric release being
9 real time release. I think that's something that
10 is extremely important to distinguish: the fact
11 that there is a time element involved.

12 Okay, so we want to improve all of these
13 things, we want to achieve those via PAT
14 implementation, but what about today's failure
15 rates, compliance, and yield problems themselves?

16 The challenge in quality compliance, I
17 think, was outlined best in what I found to be
18 Janet Woodcock's words earlier this year. U.S.
19 drug products are of high quality, but. And we
20 know these but: increasing trends towards
21 manufacturing problems; recalls; disruption of
22 operations; drug shortages; negative impacts on
23 NDAs; low efficiency manufacturing QA; slow
24 innovation and modernization.

25 Why do these problems occur? Having been

1 in the manufacturing business myself, in the
2 trenches, as it were, I know these people are not
3 under motivated or somehow not trying to achieve
4 these things. There must be obstacles and reasons
5 why this is so.

6 And I think the obstacles can be
7 summarized in this slide here and the two that
8 follow. I'm defining here what I call
9 data-intensive decision making. And that is where
10 you need to make a decision for which you, first,
11 need to gather data from various systems in your
12 manufacturing operation that allow you to make that
13 decision rationally.

14 Those decisions come up in two broad
15 areas. One is in quality and regulatory
16 compliance, GMP, in general.

17 And the other's in process control and
18 stability. It happens that these are closely
19 related, but it's often the case that manufacturing
20 professionals don't necessarily see them as being
21 closely related. When I talk about quality and
22 regulatory compliance, I'm talking about parameter
23 review for batch release; I'm talking about
24 defensible specifications; investigation of
25 atypical batches; manufacturing process validation;

1 production trend analysis; annual product reviews.
2 You'll recognize right away that these are not
3 something you simply sit down at your desk and
4 begin to expound on. You need to gather data first
5 and do investigational work, descriptive analysis
6 and investigation analysis to be able to make good
7 decisions about them.

8 On the control side we've spoken and heard
9 much about that this morning: shorten process
10 start-up times and scale-up times; shorten
11 troubleshooting times; and reversing adverse events
12 and trends; improving process stability; product
13 quality and productivity. In general, improving
14 return on net assets. These are things that all
15 manufacturers want to do.

16 But there are a set of constraints within
17 pharmaceutical manufacturing that make that
18 particularly difficult. What are those
19 constraints?

20 Well, one of them is, in fact, what I term
21 the real manufacturing data environment. To
22 summarize it, we could say that the necessary data
23 are located in many separate places. Okay, they
24 are all over the place. And for good reason.
25 Systems have grown up over the decades to supply

1 specific needs and specific parts of manufacturing
2 and, as a result of supplying those needs, they've
3 accumulated data about those needs.

4 Here I show a LIMS system, a SCADA PLC
5 DCS-type systems; batch record systems. SAP would
6 be the archetype of the ERP system. Many people
7 have data warehouses that house subsets of this
8 data.

9 But this data universe, you know, this
10 environment serves an excellent purpose. It allow
11 people to do their job of manufacturing
12 pharmaceuticals and releasing batches. But it also
13 presents a significant difficulty, because each
14 time you want to do some kind of investigational
15 analysis or data-intensive decision making, you
16 often have to go to several of these data sources
17 to get the data. And that, today, takes weeks--not
18 days or minutes or hours, but weeks--in some cases,
19 months.

20 And that's the reality of the data
21 environment that I've seen first hand and worked in
22 first hand.

23 Now when we speak of batch release and
24 shortening batch release times. You've heard G.K.
25 Raju speak about how much time it does take to

1 release a batch. Simply having a new probe does
2 not speed that up. The data for a PAT instrument
3 or several PAT instruments would accumulate in just
4 one of these systems, typically.

5 Batch release consists of looking at
6 conformance of parameters for raw materials, unit
7 operations, and final product and the data for all
8 of that resides across all these systems. PAT
9 instruments are just one or two of the components
10 required for batch release.

11 So, I can speak about, then,
12 data--decision making inefficiencies. And I'm
13 being generative here when I say inefficiencies,
14 okay? There are problems, challenges.

15 It takes several weeks of manual data
16 retrieval to be able to do the kinds of
17 data-intensive decision making we've spoken about.
18 And you can consider batch release, as I've
19 mentioned, to be one of those decision making
20 tasks; whether it be PAT-involving, or otherwise.

21 What happens is what I've called
22 spreadsheet madness. In general, vendors have been
23 supplying customers with Excel add-ins, as a way of
24 doing analysis, okay--or environments in which
25 they're free to write any command line program they

1 like.

2 Well, as I mentioned, I'm a biochemist and
3 a microbiologist, I don't happen to like writing
4 programs. I hire other people to write programs
5 for me. Process engineers sometimes like to write
6 command lines.

7 But we find that quality professionals,
8 process engineers, plant managers, supervisors,
9 operators, in general are not interested or wiling
10 to write command lines. They want point-and-click
11 systems. And why shouldn't they have them.
12 They're abundant in other areas of where we work
13 today.

14 There's a bewildering choice of inadequate
15 software. By that I mean that most analysis tools,
16 most decision making systems have in fact, grown up
17 to serve a general set of needs and they're being
18 force fit into the manufacturing environment. What
19 people need are easy access--meaning,
20 point-and-click environment--to those analytical
21 techniques that are most appropriate for the
22 pharmaceutical environment for the kinds of
23 data-intensive decision making I've described.

24 And, finally, the ways of communicating
25 results, I find, even today are antiquated. In

1 general, tables of numbers vast numbers, lots of
2 numbers where people have to do mental additions
3 and subtractions are what people are communicating
4 to one another. When we have so much computing
5 power that three-dimensional imagery is easily
6 accessible.

7 In fact, that leads me to a description of
8 industry trends or some industry trends. There are
9 plenty of new instrumentation coming along. Part
10 of what we're talking about here has to do with
11 that: cheap data storage; computing power;
12 increased enforcements of GMP; patent expirations;
13 industry consolidation and globalization is forcing
14 companies to try to identify centers of excellence
15 in manufacturing; reduce redundancies; and focus on
16 specific manufacturing plants or regions of the
17 world where they can produce the kinds of quantity
18 and manufacturing efficiency that they want to do.

19 The technology already exists to
20 adequately deal with the inefficiencies I've
21 described.

22 And I want to give you just two examples
23 of the kind of technology I'm talking about in a
24 couple of areas. One is a feature extraction
25 capability.

1 If you imagine for a moment that you have
2 some probe, let's say it's a new PAT probe, it's
3 measuring some signal as you see on the right
4 that's triphasic. For proper release of the batch,
5 and this is in a theoretical, okay? For a proper
6 release of the batch, one has to define the rate of
7 increase of the middle right here. Doing this
8 needs to be as simple as I illustrate here. Point
9 to the beginning of the curve, point to the end of
10 the curve and get the software to derive the
11 constants. Now you can release this batch if it,
12 indeed, fits the specification for this centered
13 rate of change.

14 To illustrate what I mean by improved
15 methods for illustrating results. I give you what
16 we call a visual process signature. In this
17 example, the tall peaks are the ones that most
18 effect the process outcome and I'm defining the
19 process outcome here as the back peak on this
20 surface which is dissolution rate. It's often the
21 case we find, and we do work for people to
22 illustrate this--that the parameters that most
23 drive the process outcome are distributed across
24 the process.

25 In this example, we've got an API

1 parameter; a mixer parameter; a drier parameter,
2 and a coding parameter that all contribute the
3 majority of the variability to the process outcome
4 being dissolution rate. One or two of these might
5 be a PAT, okay--technology or probe. The others
6 are the traditional measures that span the process
7 from raw materials. All that data still needs to
8 be retrieved, made available and analyzed so that a
9 batch can be released more quickly.

10 Okay, now we come to the wrap up? What's
11 in it? The advantages of PAT. I see PAT as an
12 excellent balance between compliance and economics.
13 And we have before us, I believe, a rare
14 opportunity to be able to drive change in the
15 industry from an economic perspective rather than a
16 disciplinary perspective. It refers more to the
17 win/win that you've been speaking about all along
18 Ajaz.

19 FDA wants better compliance to assure
20 safety and efficacy. They also want better
21 manufacturing efficiency to lower prices. The
22 industry wants to comply, but they lack the
23 necessary software capabilities, in general. And
24 this is my assessment from my years of speaking to
25 people.

1 They been building the cost of failed
2 batches into prices. If only we didn't do that,
3 presumably prices would be lower. And they want a
4 shortened cycle time to improve process economics.
5 Now, for this to work, the realities of the
6 manufacturing data environment must be dealt with.
7 What can we do about it?

8 From an industry perspective, I suggest
9 boosting manufacturing IT spending. And I've
10 underlined manufacturing IT because I think this is
11 the area that needs encouragement from bodies such
12 yourselves. There has been plenty of money spent
13 on IT in general in pharmaceutical companies, but I
14 feel it has been misdirected and not applied
15 specifically to the manufacturing area as it
16 should.

17 Include manufacturing users in budget
18 prioritization. That means people who actually
19 have to do with data-intensive decision making
20 should be part of the decision making process in
21 how those funds are allocated with respect to
22 manufacturing IT.

23 And that, of course, would lead to
24 implementing the underlying IT infrastructure
25 needed for PAT. I mean these things just go

1 hand-in-hand. PAT, I think is not such a huge
2 revolution when we look at what the trend industry
3 has been doing up to now.

4 For vendors, let's make better software
5 systems and work with the industry to define those
6 needs as opposed to making broadly applicable
7 systems that don't get well used in the
8 pharmaceutical area. And let's be honest about
9 software capabilities. Let's face it, Excel
10 add-ins are not the way to solve these problems for
11 people who want to do the kinds of analysis I've
12 just described--and to provide better training and
13 support.

14 On the FDA's part, continuing emphasis on
15 the GMP compliance and outreach is critical.
16 Because making a position clear that this is not a
17 choice that we must, in fact, comply with GMP is
18 very, very important.

19 Now, here's something that I haven't heard
20 discussed that I'd like to really emphasize. And
21 that is making opportunities--taking opportunities
22 to emphasize positive PAT economics. And I mean,
23 in very concrete terms. So, I'd like to suggest
24 that data be gathered and that a very concrete ROI
25 case be made as part of what this committee does,

1 to illustrate the very real economic benefits of
2 shortening batch release times and product
3 development cycle times. I think I've just heard
4 about them in generalities up to now, I may have
5 missed something, but I'd like to encourage a very
6 concrete development of that case.

7 And so here's what I would suggest for
8 this committee, if you'll forgive me for doing so.
9 Continue the so-called safe harbor policy
10 development. There may be a better term. Account
11 for additional necessary manufacturing
12 infrastructure. In other words, wide
13 implementation of PAT, whether it be on existing
14 processes or new processes will come to naught,
15 unless we also develop the necessary infrastructure
16 to make the whole thing work as I've described,
17 because it's not just about that next new
18 measurement or about the technology, in fact. It's
19 about the whole systems approach that's needed.

20 Publicize compelling economic
21 justifications, accounting for the hard costs, the
22 soft costs, and the social costs.

23 Sponsor industry/vendor working groups to
24 define needs, develop requirements and provide
25 feedback. I would be more than interested, in

1 fact, more than willing to participate in forums
2 under the FDA umbrella or this subcommittee's
3 umbrella that specifically defines requirements for
4 vendors with participation from industry, so that
5 we indeed develop systems that actually are needed.

6 So, to summarize and conclude, PAT
7 implementation, I believe, will be more difficult
8 for the reason that it doesn't involve, simply, the
9 next new probe. It involves leveraging other
10 systems that I believe are deficient today in the
11 industry.

12 The challenges are similar to those in
13 other data-intensive decision making areas and that
14 means poor availability of data. And by
15 availability, I mean, real-time access to data, not
16 weeks long and inappropriate software systems built
17 for people who really aren't capable of using them
18 very well.

19 A PAT provides a unique economic incentive
20 for quality compliance. And I've talked about that
21 a little bit. It's a way of getting industry to
22 use their own inherent motivations to achieve the
23 same ends as what FDA and this committee would
24 like.

25 On the FDA part, I believe that it can be

1 a catalyst for vendor/industry cooperation.
2 Gathering data to show the real world manufacturing
3 environment. What I've given you today is really
4 only anecdotal, but it is my direct experience.

5 Publicizing the positive economics of PAT
6 and providing the forums for interaction between
7 vendors and the industry.

8 Thank you very much.

9 DR. LAYLOFF: Thank you, Dr. Neway. The
10 next presentation is by Lie Peckan and Allan
11 Wilson.

12 MR. WILSON: Good afternoon, my name is
13 Allan Wilson, I'm with a company called the 20/80
14 Group and I'm an automotive manufacturing guy, is
15 what I am. My background's in chemical engineering
16 and statistics, but I came here today with my
17 partner, Lee Peckan, who handles human change
18 management in the automotive industry, which is
19 another interesting thing to talk about all
20 together--to talk about what we consider an
21 interesting topic. And I hope you'll consider it,
22 as well.

23 We've been involved in the transition and
24 transformation of the automotive industry. Myself
25 for a little longer than Lee, around 20 years. And

1 we've begun to be involved in the pharmaceutical
2 industry, for the last year. We've done a little
3 bit of work here and there. And we find some
4 really interesting parallels in the changes that
5 have occurred in the automotive industry over the
6 last 20 years and what you're undertaking--you're
7 already undertaking and you're going to be doing
8 moving forward, I believe in the next decade.

9 So the first question is why the
10 comparison. Now, the obvious thing is the
11 automotive industry has gone through some very
12 unpleasant transformations and a lot of those
13 transformations were very hurried and they were
14 forced as I'm glad to admit, the automotive
15 industry is not a monolith and we've been extremely
16 susceptible to the flavor-of-the-month thing.
17 Like, you know, if I were to rhyme off the number
18 of little certifications and qualifications I hold
19 in this and that it would be kind of terrifying.
20 You have an opportunity, I believe, to take a
21 more--a more measured look at your industry and
22 take advantage of some of the learnings that have
23 gone on in other places in the universe.

24 So the first thing is what happened to us
25 back in 1980 in that time range? Basically three

1 things happened. Three really unpleasant things
2 happened and they happened in concert. Basically,
3 we had problems around pricing, quality, and
4 foreign competition.

5 The first thing is pricing. This is a
6 chart that I picked up from public sources. This
7 is the cost of crude oil over a relatively short
8 period of time, sort of centered around 1980.
9 That, in combination, with the fact that this is
10 kind of what the typical car looked like at that
11 time, as a matter of fact this looks very much like
12 my buddy Don's Duster and it was actually a small
13 car at that time and very fuel efficient. And
14 people began talking about these things, you know,
15 as these terrible gas-guzzling dinosaurs, so the
16 domestic automotive industry began to come under
17 all kinds of unpleasant pressure to find more
18 fuel-efficient vehicles.

19 At the same time, just in case lack of
20 fuel efficiency wasn't bad enough, we had some
21 really significant quality problems going on, of
22 which this was probably the most dramatic incident.
23 You know, those of you who remember Ralph Nader.
24 But there were all kinds of other things, basically
25 around product quality.

1 So at the same time as we were seeing
2 issues around cost, around product quality, began
3 to be a ground swell in the so-called consumer
4 culture of North America to change cars. Just in
5 case life wasn't bad enough, along came Toyota. I
6 still have a hard time saying that name, sorry.

7 And what those--what those scoundrels did
8 was they delivered good, fuel efficient cars. And
9 it really--it really shook us up. And we had to
10 make some very significant changes and very painful
11 changes. And in some cases very hurried changes,
12 relatively speaking into a North--to the domestic
13 North American automotive industry.

14 But when I think about the changes that
15 have happened, consider this and sort of contrast
16 this with the situation that you find yourselves in
17 today.

18 Think about the typical automotive
19 assembly plant that makes cars. What I'll call a
20 mini automotive assembly plant. Twenty years ago,
21 a typical automotive assembly plant would make
22 about 800 cars a day.

23 Nowadays, a fast one will make almost
24 2,000 cars a day. At the mean--in the meantime,
25 that same automotive plant, which used to occupy

1 around 2.5 million square feet, has gotten much
2 smaller. Actually there are plants coming off the
3 boards right now that are under a million square
4 feet, which, considering the amount of activity
5 that goes on is quite astonishing.

6 At the same time, that work-force
7 number--those are the number of people who,
8 basically have to clean up messes. The kind of
9 people who go, well, here's a car, oh, my goodness
10 it doesn't work. We have to do something to it.
11 And there used to be hordes of people at the end of
12 the typical assembly line who had to fix things
13 gone wrong. Hundreds on a given shift, 500 would
14 be a typical number. Now, there are very few of
15 them.

16 At the same time, that's product warranty,
17 things gone wrong in around, you know, a
18 three-month time frame. Then the things gone wrong
19 have dropped, by over an order or magnitude. So,
20 basically, when you buy a car today, you don't
21 expect to have nearly as much trouble to have to
22 take the thing back to the dealer by an order of
23 magnitude as you did 10--well, actually, 20 years
24 ago.

25 On-site inventory, these are basically the

1 parts being held at a main assembly plant. The
2 on-site inventory levels have dropped, essentially,
3 by an order of magnitude. And, similarly, the
4 incoming quality problems from vendors, because as
5 you can imagine, you buy all kinds of bits and
6 pieces to make a car. And there are very complex
7 vendor chains. The incoming quality problems have
8 decreased by two orders of magnitude.

9 So, I would ask you to consider two
10 things, okay? The first thing I would ask you to
11 consider how much, the car you drove here today
12 with air bags that weren't there before; antilock
13 brake unit, wasn't there before; an engine that you
14 don't have to change the spark plugs for 100,000
15 miles, that wasn't there before. And how much that
16 car would cost if this hadn't happened. Quite, it
17 would be astonishing. Actually you wouldn't be
18 able to buy that car. That car would not be able
19 to exist because people wouldn't be able to afford
20 it.

21 The second thin I would ask you to
22 consider, is what would happen if you were able to
23 trust your vendors more by two orders of magnitude.
24 Or if you were able to run, basically, five times
25 as much material through your existing

1 Cyber-licensed facilities or CDER-licensed
2 facilities, without having to--like I have a fair
3 idea of the costs associated with licensing new
4 manufacturing facilities in the pharmaceutical
5 industry. That's the kind of transformation that
6 the automotive industry underwent. And I believe
7 that you're on the way to experiencing similar
8 transformations.

9 Now, what I would like to do, once again,
10 the automotive industry wasn't a monolith or
11 anything, but what I'd like to do is, I'd like to
12 hit upon six--six major changes that happened to us
13 and it's the kind of thing that you can only look,
14 at with the benefit of 20/20 hindsight, you know,
15 because at the time it was kind of messy, you know.
16 Like when Iacocca blew up that assembly plant on
17 television, we all cried for three days, it was
18 kind of unpleasant.

19 So, think about these, basically these
20 three things. The first thing that changed is the
21 understanding of what our customers wanted. The
22 perception of who our customers were and what they
23 were willing and happy to pay for. And we realized
24 that the pharmaceutical industry already has a very
25 strong understanding of that but the FDA is

1 essentially a customer of the pharmaceutical
2 industry. And how does that relationship play out.

3 The second thing is to be mindful of your
4 competition. Know exactly what your competition is
5 doing and I think that this is, for instance, a
6 marvelous forum to drive a certain amount of
7 standardization in the industry. The automotive
8 industry is the most benchmarked industry on the
9 planet. There are people benchmarking everything
10 imaginable about automotive. And I think that's a
11 very good thing.

12 Develop a strong focus on product quality
13 and finished goods quality, which you already have
14 obviously, but that was actually needed in the
15 automotive industry, because the '50s consumer
16 culture said anything you can make people will buy.
17 So we actually needed to develop that an
18 understanding of what our customers were willing
19 and happy to pay for.

20 But these final three things, I think you
21 actually, I think may be of more use to you. When
22 I looked at the--at the situation in your industry,
23 I said, well, what's your product life cycle?
24 Basically, to simplify it, how long is a product in
25 production and a typical number might be ten years.

1 In the car business nowadays, a typical number is
2 three years, but despite the fact that a given
3 product is only actually made for three years,
4 there's an absolute flurry of continuous
5 improvement activity going on through that full
6 three-year period of time, right up to the point
7 where you stop making a model. You continue to
8 improve it. And the reason is very simple--it's
9 very simple because those improvements don't last
10 just the life of that particular model, those
11 improvements are fed back into design, development,
12 and the launch process for the next models.

13 So you have a marvelous opportunity--a
14 two-fold opportunity if we can find to make
15 continuous work in your part of the world.
16 Because, first, you have very long product cycles,
17 so anything you can do to improve the quality, the
18 reliability, efficacy that lower the cost of the
19 products that you make, you'll have a huge period
20 of time to realize the returns on that. So from
21 the manufacturer's point of view, as well as the
22 customers' if a way can be made to achieve that
23 that will be extremely good. Plus, you'll be able
24 to feed that forward to future endeavors.

25 The second thing is a focus on integration

1 of effort. Car companies are big. Car companies
2 are extremely big. Car companies have many vendors
3 and suppliers who are also extremely big. Each of
4 those entities has functional groups in them--
5 manufacturing, quality, engineering, marketing. In
6 the evolution of the automotive industry of a
7 greater working together type of evolution has come
8 around in mechanisms of actual structures to manage
9 the life cycles of products and to speed the life
10 cycles of products and to actually force
11 collaboration between functional organizations has
12 come about in the automotive industry.

13 And I believe that that would be of great
14 value to this industry, because, to start with,
15 it's a huge industry, the companies are very large;
16 the regulatory bodies are very large; the supply
17 base is very large. And that integration of
18 effort, in conjunction with improvement can be an
19 extremely powerful thing.

20 The final element on this list is a
21 redefinition of mass production. Henry Ford
22 invented mass production so the automotive people
23 thought they had it down cold until Toyota
24 re-reinvented mass production and we like to think
25 we've re-re-reinvented mass production over the

1 last decade. And some of the key elements are
2 elements that you have been talking about today,
3 elements around understanding your processes;
4 around process as well as process quality; around
5 understanding the quality of the raw materials and
6 designs that go into the products that you prepare;
7 and, also, an understanding around error proofing
8 of those processes and those products so that the
9 likelihood of problems arising on an ongoing basis
10 are drastically reduced.

11 And those are messages that I would like
12 to carry to you from the automotive industry. If
13 those things are possible; that they seem
14 expensive, but the payback is huge because of the
15 time that's working in your benefit, especially
16 with the large, at least in certain areas, the very
17 large product lifetimes that you have available to
18 you.

19 Now this is just a brief slide around our
20 perception about the similar things that are
21 arising in your industry to what we saw, say, in
22 1980 in the automotive industry. We see pricing
23 pressures; we see pricing pressures, especially in
24 the United States around government bodies, HMOs,
25 PPOs. We see the issues or regulatory pressures in

1 your relationships with regulators. Those are
2 pressures will continue. We see patent protection
3 pressures, you know, the current paradigm around
4 the expected life cycle of a product and the number
5 of new products entering that life cycle pipeline.
6 There seems, from our outside perspective, to be
7 growing issues around, basically, the number of new
8 drugs that are being launched at any given point in
9 time and the ability to bury the R&D costs.

10 And we would submit to you is that
11 manufacturing can give you so much more in terms of
12 resources to then plow back into your R&D.

13 And, finally, foreign factors. You don't
14 have a Toyota, thank goodness, but there are issues
15 around the relationships with foreign regulators
16 and with manufacturing in various points in the
17 world and the need to harmonize and balance those
18 things.

19 So this is our current thinking. I guess
20 it's a little more specific around PAT, the prior
21 stuff was rather general. In PAT, I see,
22 basically, four issues that are challenges that
23 need to be considered. The first is around
24 learning to manage large volumes of data. And from
25 a statistical perspective, there's an astonishing

1 pitfall that awaits you and, I guess, we could,
2 anyone who wants to talk about the technical
3 details later, we can, but SPC is actually a survey
4 sampling tool that's applied to manufacturing. If
5 you do large volumes of sampling and you apply SPC
6 parameters, which we had the unfortunate experience
7 of doing, you basically drive yourself nuts.

8 Imagine your typical SPC run real paradigm
9 says that you can have, I guess, a false out of
10 control signal about 30 times in 1,000 by the time
11 you stack up the run rules. Well, that's okay, if
12 you only sample every shift, like Shoehart did in
13 1930 at Western Electric, but if you sample 1,000
14 times an hour, as you're likely to with PAT, then
15 you'll need a different mathematical approach to
16 handling that data and not basically drive yourself
17 into a tizzy, which is something we experienced.

18 The whole measurements systems thing and I
19 believe that you're already addressing that very
20 well, but your measurement systems have to be
21 reliable, they have to be accurate and a great deal
22 of energy in terms of development and in terms of
23 mathematical development and effort has been
24 expended in the automotive industry around our
25 measurement systems in order to understand how

1 we're doing and be able to react in real time.

2 Process understanding--this really
3 resonates with the discussions that you were having
4 this morning. Process understanding drives the
5 appropriate application of all this because if you
6 don't know what to measure, then you're going to
7 dump tons of resources into measuring things that
8 you actually don't need. You'll expend these
9 resources and you will needlessly chase ghostly
10 images of poor product quality, so good product
11 knowledge is, of course--and process knowledge is
12 at the core of all this.

13 And, finally, one thing that I was very
14 excited to hear this morning, was the notion of
15 simplicity and parsimony in all things. There's a
16 temptation that we fought in our industry for the
17 last decade that's been brought about by the amount
18 of high speed data acquisition equipment that's
19 become easily available, relatively cheaply
20 available, Sensor SCADA, so you find yourself able
21 to measure all kinds of stuff and not necessarily
22 knowing that you're getting value out of that
23 volume of measurement. So, simplicity and
24 parsimony and making systems such that errors are
25 unlikely to occur, are extremely valuable. And the

1 whole discipline of error proofing and pokeyoke
2 [ph], which, once again, is a bit of a technical
3 specificity is, I believe, of extreme value to the
4 pharmaceutical industry.

5 So those are our final comments.
6 Basically, that we believe Process Analytical
7 Technology is an important step on the, I guess,
8 what you might call the quality journey of the
9 pharmaceutical industry and we believe that this is
10 an excellent thing. Thanks.

11 MR. KLEVISHA: Thank you very much. I'd
12 like to introduce myself and a colleague. My name
13 is Dan Klevisha, I'm the vice president of Bruker
14 Optics. I guess, in terms of PAT, you can think of
15 Bruker Optics as a sensor supplier--a vendor
16 supplier of sensors. My background is not in
17 pharmaceutical analysis and production, but I
18 believe that some of the experience of our
19 companies have applied in different industries are
20 relevant to PAT and justify this initiative. And
21 our presentation will be in two parts, Tom Tague
22 will present a second part. Tom is a senior
23 applications chemist at Bruker Optics, in
24 Massachusetts, and he'll discuss some of the
25 strategies for partnering for development of new

1 technologies.

2 So, I believe that it is very apparent and
3 has been said several times quite eloquently that
4 the PAT initiative is extremely important. I think
5 you can take lessons from other industries and,
6 certainly, apply it to the potential of PAT.
7 Certainly in the chemical industry, the polymer
8 manufacturing area, Process Analytical Technology
9 was initiated and implemented as an innovation and
10 a way to improve profitability and it has moved
11 from that to being absolutely essential to compete
12 in today's global market. And we see many examples
13 in industries outside of the pharmaceutical area
14 where I could call it the PAT equivalent
15 application of Process Technologies have taken
16 chemical companies that used to introduce a lot of
17 off-spec material to the point that they've
18 eliminated off-spec material creating an
19 opportunity for the payback and
20 return-on-investment of one in two months, in some
21 cases.

22 And in other cases where the testing for
23 analytical services for the polymer industry has
24 been reduced from maybe 20 technologies down to
25 just a handful of technologies that could be

1 administrated to a whole plant by just a small
2 number of people. So there's certainly a lot of
3 opportunities for a great deal of cost efficiency
4 in manufacturing.

5 The aspect that we would like to very
6 briefly touch on is what is a vendor and the
7 essential nature partnering to achieve the goals of
8 rapid and efficient PAT. I think you can kind of
9 break down many of the opportunities of PAT sensors
10 into the application of what would otherwise be
11 established technologies, such as Newark Thread
12 [ph] for online driers and blenders and content
13 uniformity measurement equipment that's been well
14 used in laboratories and even in some process
15 situations but is not nearly fully exploited to the
16 potential that is available to the pharmaceutical
17 market.

18 So these are more or less existing
19 technologies that can be applied on a greater scale
20 in, perhaps, innovative and unprecedented ways.
21 And there's a whole area of new technologies that
22 simply haven't been possible before and both
23 present good opportunities for the future of PAT.

24 Our company manufactures vibrational
25 spectroscopy equipment near infrared, mid-infrared

1 and Raman equipment. And these technologies are
2 highly applicable because of their nondestructive
3 nature, real time analysis and applicability to a
4 wide range of processes. So we're viewing this as
5 somewhat narrow in terms of spectroscopy and
6 specifically vibrational spectroscopy in terms of
7 all the technologies but, clearly, there's
8 opportunities across all aspects of pharmaceutical
9 production for vibrational spectroscopy technology
10 and other analysis techniques. And I think that's
11 well understood within this expert panel here, so
12 it doesn't need to be reviewed.

13 If you look at the paradigm of the polymer
14 and chemical industry, you can see that a lot of
15 the historical usage of process equipment was in
16 the area of liquid analysis. Take a fiber optic
17 probe and put it in a liquid stream or reactor,
18 bypass line, something like that. And in the near
19 infrared for raw material testing, techniques have
20 been widely used. And those limitations, in terms
21 of use, have been widely expanded over the last few
22 years and I think will continue to do so with the
23 possibility of putting, for example, fiber optic
24 probes in blending and drying operations and the
25 possibility of using non-contact analysis, in this

1 case an FT-NIR system configured for drying
2 measurements and being able to measure solid-phase
3 materials very rapidly online has greatly expanded
4 the use of online technology in other industries,
5 and including in the pharmaceutical industry.

6 There are a whole range of tests that are
7 conducted on a laboratory basis that can
8 potentially administered online and to measure much
9 higher volumes of materials and much more precise
10 analysis than has been possible before and Tom will
11 touch on some of that, including the administration
12 of equipment that previously was limited to
13 laboratory use, such as FT process Raman and now is
14 readily available for use in a process environment.

15 One of the challenges, I think, going
16 forward, with respect to regulation of PAT is how
17 do you take all the various existing issues of how
18 do you get equipment that does the analysis that's
19 required to eliminate the need for more laborious,
20 slower analysis and chromatography titration to
21 what chemical analysis and implement all that in a
22 way that can be compliant with all the regulatory
23 requirements, such as 21 C.F.R. 11 and validation
24 and IQOPQ and things like that.

25 And much of that requirement for

1 instrumentation translates to the PAT environment
2 but potentially gets more complicated and more
3 challenging as equipment runs at real time in more
4 demanding environments.

5 The--I mean, some clear aspects of PAT is
6 that this needs to be a very broad-based technology
7 usage and that I think you can almost--maybe it's
8 not too strong a statement to say that some
9 technologies are going to be more of an
10 evolutionary implementation where they're already
11 in use and understood and they're going to be used
12 wider scale for process and there's also
13 possibility of some fundamentally revolutionary
14 stuff in terms of the instrumentation and the
15 benefits that can be received from manufacturers in
16 the pharmaceutical area.

17 So, I think I'd like to, at this point,
18 turn it over to Tom Tague who will discuss a little
19 bit of our concepts and strategies for partnering
20 with new technology development.

21 MR. TAGUE: It seems like, to me, over the
22 last 10 years or so when I've been involved with
23 instrument manufacturers as a scientist, that the
24 instruments are pretty much developed according to
25 what the vendor tries to look at the industry and

1 says, well, this instrument could be helpful and
2 then we go through a two- or three-year development
3 process and develop that instrument and then, kind
4 of throw it over the wall and then in the
5 pharmaceutical company you are kind of left with,
6 okay, is this technology useful, is this instrument
7 useful or are you trying to evaluate all the
8 instrumentation that's available out in the market
9 and say, how can I fit this into my program--into
10 my quality program and--or is it too much work? Is
11 the barrier too high.

12 And one of the things I hope that can come
13 out of these types of meetings is that we have a
14 mandate where pharmaceutical companies and vendors
15 will partner together to so that the implementation
16 of technologies takes place such that item 1
17 doesn't happen where you spend a lot of effort to
18 work with a product that has been on the market for
19 a few years and then, all of a sudden, a new
20 product comes out that makes the technology that
21 you just spent a couple of years incorporating into
22 your own business is completely obsolete.

23 One of the things that is also very
24 interesting from a vendor's point of view is to be
25 able to partner with pharmaceutical companies and

1 other manufacturers so that we can develop new
2 technologies and new products that will not only
3 help you, but help many different industries. And
4 I feel that you, certainly, have the attention of
5 all the vendors. And Bruker is, probably a good
6 example and you could probably line up 50 different
7 manufacturers and get the same exact words that we
8 would be very excited in partnering and be willing
9 to go that extra mile in doing so.

10 For Bruker, our business has changed over
11 the last five years, in that, when we do
12 development, we certainly have GMP, GCP, and GLP in
13 mind as Dan alluded to. Our packages--all our
14 software packages and products are intended to be
15 fully 21 C.F.R. 11 compliant and that's through out
16 interactions with you as being our customers.

17 And the 21 C.F.R. 11 compliance, I would
18 say, for Bruker, is maybe the first example for us
19 where we worked very closely with a few
20 pharmaceutical companies to find out exactly what
21 you wanted. Documentation was an issue, where at
22 first we thought we could provide documentation
23 that was always about 50 pages long and didn't
24 really have the detail that was necessary and the
25 end result, now, are very thick manuals that are

1 very comprehensive and can withstand the most
2 stringent evaluation by the FDA or, more
3 importantly, by your own internal regulatory
4 affairs people.

5 So we want to provide comprehensive
6 support for achieving your validation and your
7 monitoring goals. If we produce a product that
8 can't be used in your laboratory because it is not
9 compliance, then we've defeated our own purpose and
10 we've not--and we've wasted your time. So software
11 compliance and documentation are big for us.

12 One of the examples that you can look at
13 to is trying do direct analysis of a reaction
14 vessel, where, in the past you have to swab the
15 vessel and then do an HPLC measurement. It can be
16 a very tedious process and it's not a very
17 efficient process. It can be tedious, is not
18 really quantitative in nature. We developed a
19 product here that is pro-base, where you can just
20 go ahead and get the answer for how clean the
21 reaction vessel is right away.

22 I wanted to emphasize the next few slides
23 in talking about microanalysis. This is a really
24 good example as to--with the ways things are and
25 have been developed in the past, and to where they

1 can go in the future.

2 The first is this little compartment,
3 FTIR-based microscope. It's really good for
4 identifying materials, compounds, contaminates,
5 anything small, down to about 20 microns; takes up
6 very little space; it is--works into being
7 compliance with the instrument. You just observe
8 the sample and do reflection or ATR data collection
9 on it and it works very nicely.

10 But the next step might be to take this
11 type of product and integrate it into a rugged,
12 interferometer that could be taken right at line
13 and be able to solve problems for you very easily.

14 This is an example as to what it is
15 capable of. These are microbeads and you--there's
16 some dark field illumination there and you can see,
17 if you're familiar with infrared spectra, we're
18 able to very nicely differentiate between the two
19 different microbeads. Very easily done and the
20 spectra collected in a matter of a couple of
21 seconds.

22 Then we have a more research-oriented
23 microscope and, again, this is an example of where
24 this is a research tool that could be brought more
25 into the manufacturing environment or many of the

1 features.

2 Where you have very advanced visualization
3 capabilities of a sample and then pretty much any
4 mode of analysis to look at monolayers to doing ATR
5 spectroscopy or transmission. And you have full
6 data processing at this level, you can do
7 chemometrics on--full chemometric analysis on a
8 very automated way on the data that you might get.
9 And this is just kind of representative of the
10 tools that are provided by many vendors where
11 they're research oriented, but it's through a
12 partisanship that they could be brought into the
13 manufacturing world.

14 This is just another example of the next
15 stage up, which incorporates a lot of different
16 automation features into the product. And I'll
17 skip over that one.

18 The next item is chemical imaging and the
19 reason I wanted to go through these last two
20 examples is you can see in our own products where
21 there's been an evolution, just as with PAT, there
22 will be an evolution as to what can be implemented
23 in how the collaborations, at least, from the
24 initial stages will go forward. And, in this case,
25 you can collect the data over a very large area, it

1 may be as big as 6 mm by 6 mm in just a few
2 seconds. So now you can talk about looking at the
3 homogeneity of a tablet in a very short period of
4 time.

5 And you're essentially only diffraction
6 limited now, and you can perform chemometrics over
7 the--globally over the whole data block.

8 Someone--the previous two speakers have spoken
9 about manipulation of data, this is a really good
10 example as to what can happen.

11 You could take one of these imaging
12 systems and put it right at line and look carefully
13 at a tablet. The problem is that you can generate
14 100 megs of data with one--in one acquisition in
15 six seconds. So how do you manage all that data?
16 How do you get the information that you want out?
17 There has to be partnership for how to get the
18 answer without creating gigabytes of data in
19 seconds.

20 This is an example of looking at a bone
21 tissue. So if you wanted to monitor therapy and
22 that was the purpose of this investigation. You
23 can see visually the bone tissue and then look at
24 the infrared image.

25 And then spectroscopically speaking, you

1 can get at the information that you can't get at
2 any other way and that is, if you look at bone
3 modeled after two versus that of one-year, you can
4 see that due to--in monitoring the amount of
5 carbonate, which indicates the degree of
6 mineralization in the bone, you can see the changes
7 very nicely and you can see the changes over the
8 whole tissue of the bone in the same manner you
9 would any other large sample.

10 And then you can look at the chemical
11 profile and, maybe this is one of the most useful
12 parts of doing imaging and the numbers on the
13 bottom are small, but those are microns from 0 to
14 660 across the bottom. So we're talking pretty
15 small spacial resolution but getting a lot of
16 detail. So, in this case, if you were trying
17 to--in another case if you were trying to look at a
18 tablet, you can, again, imagine that you have a 6
19 mm by 6 mm area and gaining this type of spacial
20 resolution to find out how good your manufacturing
21 process is.

22 And this technology is available today and
23 it's available from more than one company. And yet
24 I fear that it may be many years before this type
25 of capability is implemented.

1 This is what it may--one rendition that we
2 offer that certainly does that type of job. You
3 have a macrosampling area, you can envision a
4 tablet hopper where you run tablets in there and
5 then, ultimately, I think--this instrument is not
6 capable of it because you're talking about 1,000
7 tablets a second instead of a couple seconds per
8 tablet, but I think that, from Bruker's point of
9 view, and from the information we're getting,
10 everyone would like to do every tablet. And so,
11 with close partnerships, that's the type of
12 information that can prove very valuable. In this
13 case, we used state-of-the-art FPA detectors and
14 video cameras to take care of that job.

15 Another interesting application is just,
16 kind of looking at using FT-Raman analysis and
17 saying, okay, how easy is it to look at raw
18 materials? Well, you can look through vials and
19 bags in the Raman in--with near infrared
20 excitation, so your raw materials identification
21 can be done very, very quickly. I've worked with a
22 couple of pharmaceutical companies over the last
23 few months at looking at these things and you can
24 identify with unit efficiency, raw materials in a
25 matter of just a couple seconds. So, it needs to

1 be done and can be done.

2 This is another example of mapping the
3 surface of a tablet using Raman. It can be done
4 many different ways, you can monitor the
5 concentration of aspirin very carefully. You
6 can--let's see--I'm not sure why my Microsoft
7 software's not showing that figure very well.

8 DR. HUSSAIN: Tom, we need to go on to--

9 MR. TAGUE: What's that? Okay, I'll skip
10 over that. Essentially, you can look at
11 cross-sections, as well as the tablet itself and
12 also the degree of hydration on the tablet surface.

13 And, lastly, we also offer other products
14 that are good for cellular analysis and
15 bacteriological analysis and the detail that we've
16 gone to, even here, is that, for example, with E.
17 coli, we can readily identify in just a few
18 seconds, almost a hundred strains--different
19 strains of E. coli, just by streaking the bacteria
20 on the zinc celinide [ph] plate, popping it into
21 the spectrometer and getting the answer right out.

22 And, in conclusion, I think the future of
23 PAT is bright, if people take action and the
24 partnerships actually do take place. I think all
25 sides are motivated. The FDA has called these

1 meetings and appears more than willing to
2 facilitate collaborations to--so that the
3 manufacturing processes can be more efficient, more
4 cost effective. And, certainly, the--I think
5 you'll find that the vendors are fully motivated.
6 And I thank you for your attention.

7 DR. LAYLOFF: Thank you very much, Dan and
8 Tom. And we'll continue now on our questions.

9 If we could go back to page 4, I'd like to
10 start back with Question Number 4c: To minimize or
11 disputes should a priori criteria be developed to
12 assess if a problem uncovered during PAT
13 implementation was present all along during the
14 prior manufacturing history of a product? Page 4,
15 number 4c.

16 DR. MORRIS: Just a question of
17 clarification, I think, Tom. When you say a
18 priori, does that mean that the data already exists
19 from the compendial [ph] testing to show that
20 there's a problem? I'm not sure--

21 DR. LAYLOFF: Seem to have criteria
22 established before you find the problem?

23 DR. MORRIS: But, I guess, because my
24 next, because it comes down and says for current
25 products that need improvement being considered

1 case-by-case. But I'm saying--I guess I'm confused
2 as to what criteria we're talking about.

3 DR. HUSSAIN: Let me try to clarify in
4 this instance. I think, since we have heard so
5 much about finding flaws--I mean, everybody seems
6 to be saying that we will find flaws. And I'm sort
7 of looking forward to saying that let's maybe
8 define some criteria whether something is a flaw or
9 not a flaw, you know. When you start with a
10 product and a good compliance history, is that
11 enough to say, that's fine. Whatever is there,
12 availability is observed is fine. Is that enough
13 or should we try to do something before to have
14 potentially avoid any disputes or disagreements?

15 DR. LAYLOFF: Set a threshold for the
16 dispute?

17 DR. HUSSAIN: Yeah.

18 DR. MARK: Well, during one of the breaks,
19 we got into a discussion with some of the other
20 people here and brought the question of, well,
21 suppose one of these process--I guess maybe sort of
22 jumping the gun on this question, but in response
23 and discussion on some of the earlier questions,
24 the questions came up, well, if something shows up
25 because you applied the process analytical

1 technology, what is the company going to have to do
2 about it? Are they going to have to jump on it
3 right away and put a priority over all their other
4 projects that ar going on? Are they going to have
5 to put it in the stream of research projects and
6 take care of it in due course, considering that
7 they have been manufacturing this product, you
8 know, in what was considered a satisfactory manner
9 until then? And that's probably going to be an
10 important decision that's going to have to be made
11 in terms of the guidance as to what's going to have
12 to happen?

13 MR. FAMULARE: I'm sorry, I think it's a
14 decision you're faced with under the current
15 paradigm when things become revealed to you that
16 there's a product, whether PAT gave it to you,
17 whether current validation and conventional testing
18 gave it to you. You know, we touched on in
19 question 4b, risk-based and what does that really
20 mean, in terms of the application of GMPs and
21 problems uncovered during PAT R&D efforts. And,
22 again, as a company that's responsible, maybe
23 risk-based wasn't the best term to use here, but
24 when FDA, for example, sees a problem, first of
25 all, it has to pass the, well what difference does

1 it make question, you know, Does it really make a
2 difference in the process or is it a just sort of a
3 specification that maybe we could re-evaluate in
4 terms of whether it's in the application process or
5 whatever.

6 The second issue is, what is the public
7 health impact, maybe, as opposed to saying
8 risk-based? If we see products that don't meet
9 their legal specifications, they're in violation.
10 We have ways of dealing with that. If it's a minor
11 issue, the company comes back with a corrective
12 action plan and it's the timing of it is
13 appropriate to the meaning of the violation, or if
14 it does have an effect on public health impact.

15 If it's a violation that may cause them to
16 question the prod being on the marketplace, we have
17 a criteria that we look at as to whether what the
18 public health impact is and should it rise to the
19 level of a recall? There's different
20 classifications of recall. So, I don't think it's
21 a new paradigm, I just think it's another factor
22 that goes into that paradigm.

23 DR. HUSSAIN: It's not a new paradigm, but
24 there is a difference. The difference is under the
25 current system, the product has no compliance