

1 How to do clinical trials in the chronic
2 and acute framework are clearly needing additional
3 input, improvements in design, styles, and methods,
4 and methods for inference. I will be very brief now
5 because I have some time to talk about the acute
6 setting, so now I just want to say one brief word
7 about doing research in the chronic framework.

8 [Slide.

9 Right now there are precious few, if any,
10 I am not aware of any clinical trials that have
11 really answered the question about what to do about
12 the fact that placebo patients in a chronic
13 framework drop out very rapidly, and statisticians
14 have developed both crude and very sophisticated
15 methods for imputing data, the crudest being the
16 last observation carried forward and variance
17 thereof, and the more sophisticated using methods
18 of multiple imputation developed by some quite
19 credible and rather brilliant statisticians.

20 In my view, none of those satisfies the
21 criteria needed to draw valid causal inference
22 because there is some form of informative censoring
23 going on in these trials, in particular, placebo
24 patients are dropping out because they are not
25 getting adequate relief, and adverse effects are

1 coming into play, so the censoring mechanism may
2 very well be informative.

3 A design has been used in other areas of
4 medicine, appears to me to be potentially very
5 relevant in this arena, and that is the so-called
6 withdrawal trial. The withdrawal trial is an
7 enrichment trial in which patients stay on the
8 trial for the 12 weeks, as Lee proposed, for
9 example, and dropouts are taken note of and there
10 is some kind of inference on the dropout rates
11 done, but the only patients who are relevant are
12 those who have stayed on and had satisfactory
13 response from the test treatment by the 12th week.

14 Those people, I believe should have a
15 criteria, for example, the one I described, at
16 least some X percent of the patients who started
17 the trial have to be around for the 12th week for
18 the drug to be considered a chronic medication.

19 At the end of that week, patients are
20 randomized into one of two groups. Half remain on
21 the trial that they started with, on the treatment
22 that they started with, they remain on the drug,
23 the other half go off the treatment they started
24 with, and go on to a placebo, and proof that the
25 drug works is contained in demonstration of placebo

1 treatment superiority during the subsequent period
2 of time. Depending on the drug, it might be a week
3 or two weeks thereafter.

4 This particular approach does away with
5 the need for imputing the values of dropout
6 patients to the end of the trial, and when patients
7 are dropping out in the first and second and third
8 week, the imputation really looks quite silly.

9 This is a proposal that I think needs some
10 time and attention, and hopefully will allow us to
11 draw better inference about the treatments we wish
12 to investigate.

13 Thank you.

14 DR. FIRESTEIN: Thank you.

15 The next speaker is Mason Diamond,
16 pharmaceutical consultant.

17 DR. DIAMOND: Thank you. My name is Dr.
18 Mason Diamond. I am independent consultant,
19 pharmaceutical consultant from the Boston area. I
20 am also Vice President at Engenium [ph] Research,
21 which is a contract research organization based on
22 North Carolina.

23 I am speaking today on my own behalf and I
24 paid my own expenses to attend this meeting. At
25 this moment, I have no financial arrangement nor

1 financial interest in any company or CRO currently
2 involved in the development of analgesics.

3 Before I begin, I wish to thank the FDA
4 and the Arthritis Advisory Committee for giving me
5 the opportunity to address this group.
6 Furthermore, I would like to commend CDER, Division
7 550, and specifically Dr. Simon and Dr. Witter for
8 taking this much needed initiative. To my
9 knowledge, no other regulatory authority has done
10 this.

11 My purpose in speaking today is to
12 highlight some concerns regarding the needs of the
13 elderly population. I strongly believe that these
14 concerns should be addressed in analgesic drug
15 development.

16 There are over 34 million Americans over
17 the age of 65 that are affected by pain. Research
18 has shown that at least 62 percent have taken
19 prescription medication for more than six months to
20 treat their pain.

21 More disturbing are the estimates that as
22 much as 80 percent of nursing home residents suffer
23 from painful conditions that go untreated.

24 Arthritis has been identified as the
25 single most common cause for chronic pain in the

1 elderly, however, it is not uncommon to see more
2 than one indication requiring analgesic therapy.
3 In addition, most elderly persons have multiple
4 medical problems that require multiple medications.

5 Many drugs used to treat these concomitant
6 conditions have not been sufficiently evaluated for
7 co-administration with each other, let alone with
8 many analgesics. As a result, the comprehensive
9 guidelines necessary to deal with the complex
10 safety issues in this population are not available.

11 It is the fear of possible serious and
12 life-threatening side effects that is often the
13 barrier to adequate pain treatment in older adults.
14 The situation is further complicated by progressive
15 cognitive and emotional difficulties encountered in
16 this population.

17 This makes medical evaluation and
18 management even more challenging. The net result
19 is that while in many cases the pain management
20 with drugs and other treatments are possible, each
21 year millions of older people are forced to endure
22 unbelieved suffering.

23 The elderly represent the largest number
24 of pain sufferers and purchasers of analgesic
25 products, yet, they remain in the greatest need of

1 innovative therapies.

2 In an effort to address this need, I would
3 like to offer some points to consider as we move
4 forward in our discussions of analgesic pain models
5 and clinical study designs.

6 First, inclusion/exclusion criteria. In
7 order to minimize response variability in our
8 clinical studies, it is common for us to enroll as
9 homogeneous a population as possible. While
10 scientifically sound, this approach tends to
11 exclude those individuals who may be most
12 representative of the target population.

13 For example, in arthritis trials, the
14 actual effectiveness and safety profile common to a
15 more frail elderly population may not be reflected
16 in the Phase III study results. My recommendation
17 would be to ensure a more representative patient
18 cohort in our pivotal clinical trials or conduct
19 separate studies specifically in this population.

20 Second, the pharmacokinetics and
21 pharmacodynamics of drug interactions significantly
22 complicates pain management in older adults. The
23 resulting side effects from polypharmacy, coupled
24 with the underlying medical conditions, can be
25 daunting to deal with.

1 It is not uncommon for the elderly to be
2 on five or six medications at a time and often
3 more. Although these issues have been discussed in
4 the FDA and ICH guideline documents, and drug
5 companies do go to great lengths to evaluate drug
6 interactions, these studies need to include more
7 older adults who are being treated for multiple
8 medical conditions since they represent the
9 ultimate beneficiaries of these new therapies.

10 Third, the duration of evaluation. The
11 most common pain problem in the elderly are chronic
12 and patients often take analgesic medications for
13 long periods of time, if not for the rest of their
14 lives.

15 Many adverse events become evident only
16 after long term use. Evaluations of 12 weeks or
17 even 12 months may not be sufficient to capture the
18 long-term risks and benefits of a particular drug.
19 I am sure that everyone here agrees that we are all
20 committed to bringing safe and effective
21 medications to the public as rapidly as possible,
22 however, we must also ensure that our research
23 provides the necessary information to enable
24 practitioners to better manage their patients
25 especially those on complex treatment regimens.

1 This could be accomplished by blinded
2 studies of longer duration or by employing longer
3 open-label follow-up extension studies, which would
4 provide this much needed information while not
5 impeding the drug development process.

6 Finally, outcomes evaluation, I think on
7 everybody's mind. In a search for better methods
8 to evaluate pain, we are focusing on objective
9 measures to incorporate into our study designs,
10 mechanism-based assessments, determination of
11 biomarkers for underlying diseases, and levels of
12 pain modulating biomolecules are some of the
13 options under discussion.

14 I feel that all these options should be
15 actively pursued, however, these approaches will
16 take some time to validate. Also, in many cases,
17 the objective evidence for underlying disease may
18 not correlate with the symptoms, and symptoms may
19 wax and wane spontaneously.

20 One solution is the utilization of
21 multidimensional pain outcomes. This includes pain
22 assessment, functional assessment, psychological
23 outcomes, and quality of life measures.

24 New assessment tools designed for both
25 cognitively impaired and unimpaired elderly adults,

1 such as the geriatric pain measure developed at
2 UCLA, are in the process of being validated. In
3 addition, there are very many well-established and
4 highly validated tools dealing with each of these
5 areas that are currently available, however, since
6 pain affects so many aspects of people's lives, no
7 one measure can adequately capture the overall
8 effect of any therapy.

9 For example, in an arthritis trial, it is
10 possible to show no change in pain level, but a
11 significant impact on the patient's ability to
12 function. This is due to an individual's ability
13 to adapt their level of activity to the level of
14 pain tolerance.

15 So, if a patient takes an analgesic that
16 enables them to climb stairs, walk a greater
17 distance, take care of themselves, or play with
18 their grandchildren, but continues to report pain,
19 I would still consider this a clinically
20 significant outcome.

21 In addition, the impact of pain on an
22 individual's psychological state and overall
23 quality of life is no less relevant than pain level
24 or functional status. Therefore, until we have one
25 system that measures all of these parameters, we

1 should evaluate efficacy based on more than one
2 outcome.

3 It is clear that the treatment of pain in
4 older adults is an enormous undertaking. No less
5 so is conducting clinical trials in the elderly
6 population. We must remember that the information
7 captured during drug development provides guidance
8 for practitioners in addition to satisfying
9 regulatory requirements.

10 Therefore, I believe that by addressing
11 the needs of the elderly during the drug
12 development process, we will enable the medical
13 community to more effectively treat the millions of
14 elderly patients through a need and bring them the
15 benefits of these new drugs.

16 Thank you.

17 DR. FIRESTEIN: Thank you very much.

18 The next speaker is Daniel Carr from Tufts
19 University.

20 [Pause.]

21 DR. FIRESTEIN: While we are waiting to
22 sort out our technical difficulties, why don't we
23 move ahead to the next person that is not using
24 slides.

25 Dr. Abraham Sunshine from Analgesic

1 Development.

2 DR. SUNSHINE: Thank you. I am Abraham
3 Sunshine, Professor Clinical Medicine at NYU School
4 of Medicine. I am President of Analgesic
5 Development. I appear here on my own, and I have
6 not received any compensation from pharmaceutical
7 companies to appear.

8 I was asking myself why did I want to
9 speak, and I think I can contribute in giving some
10 historical perspective on the analgesic guidelines.

11 The 1993 Guidelines, which we well
12 described by Dr. Fang and her associates, really
13 began in the eighties, and it took 10 years to get
14 a document that went through all the hurdles,
15 first, to get a consensus and then to get it
16 through the FDA.

17 So, that document is over 20 years old. I
18 want to acknowledge the work of Lee Simon and his
19 associates for initiating this conference, and also
20 the work of Ray Dionne who ran the consensus
21 meeting at the NIH.

22 The 1992 Guidelines really were driven by
23 investigators and industry who just didn't know
24 what to do to get an analgesic approved, and the
25 ground rules were changing with each drug that was

1 approved, so to move forward, it was thought that a
2 consensus would be helpful.

3 Now, the guidelines served us well. The
4 drugs that were being developed at that time were
5 acute analgesics. There were no drugs for chronic
6 pain, and the last thing a pharmaceutical company
7 would be interested in is developing a treatment
8 for neuropathic pain.

9 So, there was no discussion, as Dr.
10 Firestein pointed out, about how to conduct chronic
11 trials because there were very few chronic trials
12 or drugs being considered, and opioids for chronic
13 nonmalignant pain was a no-no. People didn't use
14 opioids for chronic nonmalignant pain.

15 I think advances have been made now, as we
16 saw fentanyl being used, patch being used in low
17 back pain, but we also know about the OxyContin
18 story, that anybody that had a backache was put on
19 dope and got into trouble.

20 The guidelines did permit us to develop
21 many of the NSAIDs both for Rx and also to define
22 an OTC dose. The technology was developed, so that
23 one could pick up the effects of 12.5 milligrams of
24 ketoprofen, and even 100 milligrams of ibuprofen,
25 and dose-response work was done using these

1 guidelines.

2 The guidelines also helped avoid
3 pseudospecificity, and I think this is an important
4 point because we are at a road where I think as I
5 hear rumblings, that we are going to
6 pseudospecificity. For example, dysmenorrhea was
7 understood to be a drug, recycled oxygenase was
8 involved, but in order to get a claim for treatment
9 of dysmenorrhea, one had to show that the compound
10 work as a general pain medication, and then, in
11 addition, in dysmenorrhea.

12 I was on the web site that Lee talked
13 about, and it really is a good web site and I see
14 that Google has helped you get this web site
15 working, and yesterday morning I came across CDER's
16 policy on OTC analgesics 1994, signed by Dr.
17 Woodcock, who clearly points out that to get a
18 claim for menstrual cramps, one needed two positive
19 clinical trials in appropriate pain models, and in
20 addition, positive clinical trial in an OTC
21 dysmenorrhea model.

22 I don't think these guidelines are being
23 followed at the moment, and now we are getting
24 pseudospecificity where drugs which really have a
25 broad implication in terms of pain management, are

1 brought labeled for dysmenorrhea, and not for
2 general pain.

3 The other that was important to emphasize
4 in the eighties and nineties is that small sample
5 sizes of 30 to 50 patients per treatment in a
6 single center generated important data, and data
7 where you got dose response to the NSAIDs.
8 Ketoprofen, from a dose of 12.5 milligrams up to
9 100 milligrams was clearly defined.

10 Today, and I don't know the reason, one
11 needs hundreds of patients per treatment arm and
12 then there is a lot of deliberation is the drug
13 better than placebo.

14 One of the problems, I don't know that it
15 was discussed so far, is combination therapy. Very
16 few combination drugs have been approved. I mean
17 there are combinations of ibuprofen with opioids,
18 and there is a combination of tramadol with
19 acetaminophen, so polypharmacy didn't get ahead.

20 One of the reasons, it was extremely
21 difficult to show the contribution of each of the
22 ingredients. Although we know that codeine works,
23 and we know ibuprofen works, put them both
24 together, and the results were not convincing, so
25 there is no ibuprofen-codeine product even though

1 it was attempted many times.

2 I think as you move forward with the
3 guidelines, it is clear that polypharmacy is here
4 to stay. The other thing, polypharmacy was
5 discovered by patients, not by CDER, not by the
6 industry, but if you look back, there was Empirin
7 compound, acetaminophen, and aspirin--Dr. Brandt
8 talked about that--and caffeine. Then, there was
9 Empirin with codeine, and these were drugs that
10 just over time were found to be helpful, but when
11 pure science came to play, combination therapy was
12 a no-no, and you had to prove the contribution of
13 each of the compound.

14 When Burroughs-Wellcome took caffeine out
15 of Empirin compound, the sales of Empirin compound
16 plummeted, much like the stock market is doing
17 today, and that compound is off the market. I
18 think that caffeine has a role as an analgesic
19 adjuvant.

20 DR. FIRESTEIN: Dr. Sunshine, could you
21 please wrap up? Thank you.

22 DR. SUNSHINE: Okay. I think as we go
23 ahead that we have to develop tools to explore all
24 the contributions of the neuroscientists that Dr.
25 Woolf discussed today, so that we can utilize the

1 information to develop better drugs. Time does not
2 permit me to go into that aspect, but in five
3 minutes I couldn't answer the question, so I think
4 it is going to take maybe not 10 years, but a
5 couple of years.

6 Thank you.

7 DR. FIRESTEIN: Thank you very much.

8 I believe now our information technology
9 problem has been solved, and we can now go back to
10 Dr. Carr's presentation.

11 DR. CARR: I thank the committee very much
12 for having invited me down here. In particular, I
13 think Lee and Jim Witter, and as did the prior
14 speaker, I thank Ray Dionne for having organized a
15 preconference and also Ms. Reedy for getting me
16 down here.

17 As I was listening to the erudite and
18 complex discussion earlier today, I wonder what
19 might there be that hadn't yet been said. So, I
20 titled the title of this 10-minute presentation
21 "What might still be said, that hadn't yet come
22 across," and I am speaking from a rather
23 distinctive point of view of a clinician, but I
24 would like to call attention to a great resource
25 that I think has yet not been tapped, and should be

1 tapped, which is that the evidentiary body upon
2 which clinicians seek to make recommendations for
3 therapy and to treat their patients, insofar as
4 analgesics are concerned, in large part, derives
5 from approval trials.

6 So, I would say that there is an
7 opportunity to render this very robust
8 data-generating process much more useful to
9 clinicians and therefore, their patients.

10 [Slide.

11 Now, to try to lighten the postprandial
12 stupor, I thought I would begin by posing four
13 simple questions. The first is--and these are
14 reasonable questions--who won the last presidential
15 election? Did X Corporation make money or lose
16 money? As Dr. Sunshine mentioned, we are all
17 interested in that.

18 What kind of pain does my patient have,
19 and what is the most effective treatment for my
20 patient's pain? In the interest of time, I am not
21 going to cover the first two questions, but I will
22 say that in try to cover or provide mustering of
23 evidence to answer the third and fourth questions,
24 I have had the privilege to be involved with some
25 wonderful individuals over the years, with Ada

1 Jaycox for the old AHCPR acute and cancer pain
2 guidelines, and more recently with Joseph Lowe and
3 Leo Gudis and others for work with AHRQ.

4 So we have actually made an earnest effort
5 to try to muster the evidence. This report, which
6 can be cited or traced through the AHRQ web site,
7 on cancer pain, involved screening over 18,000
8 titles. A couple of weeks ago, there was an NIH
9 State of the Science Conference held here in
10 Bethesda, as well, just down the block, and for
11 that we screened an incremental 6,000 titles
12 relating to cancer pain.

13 So, we have made an effort to try to
14 muster the evidence.

15 [Slide.

16 At the same time, and I am sorry if I
17 repeat what you have heard before, but I am just
18 putting things that I think clinicians might tend
19 to focus on, is that recent insights, much of them
20 accomplished by individuals in this very room, to
21 my mind have blurred the boundary between acute and
22 chronic pain.

23 Pain is itself a widely distributed
24 process, and I am not sure we have mentioned the
25 brain yet, but the brain and imaging of the brain

1 are both very important factors to consider in
2 understanding pain.

3 I think we have heard, although perhaps
4 not in these words, that chronic pain is itself a
5 disease, and a theme that has popped up again and
6 again amongst different speakers is that the field
7 itself has arrived at what you might term
8 combination analgesic chemotherapy, much as one
9 uses combination chemotherapy for other conditions.

10 In fact, the onset of the disease of
11 chronic pain is potentially very rapid. If one
12 looks at epidemiologic data from the 1999 IASP book
13 on Epidemiology of Pain, edited by Crombie or the
14 2000 Review in Anesthesiology by Perkins and
15 Kehlet, it is quite clear that many patients who
16 undergo operations of any kind will develop
17 persistent pain.

18 I think this is an under-recognized
19 epidemiologic factor, but it is very, very
20 important, and I am actually surprised that this
21 market opportunity hasn't been seized upon. There
22 is also much insight into the long- and short-term
23 benefits of aggressive therapy, although in the
24 preemptive analgesia area, it is clear that a
25 single drug is unlikely to make an impact.

1 We have also had evolving understanding of
2 drug pharmacokinetics and pharmacodynamics in
3 particular appreciating the diversity of
4 individuals according to gender or ethnicity or
5 even as far as interpretive aspects go, culture.
6 There has been tremendous insight into
7 understanding the mechanisms of opioid tolerance,
8 and we are just beginning to see the emergence of
9 insight into disease-specific mechanisms, such as
10 in cancer.

11 For example, I refer to work by Debar and
12 colleagues on identification of endothelin-1 as a
13 cancer-specific mediator. Nonetheless, as one has
14 tried to consolidate all these published trials,
15 and by the way, I think the efforts to
16 consolidation are themselves an advance through
17 Cochrane or evidence-based practice centers, the
18 fact remains that the vast majority of most pain
19 treatment is empiric and generic.

20 In other words, one starts with
21 acetaminophen, perhaps switches to a nonsteroidal,
22 perhaps has a so-called weak opioid, or perhaps
23 changes the weak with a strong opioid, which is the
24 same algorithm you might follow for a badly
25 sprained ankle, as cancer pain.

1 [Slide.

2 One of the big problems in trying to
3 organize the evidence is that the evidence itself
4 is quite flawed, and I think the FDA can help
5 future generations. Randomized, controlled trials
6 are a tiny fraction of the pain literature. It is
7 quite shocking, but when we did the acute pain
8 guideline in '92, we pulled 13,000 titles, of which
9 675 were randomized, controlled trials.

10 Last year, when we did the cancer pain,
11 roughly 20,000 titles screened, as you saw, about
12 180 were randomized, controlled trials, and for the
13 interim State of the Science NIH Consensus
14 Conference, we got another 6,000 titles. We
15 boosted that figure from 180 to 216.

16 What are all these other trials? The vast
17 majority are observational or describe a technique.
18 Because of the nature of the literature, so many
19 different types of diagnoses, patients, and outcome
20 measures, it is impossible to do a quantitative
21 meta-analysis for most of the clinically important
22 questions.

23 In fact, for the State of the Science
24 Conference two weeks ago, of the 218 retrieved pain
25 trials in cancer pain, there were 125 different

1 pain-related instruments that were employed.

2 Now, granted, some of the differences were
3 in a 3-point scale versus a 4-point, versus a 10-
4 or 11-point scale, but the fact of the matter is
5 there could really be a great service done to
6 insist upon some standardization for pooling of
7 this colossal, but difficult-to-combine body of
8 knowledge.

9 The generalizability of the trials, as you
10 have heard before, is limited by inclusion and
11 exclusion criteria. The clinician is treating an
12 individual who has comorbidity, who may be elderly,
13 who is taking other drugs, and these are not
14 represented in the data upon which the evidence is
15 based.

16 A very important factor is the relatively
17 small amount of focus placed upon side effects.
18 Side effects, including adverse events, but even
19 predictable side effects are what keep many
20 patients from achieving good pain relief, such as
21 with opioids, and it would be wonderful if there
22 were a non-punitive shift in the process, so that
23 side effects could be monitored prospectively and
24 with greater precision than in the past without
25 penalizing the sponsor of the trial.

1 One has a sense from the literature that
2 previously, there was a process set up which
3 encouraged actually underpowered trials, that is,
4 few patients per trial. If one looks at the actual
5 retrieved trials for cancer pain treatment, for
6 example, these are on the orders of dozens of
7 patients per trial, but if you look at cancer
8 treatment, such as primary chemotherapy, through
9 collaborative groups, these number hundreds or
10 thousands.

11 In fact, if one were to calculate the
12 number of patients, let's say, with cancer pain
13 versus the number of patients enrolled in trials,
14 these are a tiny, tiny fraction of those with the
15 condition.

16 [Slide.]

17 Well, what about that question, is this
18 treatment helping, well, to translate efficacy data
19 into effectiveness is the mission of a clinician,
20 and thus far I have called attention to some gaps
21 in the literature and what FDA can do to help.

22 I would say that to patients and their
23 families, the primordial outcome is low pain
24 intensity. On the other hand, particularly with
25 the treatment of chronic non-cancer pain, quality

1 of life often trumps the pain intensity on a visual
2 analog scale. Very often the approach to treatment
3 of chronic non-cancer pain is to encourage patients
4 to do more even if their visual analog scale does
5 not go down, and as you have heard, very commonly
6 in the clinical setting, patients self-titrate to a
7 visual analog scale, which may be moderate pain,
8 but they are able to do more.

9 We need standardized consensus
10 instruments. Right now there is an effort underway
11 that I am privileged to be involved with. It's a
12 tripartite collaboration of the Joint Commission
13 AMA and NCQA to try to develop performance measures
14 to evaluate the implementation on site of JCAHO
15 guidelines, but this is a bit of a struggle.

16 We will get the job done, but is not
17 helped by the proliferation of instruments.
18 Obviously, you have heard a lot of erudite comment
19 about the need for generic versus
20 condition-specific instruments.

21 One caveat is that coarse instruments, and
22 the SF-36 is a coarse instrument, may overlook
23 benefit, which is actually done to patients. I
24 guess it's a disclaimer, I have been involved in
25 the development of the Treatment Outcomes of Pain

1 Survey from Tufts or TOPS scale, that is
2 essentially an augmented condition-specific SF-36
3 validated for patients with chronic pain.

4 Of course, we are aware that we can't just
5 administer endless questionnaires because of the
6 burdens on patients and clinicians. I have already
7 mentioned that side effects seem to be approached
8 very differently in the literature, in a much more
9 cavalier haphazard way than are the desired
10 outcomes, but they are often the thing that stops
11 the patient from getting better. They just can't
12 increase the dose.

13 So, are there things one do towards an
14 answer?

15 [Slide.

16 Well, I personally believe that to frame
17 compartments about acute pain or chronic, to say
18 when does acute become chronic, it is a little bit
19 of a misleading question because it equates a time
20 course with a mechanism, but we all know there are
21 many instances of prolonged acute pain, such as
22 labor pain or arthritis, a sunburn or if someone
23 comes in with an obstructed viscus, which are
24 cured, and they never become chronic pain, or even
25 repetitive pain like muscle bruises or soreness in

1 athletes, for instance.

2 Therefore, one must infer that nociception
3 itself rarely induces chronic pain except perhaps
4 when there are psychosocial factors. These are the
5 small accidents that evolve into disabilities.

6 On the other hand, the progression of
7 acute to chronic pain is well documented
8 clinically, and as I have mentioned, is a big
9 problem in epidemiologic terms.

10 DR. FIRESTEIN: Dr. Carr, would you wrap
11 up. Thanks.

12 DR. CARR: The last slide, I think, but I
13 will wrap this up in a minute.

14 [Slide.

15 I would submit to you that we have to look
16 at the evidence and apply logic and distinguish
17 between intense nociception, which most of us imply
18 by the phrase acute pain, versus the rapid onset of
19 peripheral and central nervous system
20 reorganization, that Professor Woolf spoke to you
21 about.

22 There seems to be a clue that if you have
23 concurrent nerve injury and intense nociception or
24 inflammation, that increases the risk, so in an
25 ideal world, if we all did our jobs, there would be

1 prospective identification, planning for patients
2 at risk, individualized anti-nociceptive and
3 behavioral interventions, effective treatments
4 chosen according to evidence, and combined, these
5 would be titrated, we would monitor standardized
6 outcomes to validate and calibrate our practice.

7 In so doing, we would accomplish our
8 mandated continuous quality improvement, we would
9 meet JCAHO standards and identify best practices.
10 Then, we would follow up people and we would assess
11 long-term cost and benefits.

12 Thank you very much for your attention.

13 DR. FIRESTEIN: Thank you.

14 The next speaker is Dr. Ann Berger, Chief,
15 Pain and Palliative Care at the NIH.

16 DR. BERGER: Thank you. I want to also
17 thank Radion and James Witter. In looking at what
18 I could offer here, it is similar to Dan in that I
19 can offer the clinical perspective of pain and
20 palliative care.

21 Prior to coming here, I had run both the
22 Pain and Palliative Care Service at Yale and at
23 Cooper Hospital, which is part of the University of
24 Medicine and Dentistry of New Jersey, so I have had
25 a lot of experience with palliative care patients,

1 as well as chronic benign pain patients.

2 In looking at the total pain picture, I
3 brought a handout and I am sorry I didn't make a
4 slide, I didn't know we could show slides, the
5 total pain picture is really made up of the
6 physical pain, which at least clinically, from my
7 experience, is usually not just neuropathic pain,
8 it's not just visceral pain, it's not must somatic
9 pain, it is usually a combination pain.

10 So, it is going to be pretty difficult to
11 say you are going to do a study just on neuropathic
12 pain because unless you are talking about something
13 like brachial plexopathy or diabetic neuropathy,
14 because many of the pains are mixed pains.

15 We see this all the time with patients,
16 but then besides the total pain picture of being
17 all those physical different mechanisms, we have a
18 whole element of suffering, and I think that is
19 where we really miss the boat in medicine.

20 The suffering components is not only
21 depression, it is not only the psychological
22 states, but it is social issues, it's loss issues.
23 When somebody came up and spoke about pain in the
24 elderly, that's a huge problem and partly it's a
25 huge problem because the loss issues are so huge.

1 These are people who have lost their pets,
2 their furniture, their families, their friends, and
3 that is something we never take into account.
4 Suffering also involves spiritual concerns, and for
5 anybody in pain, whether they are religious or not,
6 it is always a spiritual issue because anyone who
7 is sick or anyone is in pain, it's why is this
8 happening to me, purpose-meaning type issues, as
9 well as social family functioning, physical
10 disability, and for palliative care syndromes, it
11 is fear of death.

12 Now, the only difference in my mind
13 clinically, when I look at a patient, is, is this a
14 palliative care patient or is this a chronic benign
15 pain patient, and the way I define that is
16 palliative care are patients that can ultimately
17 die from their disease, so they have a
18 life-threatening disease, something like cancer,
19 something like HIV disease. Clearly, there are
20 lists of those, you know, because many diseases we
21 don't cure, so COPD, CHF, you know, many diseases.

22 Chronic benign pain are patients like with
23 low back pain, fibromyalgia, endometriosis, chronic
24 pancreatitis, and these people are not going to die
25 from their disease, but the treatments really need

1 to be very similar to the cancer pain population.

2 My background and how I got into this, I
3 was initially an oncologist and I consider myself a
4 reformed oncologist, and actually started the
5 Palliative Care Service at Yale, and at the time
6 started ending up seeing a lot of chronic benign
7 patients.

8 How did that happen? It happened that an
9 oncologist was doing that because the principles
10 were the same principles. So, you know, it is not
11 unusual to get lower back pain, reflex sympathetic
12 dystrophy, fibromyalgia, and I was a little
13 concerned with looking at the guidelines to say,
14 well, you are going to just divide it up into
15 little departments of all these different pains,
16 when it is really a much broader issue, and these
17 chronic pain patients are very similar in many,
18 many ways.

19 What has struck me so many times, you
20 know, initially, when I got into more of the
21 chronic benign pain part, but just all the time, is
22 that the suffering issues of these patients are at
23 least as much, if not more, than the palliative
24 care, cancer pain, HIV population, overwhelming.

25 So, I say that this is a component that we

1 have missed in medicine, we have missed the boat
2 because we always think that there is a medication
3 for that, and there is no medication for suffering.

4 I would like to share an example of a
5 patient that I took care of for a while in New
6 Jersey, a man who had back pain after being
7 disabled on his job as truckdriver, and he ended up
8 going for all kinds of epidural injections, facet
9 blocks, and continued to have pain, then had
10 surgery, and continued to have pain.

11 I mean we all know the story, we have all
12 seen it many times, and he actually became more
13 depressed, was seeing psychiatry, was put on four
14 or five different antidepressant type medication
15 anti-anxiety medicines, was in a stupor, but was
16 still having pain, and ultimately ended up going to
17 a neurosurgeon to have a dorsal com stimulator
18 placed, which failed. Not a big surprise that this
19 failed.

20 At this point, they said all right, send
21 him to Ann, she seems to know how to fix these
22 people. He came to my office crying, crying,
23 crying with his wife, and so we started--the
24 assessment I do is the same like I would on a
25 palliative care patient. I am like what is going

1 on here, what is going on.

2 He was a truckdriver, had lost his job,
3 again, all these losses, had lost his job, lost his
4 finances. This was his whole self-esteem to be a
5 truckdriver. Six months later his daughter
6 actually died of a brain aneurysm and left him with
7 a six-month old baby. Two years after that, his
8 father died of Alzheimer's, and a year after that,
9 his sister died of bone cancer.

10 This is not an unusual story. This is a
11 story that comes into my office every day, whether
12 the patient has low back pain or RSD or
13 fibromyalgia, the stories are usually very similar.
14 The losses are very similar.

15 In terms of the suffering component, the
16 only thing that helps that is all the
17 nonpharmacologic things, counseling. There is no
18 Prozac, there is no Zoloft, there is no medicine.
19 It is counseling, it's art therapy, it's music
20 therapy, it's pet therapy, it's acupuncture, it's
21 Reiki, it's spiritual, it's all these other
22 components.

23 In terms of, in my mind, when I look
24 clinically at a palliative care patient versus
25 chronic benign pain, really, the most important

1 difference in terms of how I treat them medically,
2 with the medications, is clearly, if they are
3 palliative care, quality of life has to come first,
4 and you are absolutely correct, function may not
5 increase.

6 You know, sometimes just being awake and
7 breathing is increased function. Whereas, in
8 chronic benign pain, yes, we expect function to
9 increase, and that is the big difference. I don't
10 care what numbers the patients are using. This
11 guy I was talking about before was on heavy doses
12 of oxycontin, up to actually 2,400 milligrams, and
13 still remains at that dose.

14 It didn't matter because he started
15 working, he was functioning after this, and that is
16 the important thing, are you functional again if you
17 have chronic benign pain.

18 The things that I think we don't have
19 enough data on, we clearly don't have enough data
20 on cancer drugs, on neuropathic pain, and also on
21 things like post-treatment pain syndromes. It is
22 very interesting that we don't look at
23 post-treatment pain syndromes.

24 Again, in the elderly, people who have
25 multiple, multiple operations, it is not unusual

1 that they are going to have pain after their
2 operations, and this is not something that we think
3 about. It is not only postmastectomy pain,
4 postnephrectomy pain, but it is anytime a surgeon
5 lifts the knife, you could ultimately end up with
6 chronic pain, so a lot of people with abdominal
7 surgery, it is from endometriosis, from
8 pancreatitis, from whatever.

9 DR. FIRESTEIN: Thank you very much.

10 The next speaker is Dr. Thomas Schnitzer
11 from Northwestern.

12 DR. SCHNITZER: I appreciate the
13 opportunity to be here to speak today. I am here,
14 although I do interact with the pharmaceutical
15 industry significantly, I am really here
16 representing myself as a rheumatologist, a
17 Professor of Medicine, and Assistant Dean for
18 Clinical Research at Northwestern University,
19 Feinberg School of Medicine.

20 [Slide.

21 I actually wanted to talk about three
22 specific things. I had three topics that I thought
23 I would want to discuss, but, first, I would really
24 like to commend the FDA, both of the divisions that
25 are here, and Dr. Witter and Dr. Simon for their

1 ability to bring together this discussion, which I
2 think is clearly, after the discussions we have
3 heard today, much need.

4 There were three topics I really wanted to
5 talk about, but given the fact that I had limited
6 time, which manages to focus you intensely, decided
7 to really cut down to really just speaking about
8 two of these, the nosology of chronic pain, which I
9 think we have heard a lot about, I will not speak
10 to further.

11 But I would like to talk about the
12 methodology of the efficacy trials, particularly in
13 musculoskeletal pain, really in an attempt to
14 demonstrate I think some of the limitations and
15 some of the opportunities and that exist in terms
16 of methodology.

17 As I am talking to my clinical
18 pharmacology colleagues, I think what is clear, as
19 they say, is that a really good investigator can
20 design a trial that will give the results that he
21 or she wants. So, study design is actually
22 critical, and what I would like to do is focus on
23 the traditional study design we have used to
24 demonstrate some of the limitations of this design,
25 and then to talk about opportunities.

1 [Slide.

2 In the area that certainly I have had 15
3 or 20 years experience, a flare design, whether it
4 is osteoarthritis, rheumatoid arthritis, or other
5 types of musculoskeletal disease, is typically what
6 is done.

7 This is what we use for these conditions
8 to be able to demonstrate efficacy. What we
9 haven't really I think given enough thought about
10 is the issue of defining an analgesia-dependent
11 population that we are studying, that we are
12 dealing with high levels of pain, so at the time of
13 randomization, when we actually start to treat
14 patients, their mean pain score is often greater
15 than 70 mm on a 100-mm visual analog scale, so this
16 is not minor league, minor pain, this is I think
17 high intensity pain.

18 I would submit that we are really not
19 looking at a chronic pain model, but we are looking
20 at a subacute pain model, and I was glad to see Dr.
21 Simon in his definition of acute pain actually
22 include subacute pain, which I actually think the
23 models we use would fit very well.

24 Finally, I think we are selecting for
25 drugs that work in acute pain rather than looking

1 for drugs that work in a chronic pain mode.

2 [Slide.

3 To be able to perhaps explain that better,
4 I will just take a slide here, which really
5 represents no specific trial, but is similar to
6 what we see in many of these OA trials, looking at
7 pain on walking.

8 The first point represents the patient
9 population that we are screening, so when they come
10 in on their medication. What I would want to
11 indicate is the fact that these patients, in many
12 of these trials, are required to be on full doses,
13 prescription doses of analgesic medication, so they
14 need to be on this medication.

15 To qualify to be in the trial, they need
16 to have an increase in their pain. So, they are
17 analgesia-dependent patients.

18 Now, this population is hardly
19 representative. As an active investigator and as
20 an investigator who believes in collecting metrics
21 at our research center, I can tell you that when we
22 advertise for patients with knee pain, that for
23 every 20 telephone calls we get, we may have one
24 patient enter a trial.

25 So, that is 5 percent of those people who

1 were willing to pick up the telephone, call us, and
2 say they have a problem and they would like to be
3 in a trial. Of the patients who actually come in
4 and we can talk to, and we put in the trials, about
5 20 percent qualify in this type of trial.

6 So, the idea that this is giving us a
7 representative sample of patients with
8 osteoarthritis or rheumatoid arthritis is clearly
9 not the case. This is a subset, this is not a
10 general population.

11 The second point to be made is clearly
12 these patients have to flare, so they have now a
13 chronic pain background, but we are requiring that
14 they have the onset of acute pain over the course
15 of usually five half-lives of a drug. Their pain
16 gets up in the range of 70 to 80 mm on a 100-mm
17 visual analog scale, and I will submit this is not
18 looking at chronic pain, this is looking at a flare
19 of acute pain that has been induced by the study
20 design.

21 This is hardly what we, as clinicians,
22 typically see. We don't start patients in our
23 clinic on another drug after they have stopped
24 their previous drug for three or four days. So,
25 this is an artificial situation.

1 As I said, I would submit that we are
2 looking at a subacute pain model, not a chronic
3 pain model. When you think about it, what type of
4 drug are we going to select? We need a drug which
5 is going to work quickly. Patients are going to
6 drop out if this drug doesn't work fast. This is
7 going to sound very much like the acute pain
8 argument.

9 So, we need a drug that works quickly, and
10 we need a drug, in addition, not only working
11 quickly, but a drug that is effective for high
12 levels of pain, not mild or moderate levels of
13 pain, but high levels of pain.

14 So, we are selecting for drugs that have
15 already proven that they work in the acute pain
16 setting. We have just gone through a dental pain
17 model for acute pain, which looks at issues not
18 dissimilar to this, and actually has pain levels
19 that are very similar to what we are seeing here.

20 So, I would submit that we are probably
21 not using the right model even though it has been
22 clearly validated and does develop, we will approve
23 drugs, but probably for acute for subacute uses.

24 [Slide.]

25 Now, is there another way? Well, it is

1 hard to believe, but I actually did not speak to
2 Dr. Laska before this meeting, but I would like to
3 talk about withdrawal trials, as well, and
4 actually, having such an accomplished statistician
5 present this information before I am means that I
6 don't have to deal with the statistical aspect of
7 this at all, which I don't feel qualified to do.

8 But I think there are significant
9 advantages to looking at a withdrawal design. Now,
10 this is not unusual, it has been used in pediatric
11 studies repeatedly for ethical reasons. It is
12 actually included in the RA guidance document, so
13 this is not something which does not have a
14 history.

15 The advantages, in addition to the
16 statistical strengths that Dr. Laska submitted, is
17 that all subjects receive active medication, so
18 this is a real advantage. Everybody gets treatment.
19 For many patients, if you get them for trials, this
20 is important.

21 There is no necessity for disease flare
22 although you can put one in if you want, but there
23 is absolutely no necessity to have a disease flare,
24 so you can actually look at baseline pain levels on
25 treatment, and there is no artificial definition of

1 responders.

2 What I mean by that is we are going to
3 have a long discussion, I am sure, both today and
4 tomorrow, about how many millimeters if a
5 clinically meaningful response.

6 Well, in this model, the patient decides
7 that. I mean we don't have to have physicians
8 sitting back trying to make the decision about how
9 much is appropriate. What you really have is the
10 patient says I have had enough, I want out of the
11 trial. That will be different for each patient,
12 but it doesn't matter, because you will actually
13 have a response.

14 [Slide.

15 So, this is what a trial might look like,
16 and there is run-in phase here, which I shouldn't
17 leave out the importance of, because this run-in
18 phase on active medication, so patients are first
19 on active medication for a number of days, allows
20 you to learn a lot about the use of that drug in an
21 open-label fashion. I think that is also an
22 important aspect.

23 Patients are then randomized at some
24 point. The other point about this is they can be
25 randomized at anytime, so the investigator nor the

1 patient has to know when that occurs. Then, you
2 see patients dropping out for lack of efficacy or
3 whatever you want to use as your objective
4 endpoint, and a differential dropout rate between
5 patients on active therapy, which would be
6 indicated here, and on placebo or another less
7 active therapy on the bottom line.

8 The intent is really not to say the
9 withdrawal trials are the way to go. It is just to
10 say that I think we need to consider a number of
11 other approaches in terms of methodology, and this
12 may be one of them.

13 [Slide.

14 The last thing I want to talk about is
15 long-term safety. It is really something that has
16 not been talked about today, but I think is
17 absolutely critical.

18 We know from discussions here at the
19 Agency and I think eloquent discussions, that the
20 datasets at the time of NDA are really inadequate
21 to be able to detect uncommon events. We know that
22 some sort of postmarketing surveillance program is
23 required if we want to be able to determine these
24 uncommon events. So, I would say it is required or
25 let's say needed rather than making it a

1 requirement.

2 These studies need to be well defined,
3 they need to be carefully planned, and I think,
4 most importantly, they need to be done in a timely
5 manner, so these programs are going to be of any
6 value if we have them shortly after a drug is
7 approved, and long after it is history.

8 I think the way we go about this is to
9 provide appropriate incentives to pharma to do
10 these studies. What I mean by that is I think we
11 should take a page out of the book that exists, we
12 ought to look at what has been done in the
13 pediatric world, and saying that we should give
14 incentives to industry, and say if you do an
15 appropriate postmarketing surveillance study, that
16 you have the potential--and this will be something
17 clearly the Agency cannot do alone, but will take
18 Congress--the potential to have perhaps six months
19 of additional patent protection if these long-term
20 surveillance programs are put into place.

21 I think it is a shame that this country,
22 that spends so much money on health care, can't
23 spend money in determining safety of these drugs we
24 use. The point about this is that if we have a
25 drug that is used, these uncommon events, even with

1 the datasets that are as large as we see for
2 NSAIDs, 10- 12,000 patients, we can't rule out an
3 uncommon event that occurs 1 in 4,000 patients,
4 let's say, we will take rule of 3.

5 If we are treating millions of patients
6 with these drugs, which we will, very successful
7 drugs, we have the potential for having thousands
8 of people have an adverse event that may be
9 life-threatening, that could not be detected in the
10 NDA dataset.

11 So, I think we need to develop these
12 surveillance programs, and I think the only way to
13 do it is really to provide the incentives
14 appropriately.

15 [Slide.

16 So, in summary, I would like to say I
17 think we need to stimulate new approaches, and I am
18 glad to see this conference is really focusing on
19 that, different and appropriate methodologies, and
20 I think we need more in the way of safety and
21 outcomes data.

22 I really believe that the way to do that
23 is really through an effective partnership among
24 government, industry, academia, and the public, who
25 are all demanding this.

1 Thank you very much.

2 DR. FIRESTEIN: Thank you.

3 The final presentation will be by Dr.
4 Michael Hufford, Vice President, Scientific
5 Affairs, The Science of Patient Experience.

6 While he is getting set up, I would just
7 let the panel know that there is, in addition, a
8 letter from Dr. Shainhouse that will be entered
9 into the record, but will not be read today.

10 Letter from Z. Shainhouse, M.D.,
11 Dimethaid Health Care, Ltd.

12 "As Dimethaid Health Care, Inc. has an
13 interest in topical NSAIDs for symptom relief of
14 rheumatic diseases, we would appreciate the panel
15 taking into consideration the application of any
16 proposed trial models and designs to a topical
17 NSAID.

18 "In trial design for topicals in OA
19 symptom relief, one can use as a model the usual
20 designs for oral NSAIDs. The efficacy variables of
21 pain and physical function, which are used to
22 assess the study joint, are readily studied with
23 topicals. The role of the Patient Global
24 Assessment is less clear.

25 "Questions on Patient Global Assessment

1 are often used to inquire about the non-signal
2 joints which are treated simultaneously by oral
3 NSAIDs that provide full, systematic distribution
4 of a therapeutic concentration of drug.

5 "The site-specific nature of topical
6 treatment is unlikely to deliver fully-therapeutic
7 systemic drug levels to provide 'global' benefit to
8 other, non-study joints. Even if one restricts
9 enrollment through trial design, non-study joints
10 may flare during the trial. A Patient Global
11 Assessment for a topical cannot mean the same thing
12 as for an oral.

13 "There are other aspects unique to the
14 study of topicals. Approvability trials, for
15 reasons of practicality and design standards,
16 always study the hip or knee. Topicals are not
17 appropriate for treatment of hips. There is very
18 little literature for oral NSAIDs, let alone
19 topicals, in the treatment of other joints. Do we
20 have sufficient studies on the natural history and
21 spontaneous remission of symptoms in other joints
22 to determine the appropriate duration of study?
23 For that matter, is the now-standard 3-month trial
24 design for OA of the knee or hip based on any such
25 evidence on the natural history of the disease?

1 "Clinical experience suggests that where
2 disease is less than bone on bone, symptoms do,
3 indeed, tend to resolve with time - which is
4 perhaps the basis for the usual recommendations to
5 stop oral NSAIDs when symptoms resolve. Is this not
6 further proven by the failure of so many patients
7 to 'flare' during the screening, washout-out stage
8 for drug studies?

9 "The literature describes a significant
10 placebo effect for topicals, thereby complicating
11 study of the onset of pain relief.

12 "In Europe, topical NSAIDs are usually
13 approved and prescribed for the treatment of soft
14 tissue injuries. We are aware of no guidelines for
15 trial design for such studies. Duration would of
16 necessity be shorter because of the self-limited
17 nature of the disorder.

18 "We will appreciate comments from the
19 panel members on the applicability of any
20 guidelines they may propose to the field of topical
21 NSAIDs."

22 "Sincerely, Z. Shainhouse, M.D."

23 [End of letter]

24 DR. HUFFORD: You can see I have tried to
25 rise to the challenge to do a very quick swapout.

1 [Slide.

2 Let me begin by saying the company that I
3 work for, In Vivo Data, provides electronic diaries
4 to sponsors in clinical trials, and as such, a
5 number of compounds either are or will be under
6 review by the Agency.

7 [Slide.

8 What I would like to speak to you about is
9 something I have been working on myself for 10
10 years, and my colleagues, for an additional five,
11 using diaries to help patients succeed in providing
12 real-time, real-world data in clinical trials.

13 Of course, diaries are used widely in
14 arthritis trials to capture patients' experiences
15 in a variety of real world settings, and has been
16 mentioned throughout the day today, as well as at
17 the NIH-FDA Conference on Analgesic Drug
18 Development a while back, the collection of pain
19 data in particular, either using the VAS or Rick
20 Graceley's modified VAS scale, is one common
21 implementation, as well as collecting data on
22 functional attributes, stiffness, physical
23 functioning, and nighttime awakenings, and there is
24 good psychometric reasons for this.

25 A number of studies have shown that diary

1 data can be more sensitive to medication effects
2 than recall-based reports at the site. One key
3 concern, though, about paper diaries, in addition
4 to the generally poor data quality in terms of
5 legibility, is really noncompliance, because when
6 you use paper diaries, compliance with timely
7 completion is left completely up to the patient to
8 enter the time and date, and you go by that record.

9 Of course, that is very vulnerable to
10 hoarding and falsification, as I am sure many
11 people in this room, including myself when I was a
12 professor, can testify, it is not uncommon to catch
13 patients filling out a week's worth of diary cards
14 immediately before a site visit. Indeed, this
15 happens so often that John Urquhart [ph] has termed
16 it "parking lots compliance."

17 Noncompliance importantly, not only
18 violates the protocol, but it undoes the expected
19 advantage of the diary method because the reason
20 that you implement diaries is to avoid the
21 systematic inaccuracy and bias inherent in recall.
22 It is not pain patient's fault, but simply the way
23 they encode and retrieve information.

24 So, one of the best known biases is
25 patients in a great deal of pain will

1 systematically exaggerate their mean pain over the
2 course of the week. Again, it is not fault, but
3 you can't extract yourself from current pain to
4 provide an accurate estimate or recall-based pain,
5 so diaries are used as a way to avoid their recall
6 biases.

7 [Slide.

8 I would like briefly to present a study
9 that my colleagues and I recently published in the
10 March 18th issue of the British Medical Journal.
11 Dr. Arthur Stone, who is the Vice Chair of
12 Psychiatry at SUNY-Stonybrook, what we did is we
13 had two objectives. We wanted to quantify
14 subjects' compliance with paper diaries in a way
15 that was objective really for the first time, and
16 to compare that paper diary compliance to an
17 electronic diary benchmark, something that a number
18 of us, including myself, have been working on in an
19 academic context for over a decade.

20 The endpoints was reported compliance,
21 what patients said they did in terms of telling us
22 about their real-world pain, actual compliance,
23 which we will get to in just a moment, as well as
24 hoarding, that parking lot compliance that I
25 mentioned.

1 This was a randomized, parallel, two-arm
2 study with 80 heterogeneous chronic pain patients
3 being assigned to one of two groups, either a paper
4 diary or an electronic diary. What patients didn't
5 realize--and this is actually a sample one--is the
6 paper diary was covertly instrumented, such that
7 photo cells, that we built into the binder, would
8 detect the change in light and write the time and
9 date stamp to an onboard wafer-thin computer chip
10 that we had built into the binder.

11 This was unique insofar as for the first
12 time, you could have an objective documentation.
13 So, the patient said it's Monday at 10:00 a.m. and
14 I am telling you about my pain, well, you could
15 look at the objective electronic record and say,
16 well, is it possible, was the diary even open on
17 Monday for them to complete that report.

18 Again, half of the patients were then
19 assigned to a compliance-enhanced electronic diary
20 with a variety of features that helped them be more
21 compliant with the protocol.

22 It was a three-week pain study with
23 patients completing three reports of their pain,
24 both in the morning, afternoon, and evening, and we
25 asked them to do them at specific times of the day.

1 What we found is when you simply look at
2 the paper diary cards, it looks like they were 90
3 percent compliant, that is, 90 percent of the time
4 you had paper diary cards at the date and time that
5 you asked the patient to give the report, so you
6 would be thrilled.

7 Of course, we, for the first time, had an
8 objective records team and could look at actual
9 compliance.

10 [Slide.

11 To our surprise, we thought it would be
12 bad, we didn't think it would be this bad, we had
13 11 percent compliance. So, 79 percent of the time,
14 the patients were not completing the diary card as
15 they told us that they were.

16 [Slide.

17 When we compared that to the patients
18 randomly assigned to use the electronic diary,
19 because one could argue that it was an artifact,
20 chronic pain patients can't possibly be expected to
21 fill out diaries, although we asked them to all the
22 time, what we found is with the variety of
23 compliance enhancing features, we were able to get
24 very high rates of compliance documented over the
25 course of the study, time and date stamp verified

1 as required by the protocol.

2 [Slide.

3 So, we looked at the completion of those
4 paper diary cards in batches, trying to understand
5 what happened to those other 79 percent of diary
6 cards. It turns out 1 out of every 3 days, the
7 diary was never even opened. On those days,
8 reported compliance was 96 percent. So, it on the
9 very days that patients forget to do anything with
10 the diary that they are most likely to go back and
11 back-fill a day's or at times even a week's worth
12 of diary cards, so we found a great deal of
13 back-filling really more disturbing to all of us,
14 including myself. Having written the statistical
15 analytic plan, I can tell you that we did not even
16 originally take this into account.

17 We also found forward-filling, that is,
18 there were instances where the patient, say, on a
19 Wednesday evening, would open the diary for about
20 30 minutes. This was a very short pain assessment,
21 only took about 2 minutes to complete. If you open
22 it for 30 minutes and then closed, closed all day
23 Thursday, closed all day Friday, they come in for a
24 site visit on Saturday, and lo and behold, they had
25 Thursday's and Friday's diary cards, so there was

1 clear evidence of forward-filling, as well.

2 [Slide.

3 To give you a sense of whether or not the
4 high rates of compliance achieved in the electronic
5 diary group were a fluke, this is a sample of my
6 colleagues and I's peer-reviewed publications, not
7 all of them, but stretching back nearly a decade
8 now.

9 This was the paper compliance at 11
10 percent, the electronic diary compliance at a
11 verified 94 percent compliance, and this is just a
12 sample of some of the work we have done across
13 therapeutic categories showing that patients can
14 succeed in providing real-time, real-world data,
15 but they do need help to do it.

16 [Slide.

17 So, in sum, diary data are critically
18 important to a variety of trials including
19 arthritis trials to avoid retrospective bias that
20 Ike and Rademeyer and Com, and Bradburn, in his
21 famous 1987 Science paper, have outlined so
22 cogently.

23 Paper diaries, though, are vulnerable. In
24 fact, we were able to show objectively both poor
25 and faked compliance using paper diaries. On the

1 other hand, electronic diaries with science-based
2 compliance principles can be used to provide
3 documented high, real-time compliance rates. They
4 can also enable more sophisticated diary designs.
5 I don't have time to get into this, but there is an
6 entire field of study called ecological momentary
7 assessment who aim is to densely sample patients'
8 waking experience including dynamic sampling to
9 capture things like time of onset, time to relief
10 in trials.

11 Then, lastly, of course, the validity and
12 integrity in diary data is essential obviously to
13 the evaluation of medication. So, reprints of the
14 British Medical Journal study, I believe have been
15 distributed.

16 Thank you very much for your time.

17 DR. KATZ: May I ask a question, Dr.
18 Firestein?

19 DR. FIRESTEIN: Sure.

20 DR. KATZ: Let me just first congratulate
21 you on a wonderful little study.

22 DR. HUFFORD: Thank you very much.

23 DR. KATZ: I think it is a good example of
24 how methodological issues can be subjected to
25 rational analysis and empirical investigation. We

1 so often talk about these important methodological
2 issues, and it is so unusual that we see somebody
3 that actually tries to test a hypothesis in
4 practice.

5 It also matches perfectly with our
6 experience including our published experience in
7 comparing paper and electronic diaries.

8 My question is, were the pain ratings
9 different?

10 DR. HUFFORD: That is one thing we are
11 actually currently pursuing. That has actually
12 taken a tremendous amount of time ironically, to
13 clean and lock the paper diary data. So, that is
14 something that we are working on currently, to look
15 at the psychometric differences.

16 One of the challenges is with the
17 forward-filling in particular, and how to deal with
18 that, but that is something that we are following
19 up on right now.

20 DR. KATZ: Right. We are still cleaning a
21 database that was locked in 1996 from an electronic
22 diary study, it's no small task.

23 DR. FIRESTEIN: Thank you very much for a
24 very provocative discussion.

25 At this point, we are going to take

1 another break. At five minutes to 3:00, we are
2 going to start.

3 [Break.]

4 DR. FIRESTEIN: We are going to begin this
5 session with an introduction from Jim Witter.

6 Introduction

7 James Witter, M.D., Ph.D.

8 DR. WITTER: Good afternoon.

9 [Slide.

10 What we thought this afternoon, what we
11 will try and do, and it's going to be an imperfect
12 division, was to make sure that we don't lose the
13 focus on safety, but there is going to be a little
14 bit of a schizophrenia in the sense that we will be
15 talking about some efficacy also this afternoon,
16 and then we will open it up for more general
17 discussion.

18 [Slide.

19 If we were to, for example, take, as I
20 have done here, a line, and on one side of it,
21 write "pain," and the other side "pleasure, we
22 could probably spend these two days just talking
23 about the meanings behind that.

24 What we are interested in really are these
25 concepts of safety, tolerance, and tolerability,

1 and as you look, for example, at NSAIDs and opioids
2 as general medicines, they would fall somewhere on
3 this particular line.

4 [Slide.

5 The real question then would be what is
6 the perfect drug and it should be totally safe, but
7 how safe is safe and who should be deciding that,
8 and it should be totally effective, and as we all
9 know, there is no such drug, be it analgesic or
10 otherwise.

11 [Slide.

12 What we thought we should do is take some
13 time to discuss safety and really what we do as an
14 assessment of drug safety, during the development,
15 during the IND phases, before NDA approval--and
16 realize we don't want to confuse on some of these
17 acronyms, but I think we want to use these, so that
18 everybody gets familiar with them if you are
19 not--and then what happens at approval and then
20 after that. We don't want to lose focus on any of
21 these.

22 So, before the NDA is approved, we have
23 preclinical, or I guess we should be referring to
24 this now as non-clinical studies to help guide us,
25 to get some idea of what the profile of the

1 compound looks like.

2 Then, we have, as well, various phases,
3 Phases I through III, which enroll larger and
4 larger numbers of patients, and by the time these
5 are completed, if everything has gone well, this
6 information is submitted to us, we look it over, we
7 review it and make an assessment as to whether it's
8 efficacious, really trying to judge effectiveness,
9 and then whether it is also safe enough.

10 If that is approved, then, we have a
11 compound that has a label, and yet that is not the
12 end of the drug's life cycle. There are things
13 that happen post-approval and as Dr. Schnitzer
14 noted before--and maybe we had talked about this
15 beforehand, but we didn't--there really is an
16 incomplete safety assessment when a compound is
17 released, no matter how hard we try, it is just not
18 possible.

19 [Slide.

20 So, we need to be looking at adverse
21 events. As I described, we look at adverse events
22 both before and after approval, and these are from
23 the patients and they are also from the
24 investigators.

25 Now, there has been a discussion, and

1 maybe we should have that continue today, that the
2 patient global is also something that should really
3 be intended to catch that something is not quite
4 right experience with an analgesic. Maybe that is
5 what this is best geared for in these particular
6 trials.

7 [Slide.

8 But I think it is safe to say that drug
9 safety is really synonymous with drug information.
10 The more information we have, the better.

11 [Slide.

12 Now, once something is approved, there are
13 various tools--and this important because again we
14 don't catch everything pre-approval--we have this
15 AERS database, adverse events reporting system,
16 which is a passive surveillance system, which has
17 various problems in and of itself, Weber effects,
18 when something is on people's minds, they report
19 it, when it is not, they forget it, but we have
20 other mechanisms, as well.

21 We have abilities to look for drug
22 utilization in certain databases. We can look at
23 external databases for other issues, whatever may
24 be of interest to us. We can look at background
25 incident rates of various adverse events, for

1 example, and then we can actually also undergo
2 active surveillance real-time and prospective types
3 of programs, and they have all been employed to
4 some extent.

5 [Slide.

6 So, what these are termed really is risk
7 management tools, and some these then,
8 postmarketing, there are some routine things that
9 we do. For example, we can change the product
10 labeling, we can add adverse events, we can add
11 contraindications, precautions and warnings, and,
12 in fact, the dreaded black box warning.

13 We can make recommendations on monitoring,
14 in fact, we can make this directive - you shouldn't
15 give this until that, for example, follow a lab
16 result, and we can also change indications to make
17 them second line.

18 [Slide.

19 Other things that we can do, which are
20 less commonly done, are to provide patients with
21 information, medication guides as an example here.
22 We can provide clinicians with Dear Doctor letters.
23 We can make public announcements through other
24 forums, such as today.

25 [Slide.

1 We can also have patient registries either
2 on a voluntary or a mandatory basis, and there was
3 some discussion about that earlier, too. Then, we
4 can also, and I think this is the thing that
5 everybody tries to avoid, is the product can be
6 withdrawn.

7 [Slide.

8 What are some of the lessons we have
9 learned postmarketing? With regards to labeling
10 changes, there is a feeling that in many ways,
11 these are largely ineffective for widely used drugs
12 because they send out just too complex messages,
13 and that there have, in fact, been failures due to
14 persistent adverse events or studies--some of those
15 active surveillance that I had mentioned
16 before--studies showing that contraindications have
17 been ignored, have led to market withdrawal.
18 Tomorrow, we will be hearing discussion about Durak
19 as an example.

20 [Slide.

21 Patient registries are useful for
22 estimating the denominator, so to speak, in
23 long-term safety. They don't manage risk per se,
24 but certainly overseas I think it is safe to say
25 that they are heavily utilized for gathering safety

1 information.

2 So, without further delay, I would like to
3 introduce then Dr. Katz, who will be discussing
4 some of the issues of safety and tolerance with
5 opioids, and then Dr. Lu later will follow with
6 some discussion on some efficacy issues.

7 Tolerance and Toxicity

8 Nathaniel P. Katz, M.D.

9 DR. KATZ: Good afternoon. Let me begin
10 by thanking the Division, Dr. Simon, Dr. Firestein,
11 Dr. Witter, and everybody else for giving me the
12 chance to come and share some thoughts with you
13 about side effects of opioids, also to Drs.
14 McCormack and Rappaport from the other division who
15 have given me an opportunity to gain some
16 experience in the regulatory world on that side.

17 I will be talking about side effects of
18 opioids and what I think are the potential down
19 sides of opioid therapy that are of concern to
20 patients and to physicians, and that need to be
21 understood in order to inform our risk-benefit
22 assessment.

23 I will also be trying to address what we
24 know to date about those potential side effects
25 from the clinical trials that are available.

1 [Slide.

2 Let me just begin by saying that when you
3 give a talk just on the down sides of a medication
4 or a class of medications, it may come across as
5 being very unbalanced and that you don't get a
6 chance to emphasize the up side, so let me just get
7 my balance statement out of the way upfront.

8 It has been universally acknowledged now I
9 think, at least in Western medical professional
10 societies, that opioids have an essential, an
11 unreplaceable role at this point in time in the
12 treatment of both acute and chronic pain, and that,
13 in general, they are safe medications.

14 Now, having said that, let me try to
15 expand a bit on the potential down sides of that
16 class of medications.

17 [Slide.

18 Here is what people want to know about -
19 do people get addicted, tolerance, well, I guess
20 that is not really a toxicity, is it, but it is a
21 phenomenon that may result in loss of efficacy over
22 time, potentially side effects, and so it is
23 important to talk about.

24 People are concerned about
25 neuropsychological effects of these medications,

1 can people drive, do they lose their ability to
2 function, has their psychomotor reaction time
3 changed, all those sorts of things, can they write
4 their will, can they engage in business, et cetera.

5 Then, there is the plain old garden
6 variety symptoms - nausea, vomiting, constipation,
7 dizziness, sweating, itching, et cetera, et cetera.
8 There are a bunch more. You can pick up any
9 package insert and see what they are.

10 These are the things that are of concern
11 to people, maybe others, and let's see what we know
12 about them in terms of opioid therapy, and I will
13 be focusing mainly on chronic pain.

14 [Slide.

15 Just first to get a couple of definitions
16 out of the way. I am sure that folks in this room
17 know these things, but just to make sure that we
18 are using the same language because language has
19 been a terrible problem in the study of these
20 phenomena.

21 Addiction, which is also known as
22 dependence, psychological dependence, abuse, all
23 related terms, it implies that patients on opioids
24 lose their control over their use of the drug.
25 This is the loss of control model, sort of the

1 modern model of what addiction is, compulsive drug
2 use, continued used despite harm.

3 These are things that it is sort of like
4 art or pornography. Everyone knows what it is when
5 they see it, but when you actually try to define
6 it, it is very difficult to come to any consensus.
7 But what we are talking about here is loss of
8 control over the medication.

9 Physical dependence just means that when
10 you stop the drug, you have a withdrawal syndrome,
11 or you suddenly reduce your dose, or you get an
12 antagonist or something like that, and this is
13 something that is expected of people on opioid
14 therapy.

15 It is not an adverse effect per se, it is
16 not connected with addiction in any particular way,
17 and it is just when the terminology was changed
18 from addiction to dependence, it created this
19 confusion between addiction and physical
20 dependence.

21 So, get that out of your mind right now, I
22 will not talk any further today about physical
23 dependence because it is not, as far as I can see,
24 a toxicity we need to worry about if we counsel our
25 patients appropriately.

1 Tolerance means less bang for your buck
2 over time in a word, less effective medication
3 after prolonged use, or if you want to look at it
4 the other way, you need to increase your dose in
5 order to maintain the same effect. So, these are
6 the phenomenon that I am going to be talking about.

7 What I would like to add just
8 parenthetically in a moment is that there may be
9 other negative behavioral syndromes of opioid
10 therapy that we don't have good words for, that the
11 syndromologists have not really defined yet.

12 For example, there is something that we
13 all have seen that Steve Passaic is calling "the
14 chemical coper syndrome," where we have all I think
15 seen these patients, where you have a patient on
16 high-dose opioid therapy, they are telling you that
17 they need it and that it is helping them. Their
18 pain score is still a 9 out of 10.

19 If you ask them, well, you know, how is it
20 helping you if it is a 9 out of 10, and they will
21 say it would be a 20 out of 10 without my pain
22 medication. They can't get off of it, they may
23 have subtle side effects.

24 They would give you a positive global
25 satisfaction rating, by the way, to you fans of

1 global satisfaction ratings, although their pain
2 relief is not there. These are the patients who
3 may do well after opioid detoxification. Their
4 pain scores may be no different, if not better, and
5 they may feel more alert, et cetera. There is a
6 literature on this.

7 Again, this is not a syndrome that has
8 been well defined, but it is something that we all
9 see, and we can keep it in the back of our minds.
10 I won't talk about it any further.

11 [Slide.

12 So, what do we know about these things?
13 First of all, there is nothing new under the sun.
14 In my worst moments sometimes I think I am the
15 first person to think about these things.

16 Diagoras of Melos, Third Century B.C., a
17 Greek physician, "It is better to suffer pain than
18 to become dependent upon opium." Again, they are
19 talking about the use of opiates for chronic
20 nonmalignant pain. This is what was being
21 discussed in the medical literature of the third
22 century B.C. 2,400 years ago.

23 Again, Erasistratus, if you ever want to
24 look him up, his name is spelled a number of
25 different ways, a Greek physician who actually was

1 one of the heads of the Alexandrian School of
2 Medicine in ancient Egypt. Mainly, he got his name
3 through anatomical studies, but he also said opium
4 should be completely avoided, period, and he was
5 referring there to the risk of dependence.

6 At the same time, there were other
7 physicians who were promoting the use of opioids as
8 a cure-all for all sorts of illnesses, again, just
9 showing you this does not give a balanced
10 historical approach, but it does suggest that
11 people have been concerned about these things for a
12 long time.

13 Of course, in the modern era, with the
14 advent of the randomized, controlled trial that has
15 been available to us for more than 50 years now,
16 doubtless we have high quality evidence concerning
17 the incidence of these side effects, and you will
18 soon see the quality of the evidence that we have.

19 [Slide.

20 Now, we do know that opioids are abused,
21 that is no secret to anybody. This is DAWN data
22 and shows the prescription analgesics. This is ER
23 Mentions [ph], for what that is worth, it is gives
24 you some sort of a signal, and it is really of the
25 same order of magnitude as cocaine, a bit less than

1 alcohol, far greater than marijuana, et cetera.

2 So, are these patients abusing them, are
3 they addicts who are non-patients? Again, we don't
4 know. We suspect that they are mostly
5 non-patients, but again you will see the quality of
6 the information that we have, clearly, it is an
7 issue.

8 [Slide.

9 In the 70's and 80's, during the era, as
10 was pointed out earlier by Dr. Sunshine, where
11 treating pain with opioids was basically a no-no, a
12 few radical and provocative studies were published.

13 There was one by Medina and Diamond that
14 looked at drug dependency and people treated
15 primarily with intermittent opioids for chronic
16 headaches, pointing out that of their 2,000
17 some-odd patients, few, if any, became addicted.

18 Porter and Jick, this is probably the most
19 famous study which has been quoted millions of
20 times, addiction rare in patients treated with
21 narcotics. This study, published in 1980, again,
22 11,000 some-odd patients treated for acute pain in
23 Boston area hospitals over a period of time, and
24 only something like 4 out of this 11,000 were later
25 on felt to have become addicted to their opioids.

1 Then, Perry and Heidrich, another one,
2 similar study, management of pain during burn
3 debridement, use of opioids in many thousands of
4 patients, only rarely was addiction noted.

5 These studies created a new vocabulary for
6 the discussion of addiction with opioid therapy.
7 Now, for the first time in a long time, or at least
8 we thought, we could actually discuss the
9 possibility that maybe opioids are okay for the
10 treatment of pain.

11 Then, at the same time, you had the cancer
12 pain literature that was coming out demonstrating
13 the safety and efficacy of opioids in treating
14 cancer pain. There were a number of retrospective
15 survey studies in non-cancer pain, suggesting that
16 addiction was rare.

17 From this, there created a climate, at
18 least among pain specialists, that you wouldn't get
19 your patients addicted if you gave them opioids for
20 pain, although none of these studies actually
21 addressed the issue at hand.

22 These three studies, the most famous one,
23 the Porter and Jick one, is actually a
24 one-paragraph Letter to the Editor in the New
25 England Journal of Medicine. None of these studies

1 actually defined addiction in any way. None of
2 them actually implemented any particular plan for
3 how they were going to detect addiction.

4 They were all retrospective based on the
5 judgment of the physician, and none of them were
6 related to the use of opioids for the treatment of
7 chronic pain. So, again, whether or not opioids
8 are addictive in the management of chronic pain,
9 maybe they aren't, maybe they are, maybe there is a
10 number, but we certainly don't know anything about
11 it from these particular studies.

12 [Slide.

13 It is fair to summarize this at this point
14 and say that no published study of opioids for
15 chronic pain has prospectively evaluated the
16 incidence of addiction by any definition. That is
17 the state of the literature at this point in time.

18 [Slide.

19 There are some methodological issues
20 buried in how one would assess this if one wanted
21 to anyway. There are lot of very thorny
22 methodological issues. The first issue is which
23 population.

24 The studies that I showed you earlier, in
25 general, dealt with a patient population with no

1 history of addiction, no psychiatric comorbidity as
2 are most of the randomized, controlled trials that
3 are done today.

4 So, we became interested in what happened
5 if you gave opioid therapy long term for patients
6 with a history of substance abuse, which is
7 probably not an insignificant proportion of the
8 patients that we see in pain management centers.
9 If fact, those prevalence numbers vary between
10 around 3 and 20 percent.

11 This is a retrospective study of all of
12 our patients that we could find who had a history
13 of substance abuse documented in their chart.
14 There were only 20 patients. The bottom line is
15 about half of them did fine and half of them
16 self-destructed. We tried to outline some risk
17 factors for who would be in the good outcome group
18 and who would be in the bad outcome group.

19 The only point I am trying to make here is
20 not that there is a great study either, but that
21 the choice of population determines the results
22 that you see.

23 [Slide.]

24 Another very thorny issue is what
25 instrument would you use to measure the rate of

1 addiction in patients on opioids for chronic pain.
2 I think the most widely subscribed-to assessment
3 tool for opioid addiction, in the first place, is
4 the DSM-IV or various measurements, the DIS, et
5 cetera, that are based on the DSM-IV, and these are
6 the criteria. You need to have 3 of the following
7 9 symptoms. This is all based on self-report and a
8 doctor-patient interaction, and the self-report is
9 an issue that we will talk about momentarily.

10 But the bottom line is that this doesn't
11 really make sense in people on opioids for chronic
12 pain, and without spending a lot of time going
13 through the details, diminished effect with same
14 dose, does that mean you are addicted? I don't
15 think so.

16 Dose escalation or prolonged use is a sign
17 of addiction. Does that mean you are addicted? In
18 our population, I don't think so. Desire to cut
19 down, excessive time spend obtaining, using, or
20 recovering from use of the substance, well, you can
21 ask most of your patients on chronic pain whether
22 they ever had to spend excessive time obtaining
23 their medication, they have, et cetera, et cetera.

24 So, this it the most well-established
25 criteria, and they are really not relevant to the

1 patients that we are looking at, and there actually
2 is no instrument right now that has been validated
3 for detecting addiction in this population although
4 I am happy to say that there is some work being
5 done on that.

6 [Slide.

7 The measures that have been used in the
8 addiction world are based primarily on self-report.
9 Certainly, all the prevalence information that I
10 gave you based on these few quasi-studies are all
11 based on either self-report or impressions of the
12 physician, again based on patients behaviors and
13 patient reporting.

14 What do we know about self-report measures
15 in patients on opioids for chronic pain? There
16 have been four studies, to my knowledge, that look
17 at that. One is the study by Brian Ready, which
18 showed that patients with chronic pain don't report
19 accurately their use of the medications that have
20 been prescribed to them. This was based on
21 inpatient charting by nurses of what the patients
22 were actually given.

23 Another study by David Fishbain comparing
24 self-reported drug use to urine toxicology screens
25 and other measures showing that validity is not

1 reliable.

2 We did a study comparing behavioral
3 monitoring of patients to urine toxicology again.
4 I will show you that in a second. There was
5 another study that basically did what we did in a
6 way and confirmed our findings.

7 Again, in our study, I won't spend a lot
8 of time on this, but just very, very briefly. In
9 122 patients from two centers, we instituted urine
10 toxicology monitoring on all patients over a
11 three-year period of time that were on opioids.

12 The bottom line is that 29 percent of our
13 patients had a positive urine toxicology screen.
14 These are patients who had an opioid contract in
15 effect. It said we are not supposed to be doing
16 other things. Twenty-nine percent had a positive
17 urine toxicology screen meaning either illicit
18 substances, cocaine, marihuana, et cetera, or
19 things in their urine that they were not supposed
20 to have.

21 We have them on methadone, they have got
22 hydromorphone. We have them on codeine, they have
23 fentanyl, et cetera. About one-third positive, and
24 if you looked at the monitoring behavioral issues
25 suggestive of inappropriate medication use, about

1 22 percent of our patients had inappropriate
2 behaviors of one kind or another, 43 percent either
3 had a positive urine toxicology screen or a
4 suggested behavior.

5 The interesting thing to me is that there
6 is this dogma prevalent in the pain management
7 community that an astute physician, if you monitor
8 your patients carefully and you are attuned to
9 their behaviors, you know what is going on with
10 your patients, you don't need anything fancy, and
11 you can unmask the diverters and drug sellers and
12 criminals and drug addicts simply by your own
13 astute presence and by monitoring self-report.

14 This data suggests that if you only
15 monitored patient behaviors, you miss about half
16 the patients who have a positive urine toxicology
17 screen. I think it is this sort of data, which is
18 also confirmed by this other study I won't tell you
19 about in detail, that confirms, I think in my mind
20 anyway, that self-report measures alone, if you are
21 trying to monitor for noncompliance anyway, are
22 inadequate.

23 I should issue a very quick caveat just so
24 that I don't give the wrong impression. We were
25 not measuring addiction in this study. I don't

1 have any idea of the extent to which these signs
2 correlate with addiction. As far as I know, none
3 of these patients were addicted, but certainly if
4 somebody on opioids has cocaine in their urine or
5 they have opioids that they are getting from
6 another source, that is something that I think I
7 want to know about.

8 [Slide.

9 Another potential source of external
10 information outside of patient self-report that has
11 not really been talked about as a patient
12 monitoring tool on a formal basis, is the whole
13 idea of using prescription monitoring program data.

14 Many of you know that right now I think it
15 is 19 states in the United States have prescription
16 monitoring programs that track some or all of the
17 scheduled medications that these patients are on.
18 In Massachusetts, we have a prescription monitoring
19 program that tracks only Schedule II data, and not
20 any other scheduled medications.

21 So, the idea of using this as a way of
22 getting verification of patient self-report of
23 compliance has really not been pursued, and there
24 is a lot of interesting data buried in these
25 prescription monitoring programs that could be

1 used.

2 For example, we found--we are just
3 starting to validate this database--in
4 Massachusetts, in the year 2000, there were over a
5 million Schedule II opioid prescriptions that were
6 given. There is only 6 million people in the State
7 of Massachusetts, which is interesting, and it
8 looks like there were about half a million unique
9 individuals in Massachusetts that got a
10 prescription for opioids.

11 Now, this database happens to exclude the
12 VA, which is probably not a small issue, and there
13 are a few other exclusions, as well. So, about 9
14 or 10 percent of the Massachusetts population got
15 Schedule II opioids. If you include the other
16 schedules, that probably would double, triple, or
17 quadruple this number.

18 Before I started looking at this, there is
19 really no notion of the epidemiology of opioid
20 therapy, and we do have information on this
21 database on what proportion of people have five or
22 more prescribers, what proportion of people use
23 five or more pharmacies, what proportion of people
24 run out of their day's supply early every month.

25 We can get this data, and we are hoping to

1 actually report these numbers as our work goes on.

2 I think one could consider even using this in a
3 clinical trial or postmarketing or risk management
4 program to look at noncompliance.

5 I am going to leave the issue of addiction
6 there with the unfortunate conclusion that we don't
7 know a lot about the incidence of addiction in
8 patients given opioids for chronic pain.

9 [Slide.

10 Tolerance is another issue and also it
11 seems so easy when you first look at it, and then
12 it gets very complicated when you try to figure out
13 exactly what you mean by tolerance and how you are
14 going to measure it.

15 This is just a concept slide to give you a
16 sense for how one might think about tolerance and
17 begin to approach the idea of how to measure it.
18 Look at these green lines here for a minute. These
19 are little graphs looking at--and this is all
20 invented out of my mind, this is not clinical trial
21 data, this is all conceptual--this is the dose
22 required to produce analgesia over time.

23 In an ideal world, a medication that did
24 not produce tolerance would have a flat line. Here
25 is a different way it might go. You might have a

1 bit of a dose escalation at the beginning and then
2 you might be stable over time, in fact, there is a
3 school of thought that suggests that this is what
4 happens to most people on chronic opioid therapy,
5 or it might escalate over time, or it might
6 escalate faster over time.

7 So, this is fine. Looking at dose
8 escalation is a perfectly good place to start I
9 think if you allowed patients to free titrate to
10 the dose that gives them adequate analgesia.

11 The complexities start to emerge, though,
12 and one of the complexities is side effects.
13 Because the usefulness of the drug, or if you want
14 to call it the therapeutic index of the drug,
15 really depends upon having a dosage range for an
16 individual patient where they can get adequate
17 analgesia without intolerable side effects, that is
18 what we are talking about.

19 If that difference between the dose they
20 need for analgesia and side effects remains in a
21 useful range, that is more useful sign of a
22 medication that is not associated with problematic
23 tolerance. Of course, if both of them escalate
24 equally, then, that is fine, too.

25 Tolerance might even be a good thing. For

1 example, we know from clinical experience that
2 people often become tolerant to nausea and
3 dizziness and neuropsychological side effects, and
4 other bad things, so you may find that, in fact,
5 tolerance can work in your favor. Your therapeutic
6 index may broaden over time.

7 On the other hand, it is conceivable that
8 your does that you need for analgesia increases,
9 but you don't become as tolerant to the side
10 effects, in which case you crash and burn on your
11 drug. They maybe is someone who drops out of your
12 clinical trial.

13 Unless these things are assessed, unless
14 you are assessing adequacy of pain relief, unless
15 you are assessing overall tolerability of your
16 drug, which is never done to my knowledge, and you
17 are modeling how those go over time, then, you
18 can't really say anything about tolerance or you
19 can't make a sophisticated statement about
20 tolerance, to my view.

21 [Slide.

22 So, what do we know from clinical trials?
23 This, sorry to say, I know nobody can read this,
24 but it is just there to give you a visual
25 impression, anyway, these are all the randomized,

1 controlled trials that have been published using
2 non-opioid comparators, placebo or a non-opioid,
3 for chronic, non-cancer pain where we are watching
4 the patients for at least one month. I think that
5 is a reasonable benchmark if you are having a
6 discussion about tolerance.

7 These are all the ones in the published
8 literature. For those of you with good eyes, if I
9 have forgotten one or two, then, you can come up
10 and yell at me after we talk, but this will give
11 you a good visual.

12 I put the asterisks next to the trials
13 where you can learn something about tolerance from
14 the trial, usually because there is a prolonged,
15 so-called open label extension period where
16 patients are watched open label on their drug for
17 some period of time.

18 I will just briefly highlight what it is
19 that we know. Again, here is one trial where pain
20 relief was stable at 19 weeks, don't have dose
21 information, and again, in all these trials, a
22 blurb doesn't really do justice, and you can learn
23 a lot more from getting to the trials themselves.
24 There are people in the room who have been involved
25 with these trials who could probably educate us

1 further about them, but just to give a visual.

2 Here, this is the trial that we did. We
3 found that actually in our patients, only 36 dose
4 and pain relief were stable after an initial period
5 of escalation. This is the Watson and Babul, Najib
6 Babul addressed this earlier today, their very nice
7 study of oxycontin for postherpetic neuralgia.

8 Again, in their open label extension,
9 there was a small subgroup of patients--Najib, you
10 will have to remind me--I think it was about 11 or
11 so out of the 50 patients were still there at the
12 end of follow-up, still enjoying analgesia, and you
13 can go on down the line.

14 The bottom line is that as you follow
15 patients out, here is an example, about 18 months,
16 only 15 of 106 patients still in the trial, still
17 getting good analgesia, still at a stable dose.

18 I think what these sorts of studies tell
19 us is that although none of these studies have
20 actually, to my knowledge, said we define tolerance
21 in this way, this is how we are going to measure
22 it, this is our result. That has never been done,
23 to my knowledge. Somebody can challenge me if they
24 think I am wrong about that, but all we can get is
25 an indistinct window about what happens long term.

1 It looks like only a minority of patients
2 are still on drug over time. Now, should we expect
3 that everyone should be on drug a year later?
4 Obviously not. If you look at trials of NSAIDs for
5 osteoarthritis, you are also not going to have
6 everybody on trial at the end of a year because
7 that's not how it works.

8 People get better people get worse and
9 drop out, people move to Florida, people die of a
10 heart attack, all sorts of things happen to people,
11 but it still suggested to me that--it doesn't
12 really reassure me that tolerance is not a problem
13 in clinical practice--and it suggests to me that we
14 need a methodology for evaluating this
15 prospectively with some rigor.

16 Interestingly, this study, which I put in
17 italics, is a study of tramadol. I excluded
18 tramadol except for this one study for patients
19 with painful diabetic neuropathy, 117 patients.
20 Tramadol is a drug that is an opioid and a
21 non-opioid in the same drug, and clinically
22 speaking, we don't think tramadol is associated
23 with tolerance or at least not much.

24 Interestingly, only 4 out of 117 patients
25 at six months dropped out due to lack of efficacy,

1 which is interesting because that is dramatically
2 different than what we see in the trials of the
3 pure new agonist, and it makes me wonder whether
4 the fact that only a small number of patients are
5 in these new agonist trials is indeed indicative of
6 tolerance developing because we didn't see that to
7 the same extent in the tramadol study.

8 [Slide.

9 Now, this is all speculation, nuance. I
10 think really the only robust conclusion is that we
11 need to start measuring tolerance. Again, just to
12 give you a quick visual of that, what we often see
13 in the way these studies are reported--and again
14 this is whitewash data of not any particular drug,
15 is that as the months wear on, the patients' dose
16 or their pain score, if you want to look at pain
17 scores, remains stable, but the trick is that only
18 a small fraction of the patients are present here
19 that started here, and we no doubt have informative
20 censoring, and can't say too much about long-term
21 efficacy from this type of report.

22 [Slide.

23 In my view, it is fair to say that the
24 phenomenon of tolerance to opioids in the treatment
25 of chronic pain has not been systematically

1 investigated in the published medical literature.

2 [Slide.

3 Neuropsychological function, I outlined
4 the concerns earlier. I am not going to really
5 speak about that because again, there is actually
6 no published prospective controlled trial on
7 opioids for non-cancer pain that has evaluated
8 neuropsychological function.

9 There is a published uncontrolled trial
10 where patients on a hodgepodge of opioids were put
11 on controlled release opioids. That is Jennifer
12 Hathorne Waites [ph] trial that actually suggested
13 in that setting, neuropsychological function
14 improved.

15 There is a study that, Mitchell, you
16 alluded to earlier that you did with Raja and those
17 folks that is still unpublished, that I have heard
18 rumors about, that I have heard rumors is going to
19 reassure us all about neuropsychological function
20 measured in a prospective way.

21 I, myself, have been involved in yet
22 another unpublished trial that I hope will come to
23 light soon, that also will find reassuring, so I
24 think that this is going to probably work out okay,
25 but at this point in time, this remains the fact of

1 the matter.

2 [Slide.

3 One final note on another sort of occult
4 toxicity that has been getting a little more press
5 lately, but hasn't really been addressed formally,
6 is the whole issue of opioids in endocrine
7 function. I think this is actually a very big
8 deal.

9 It is known that in animals, every animal
10 endocrinologist knows this. When I go up an animal
11 endocrinologist and I say, you know, I am a little
12 concerned about opioids and testosterone, they say,
13 da, what are you talking about, we have known about
14 that for 100 years already, about opioids and
15 testosterone.

16 It is known that opioids lower
17 testosterone and actually have other endocrine
18 effects, as well, in animals. There is one study on
19 heroin addicts showing low testosterone levels, one
20 study on methadone maintenance patients showing low
21 testosterone levels, and two studies now of
22 patients on intrathecal opioids showing profoundly
23 lower testosterone levels in men who develop a
24 central or hypogonadotrophic hypogonadism on
25 intrathecal opioids.

1 In the intrathecal studies, those were the
2 only ones that tried to address symptoms, and it
3 does turn out that loss of libido and impotence are
4 associated with low testosterone seen in those
5 trials.

6 In one of the two trials, it was actually
7 a pre-post study where they measured endocrine
8 function before going on intrathecal opioids and
9 then after, showing the declines, so very
10 interesting information. We have known about that
11 anecdotally for a while. In women, we see
12 amenorrhea and infertility, and other things.

13 What are the symptoms of low testosterone?
14 Fatigue, loss of muscle mass, you don't want to get
15 up and go, mood disturbances, osteoporosis and
16 compression fractures, so a potential public health
17 hooked to this.

18 So, has anyone seeing patients with
19 chronic pain ever seen any of these symptoms in
20 anybody? I think that these symptoms are basically
21 universal. So, you would think that somebody would
22 have asked the question of what proportion of
23 patients on opioid therapy for chronic pain have
24 low testosterone levels. You would think that that
25 question would have been asked.

1 [Slide.

2 This is preliminary data from our group,
3 our data, trying to address this question. Again,
4 I am always a little bit nervous about presenting
5 unpublished and non-peer-reviewed data, but I think
6 this is big enough to at least flag your interest
7 in this area.

8 All of my patients on opioid therapy for
9 nonmalignant pain had to undergo an endocrine
10 battery of blood tests at least once a year, and
11 this has been going on for about four years now.
12 There were complete enough data available on 25
13 males. I haven't tried to understand the female
14 data because it is just too confusing.

15 We found that free testosterone, which I
16 think is the more sensitive of the two, was below
17 the reference range in 63 percent of our patients
18 age 25 to 49. This is how the normal testosterone
19 levels come packaged at least at our institution,
20 25 to 49, and 50 to 75.

21 Free testosterone levels were below the
22 reference range in 88 percent of patients age 50 to
23 75, the older group, and our mean LH and FSH
24 levels, compared to normal controls, were below
25 normal, suggesting that the majority of our

1 patients had central hypogonadism, were on opioids
2 for chronic pain.

3 We looked at mean levels compared to
4 healthy controls, et cetera, and also found that
5 they were low.

6 Again, I think this is very provocative
7 and needs to be followed up further by a properly
8 controlled trial, and suggests to me anyway that
9 endocrine dysfunction may actually be the major
10 organ toxicity of opioid therapy.

11 [Slide.

12 Let's not forget about the little
13 symptoms, the garden variety symptoms I spoke about
14 earlier - nausea, vomiting, blah-blah-blah. In
15 clinical trials, we all know how these side effects
16 are captured. They are captured by the passive
17 capture methods. The patient has to raise their
18 hand and speak up and say I am dizzy or I am
19 nauseous.

20 Then, the study coordinator has to write
21 it down. Then, it has to be coded by somebody and
22 put in the database. We know from a variety of
23 sources of information that passive side effects
24 captured like that are inadequate in the sense they
25 don't nearly tell you what you would find if you

1 asked patients how they are feeling.

2 We know that dropouts due to symptomatic
3 side effects are substantial in both acute and
4 chronic pain trials of opioids, and the chronic
5 pain trials that I see, that range from 10 to even
6 50 percent, so it has got to be that these inform
7 the risk-benefit analysis of opioids for chronic
8 pain.

9 We also know that if you look at--I am not
10 going to take the time to present data--but if you
11 do symptom distress assessments prospectively by
12 giving patients a checklist on how they are
13 according to a variety of symptoms, and how severe
14 they are, you can find out a lot more, and you can
15 actually get data that predicts dropouts more
16 accurately than just passive side effects captured,
17 and there are some very nice studies by Richard
18 Anderson and Marsha Testa and other people showing
19 that these are very sensitive measures of how
20 patients are doing.

21 You would think that somebody would have
22 asked the question about how patients with opioids
23 do if you give them a prospective symptom checklist
24 to inventory. We did that in at least a
25 preliminary way in our study that came out in 1998

1 of patients and back pain.

2 We gave them a checklist like this, it had
3 20 items. It had them rate none, mild, moderate to
4 severe, and got a lot of interesting information,
5 which I won't take the time to give you, but one of
6 the interesting things was that we were able to
7 discriminate side effects intensity scores between
8 a high dose and a low dose opioid regimen and also
9 from a nonsteroidal anti-inflammatory drug regimen.

10 So, this checklist analysis did
11 discriminate between regimens. We also found
12 interestingly--I don't really know how to
13 understand this--people on low-dose opioids had
14 fewer side effects, but were more bothered by them,
15 people on high-dose opioids were less bothered by
16 their side effects, strangely.

17 So, it seemed like maybe opioids
18 influences how much you are bothered by whatever it
19 is that ails you. Maybe you understand that better
20 than I do. Anyway, do this, that is what I am
21 trying to say.

22 [Slide.

23 I will end with just a quick comment on
24 the use of opioid sparing as an outcome measure
25 since that was mentioned as a question in the

1 background materials, so everybody knows what this
2 means. You have a drug X compared to placebo or
3 some comparator, and you look at how much opioid
4 the patients in both groups use in outcome measure, what does
5 that mean, is that good, is that bad.

6 First of all, just conceptually, if a
7 patient in one treatment group has decreased opioid
8 requirements, there is a few things that could be
9 due to. The first, which is the one that we are
10 all interested in, is that your study drug is an
11 analgesic. That is good, and the obvious examples
12 there are NSAIDs compared to placebo in
13 postoperative pain, where patient controlled
14 analgesia or other things are very nice
15 discriminative analgesic effect.

16 The other possibility is that your drug is
17 not an analgesic by itself, but together with
18 opioids, enhances opioid analgesia, and some people
19 think that are some NMDA receptor antagonists that
20 might do that. It is hard to discriminate between
21 an analgesic and an opioid enhancer in that sort of
22 model.

23 The other possibility I will just mention,
24 although you maybe you won't like hearing it, is
25 that the study drug, all it does is enhance opioid

1 side effects, so that patients can't use as much,
2 and that certainly is a conceptual possibility
3 although one should be able to tease that out by
4 looking at pain scores and by looking at side
5 effects, if you look at side effects in an
6 appropriate way, which is often not done.

7 So, you have to be able to provide
8 supportive data to classify what is going on in
9 terms of these possibilities, should you have
10 opioid sparing.

11 [Slide.

12 Lastly, is opioid sparing meaningful in
13 your clinical trial. I am remind of the
14 expression, "A difference is only a difference if
15 it makes a difference," and so if you do reduce
16 your opioid dose, does that mean anything.

17 Well, I think it does mean something if
18 the scientific question is whether the drug has
19 analgesic activity in the model that you chose, so
20 for a proof of concept trial, for example, if you
21 are just trying to show does your drug have
22 analgesic effects or not, given the caveats I
23 mentioned earlier, you know, I think that answers
24 your question, but if you are trying to show does
25 the treatment help the patient, which I think

1 ultimately is what we need to have an evidentiary
2 body of information about, the answer is no, by
3 itself, if you are on 10 milligrams of morphine or
4 20 milligrams of morphine, that doesn't mean you
5 are better or not better.

6 You need to show I think, in my opinion,
7 if you are interested in whether the patient is
8 benefiting, some benefit, which could be decreased
9 pain, it could be decreased side effects, which
10 again you are not going to get unless you address
11 in an aggressive way.

12 By decreased pain, we have to be a little
13 bit careful there. The example that comes to mind
14 for me is that we know that in the postoperative
15 setting, opioids work pretty well for rest pain,
16 but not as well for movement-associated pain,
17 whereas, NSAIDs tend to work well for
18 movement-associated pain, maybe even better than
19 opioids in some circumstances.

20 In the postoperative world,
21 movement-associated pain is where the rubber meets
22 the road, because patients get up and rehab
23 themselves and ship themselves out of the hospital
24 these days.

25 So, one could conceive of showing benefit

1 of NSAIDs by focusing specifically on
2 movement-associated pain compared to an opioid-only
3 regimen as opposed to just global pain. As people
4 were saying earlier, just looking at global pain,
5 you may miss the boat on something important.

6 So, I think that opioid sparing, by
7 itself, needs to be looked at very carefully, and
8 you have to really address the scientific question
9 of the study by looking at clinical benefit.

10 [Slide.

11 In conclusion, opioid toxicity, just to
12 recapitulate, opioids are generally safe
13 medications. We don't have 17,000 patients a year
14 dying of GI bleeding in the United States from
15 opioids.

16 So, looking at the big picture, opioids
17 are generally safe medications. I think it is fair
18 to say that the treatment response does appear to
19 be durable in a subgroup. How large is that
20 subgroup, I don't know, and again, tolerance has
21 really not been systematically looked at in any
22 published studies.

23 In my view, symptom distress scales or
24 toxicity scales, especially trying to look at why
25 people drop out, so that you don't have informative

1 censoring going on, must be used to assess the
2 overall treatment effect.

3 Addiction, the major concern in chronic
4 treatment I think has not been investigated, in my
5 view, using any legitimate methods, and
6 endocrinopathies may, in fact, wind up if this
7 preliminary data pans out to be actually the major
8 organ toxicity of opioids as we go forward.

9 Thank you for your attention.

10 DR. FIRESTEIN: Thank you very much, and
11 we will have an opportunity to discuss some of this
12 in a few minutes during our open discussion after
13 the next talk, which is Statistical Issues for
14 Measurements by Dr. Lu.

15 Statistical Issues for Measurements

16 Laura Lu, Ph.D.

17 DR. LU: Good afternoon. I am going to
18 discuss issues in time-specific measurements and
19 time-weighted average for pain in chronic and acute
20 analgesia trials.

21 This discussion is to set a stage for
22 tomorrow's further discussion of endpoints.

23 [Slide.

24 First, I am going to introduce
25 time-specific measurements and time-weighted

1 average. Then, I will discuss issues in chronic
2 analgesia trials for those measurements in terms of
3 interpretation of drug benefit and data imputation
4 methods, and the parallel issues in acute analgesia
5 trials. At the end, I will provide summary.

6 [Slide.

7 I will use an individual patient's pain
8 curve to illustrate those measurements I will talk
9 about. Suppose a patient's pain was evaluated at
10 time 2, 4, 8, and 12, and these vertical segments
11 represent change from baseline in pain scores at
12 each specific time 2, 4, 8, and 12. So, these are
13 what I call time-specific measurements.

14 I will refer to the area under this pain
15 curve as AUC later.

16 [Slide.

17 I denote those time-specific measurements
18 for change from baseline in pain as d_1 , d_2 , d_3 , and
19 d_4 , and the time intervals between each
20 neighborhood measurements as t_1 , t_2 , t_3 , and t_4 .

21 [Slide.

22 The time-weighted average can be defined
23 as AUC divided by the patient's treatment period.
24 In another form, it can be also described as a
25 weighted average of time-specific measurements, and

1 the weights are decided by the neighborhood
2 intervals of disorder and the treatment period.
3 That is why we call this normalized AUC
4 measurements as time-weighted average, and one-time
5 weighted average is used as an endpoint we quite
6 often refer to it as AUC approach.

7 [Slide.

8 Now, the issues in chronic analgesia
9 trials. First, the interpretation of drug benefit
10 by those measurements.

11 [Slide.

12 End-of-the-trial measurement is a
13 time-specific measurement. It is commonly used in
14 chronic analgesia trials. It measures drug effect
15 at the end of the trial. Time-weighted average is
16 another endpoint being used. It measures average
17 effect through the trial.

18 The two measurements actually describe
19 different aspects of drug effect, and no matter
20 which measurement is used at the endpoint, the
21 consistency of drug benefit over time is always an
22 important review issue.

23 [Slide.

24 As shown in this graph, when two
25 treatments switch advantage over time, then, there