

1 language I think.

2 DR. TEMPLE: It's what I said. It says
3 something about the drug itself. There have not been
4 comparative claims of that kind. You could argue about
5 whether that's useful, given that the whole class is known
6 to behave in a certain way, but I sort of hear positive
7 thoughts about such things because they might be useful. I
8 just want to be sure I'm interpreting you correctly.

9 DR. BORER: If I understood correctly -- and
10 I'll let her speak for herself -- what Beverly was
11 suggesting was that it would be very legitimate if someone
12 wanted to come forward and do those studies and do them and
13 show that a certain drug is better in a subpopulation, in a
14 minority population, whatever, than another drug, that that
15 would be a reasonable thing to do, but not that every
16 package needs to show that.

17 Steve, you had another comment?

18 DR. NISSEN: Yes. Here's the question I would
19 ask; at least I asked. What do clinicians need to know in
20 order to optimally care for patients? Somebody walks in my
21 office with isolated systolic hypertension. If there is a
22 drug out there that works better in that population than
23 another drug, would it be useful for me as a clinician to
24 know that? If it could be proven satisfactorily to
25 everybody involved, the answer is you bet. Or in African

1 Americans. So, when it's clinically relevant, when there
2 is a population out there, we have to decide which drug to
3 use. Right now we don't have much information about that
4 and I think that would be potentially very valuable to
5 clinicians.

6 Similarly, many patients that we see
7 particularly with diabetes are on poly-drug regimens,
8 complex regimens where it's tough to control the blood
9 pressure. If some combination or permutations of agents
10 had a particularly synergistic effect such that we could
11 get better blood pressure control by combining agent X with
12 agent A rather than agent Y and if that were really
13 robustly shown, then that could have a really big impact on
14 how we think about this.

15 For example, if adding a drug to a diuretic, if
16 drug A added to a diuretic does a better job than adding
17 drug B, even though compared to each other, they may not be
18 very different, then that's useful information for
19 clinicians, and if it can be proven satisfactorily, I'd
20 like to know that and I'd like that to be in the labeling.

21 DR. BORER: Paul.

22 DR. ARMSTRONG: I guess it would be helpful,
23 Bob, -- and maybe in the workshop you're going to organize,
24 you can deal with this -- the extent to which the label
25 should become an advocacy statement for education of

1 physicians and doctors and used by the drug detailers to
2 impact favorably on the care of patients.

3 DR. BORER: Tom.

4 DR. PICKERING: Yes, just a word of concern
5 about saying that a drug works better in one ethnic group
6 than another. When ACE inhibitors first came out, the word
7 was that they didn't work as well in blacks as in whites.
8 I think there was genuine concern that African Americans
9 were not getting some of the benefits of ACE inhibitors
10 they might have otherwise have been getting, independent of
11 the blood pressure effect. In fact, you can get the same
12 effect with just increasing the dose and combining it with
13 a diuretic.

14 DR. BORER: Have you gotten all the advice you
15 need about this one, Doug?

16 I'm sorry. Tom.

17 DR. FLEMING: I'd like to go back then to
18 Paul's answers to questions 3.1 and 3.2. Paul, I think you
19 had said yes to 1 and no to 2.

20 We had talked a bit, when we answered question
21 2, about a scenario where the comparator might have
22 substantial evidence indicating that a bi.d. regimen would
23 be more effective in its delivery than a q.d. One might
24 imagine that the once-daily antihypertensive experimental
25 regimen, let's say, yields a 6 millimeter drop, and if you

1 compare it to the comparator's once-daily, you would see
2 superiority at 6.4. But it's already known that the
3 comparator is much more effective at b.i.d. And let's say
4 it would be 10. So, it would be inferior. It would be 6
5 against 10.

6 In general, my answer, I thought, would have
7 been you want to compare to the comparator's most effective
8 approved regimen if there's evidence strongly indicating
9 that the comparator is more effective at a different
10 schedule. In the setting in which there isn't such
11 evidence, and the evidence suggests that q.d. and a
12 different schedule would be relatively comparable in
13 efficacy, then I can understand that it would be, as you've
14 indicated in 3.1, appropriate to compare it to the highest
15 approved once-daily dose.

16 But in those settings where there's
17 considerable evidence that the comparator is more effective
18 in a regimen other than once-daily dosing, then to claim
19 superiority, I would think we would have to be superior to
20 that optimal delivery of the comparator regimen.

21 DR. BORER: For the record, Paul already agreed
22 with you when Doug clarified his question, that 3.2 would
23 be a reasonable basis for a superiority claim.

24 DR. TEMPLE: Tom is saying it's necessary. I
25 believe several people said not necessarily if the drug

1 that doesn't work as well once a day has a once-a-day
2 claim. So, a lot turned on what the nature of the claim
3 is.

4 DR. BORER: Do you want a more complete
5 clarification of the answer to that?

6 DR. TEMPLE: Well, we should be sure that we've
7 heard you correctly.

8 DR. FLEMING: Let me just emphasize this. What
9 I'm suggesting is if there is an approval for the
10 comparator agent at q.d. and, for example b.i.d., and
11 there's considerable evidence that b.i.d. for that agent
12 delivers greater efficacy, then if I want a superiority
13 claim against the comparator, I'm suggesting that it would
14 be necessary to have evidence of superiority against its
15 known more effective schedule.

16 DR. BORER: Beverly.

17 DR. LORELL: I agree with that.

18 DR. BORER: Mike.

19 DR. ARTMAN: Yes, I agree with Tom.

20 DR. BORER: JoAnn.

21 DR. LINDENFELD: I'm not sure I agree with
22 that. Let me just be sure I'm clear. But I think if the
23 less effective drug is approved for once a day, then I as a
24 doctor in the office want to know if I can give another
25 once-a-day drug and it's better just once a day. So, I

1 think even if the drug is more effective at b.i.d., if it's
2 approved to be given once a day, then I think it's fair to
3 say that these two drugs compare and one is better once a
4 day, and then you can make it clear that the once-a-day
5 drug, the more effective one, is not as effective as
6 against b.i.d. But giving once-a-day drugs is important,
7 if I understand your point.

8 DR. FLEMING: Well, this might be a situation
9 that doesn't exist. I.e., if the comparator agent is known
10 to be more effective at, let's say, b.i.d. than q.d., would
11 there be a setting where it would be approved in both
12 schedules?

13 DR. TEMPLE: It could be if it lowers the blood
14 pressure at trough by 4 millimeters of mercury or so, which
15 is not so different, we might approve it even though the
16 peak effect was bigger. There are some cases where that's
17 been true, and we'd try to give as much data as we could so
18 that people could make a judgment about how they were
19 doing. But obviously some people, if they were controlled
20 to the physician's satisfaction with the once-a-day regimen
21 might choose that because they would conclude their patient
22 is more likely to take it. So, there could be cases like
23 that. Not with this class which seems to have an effect
24 that outlasts its blood levels to a degree, but with
25 calcium channel blockers, you could have that certainly

1 where the effect is relatively evanescent.

2 DR. THROCKMORTON: But we do first start out
3 saying, yes, it has potential to be a once-a-day drug or
4 not. Certainly if that's not demonstrated, you're right.
5 We'll say if you gave tons of it to sort of symptom levels
6 at peak, you might eke out a trough. That isn't something
7 that we're interested in.

8 The typical label for this class tends to
9 describe the use at once a day up to maximum dose and then
10 when available what to do after you reach that top dose.
11 That may mean that you double up the dose, you drop back
12 and go to b.i.d., what it is, add a diuretic, what the
13 available data suggested. And that's sort of the flavor of
14 these labels.

15 So, what I'm hearing is if that's the flavor of
16 the label, if the label has a sort of once-a-day feel to
17 it, then that's an adequate comparator. If the label has a
18 twice-a-day dosing, it doesn't even raise the issues of a
19 once-a-day possibility, then obviously, as you said before,
20 that wouldn't be a fair comparison.

21 DR. TEMPLE: There are also some in between. I
22 may misremember this, but for at least one beta-blocker --
23 but since I'm not sure, I won't name it -- it said usually
24 you should use divided doses, but some people may be
25 controlled by a once-a-day dose. That's sort of in

1 between. That suggests that usually you need multiple
2 doses, but somebody might get away with --

3 DR. FLEMING: And in that setting in
4 particular, I would think it would be compelling to argue
5 that you would need to be comparing to the b.i.d. dose.

6 DR. BORER: Okay, the unusual setting
7 notwithstanding, my opinion would be identical with
8 JoAnn's. If the drug is approved for once-a-day dosing and
9 people can expect that it would be effective for once-a-day
10 dosing, I think it's very reasonable to claim superiority
11 for once-a-day dosing, but you have to be very careful
12 about the way the label is written so that there's no
13 implication that something else is also true that hasn't
14 been studied.

15 Paul.

16 DR. ARMSTRONG: Just to clarify and come back
17 to Tom's point, my view would be if we're talking about
18 changing a label for comparison of drug X to compare with
19 drug Y, that first we look at the comparison at once a day,
20 and secondly we say drug Y, which is already approved for
21 twice-daily dosing, because it's germane to the discussion
22 we're going to have later, you cannot claim superiority to
23 an efficacy dosing regimen that's been approved only on the
24 basis of once a day. So, the caveat has to be that there
25 may be a more effective way of administering the drug that

1 you're trying to claim superiority over. There I think the
2 principles of fairness apply in the label and are clear.

3 DR. BORER: Steve.

4 DR. NISSEN: Bob, there are some shades of gray
5 here I think. Let me see if I can help to clarify that. I
6 can imagine a drug which is somewhat more effective b.i.d.
7 than q.d., where as a clinician, in a patient that's near
8 to their target blood pressure, I might give the drug once
9 a day because of the convenience effect. But to get
10 maximum efficacy in a patient that's much harder to
11 control, I'd go to b.i.d. So, that shade of gray here
12 means that we've got to be careful. So, beating up on a
13 drug simply because it has q.d. in its label somewhere that
14 you can give it q.d. I don't think is right.

15 So, I agree with Tom and I don't agree with
16 Jeff or JoAnn. I think that it depends, and it depends a
17 little bit on what the peak-to-trough ratios really look
18 like. I might use a drug with a .5 peak-to-trough ratio in
19 certain patients, and that's fine, but then I might well
20 recognize that giving that drug b.i.d. can get a pretty
21 much bigger effect, and therefore I wouldn't want somebody
22 to be able to say that their drug is superior to such a
23 drug when, in fact, we know that that drug can work pretty
24 well b.i.d.

25 DR. TEMPLE: Well, they're not saying it's

1 superior. They're proposing to say that when you use it
2 once a day, it gives you a difference of X millimeters of
3 mercury. The proposal didn't say how big the difference
4 was, but we would include what the difference was.

5 DR. NISSEN: No, but you know, in the nuances
6 of what a detail person is going to do, once you give them
7 that superiority claim, they're going to ram it down
8 everybody's throats. I just think it's potentially a
9 mistake here. We're very fortunate here I think that we
10 have drugs that have very similar peak-to-trough ratios.
11 It makes it very clean. But it may not be so clean next
12 time, and I think we've got to be careful.

13 DR. TEMPLE: Let me mention one thing. You
14 have one other thing here too. The usual reason we worry
15 about peak-to-trough is that we're afraid the pharmacologic
16 effect will emerge and then disappear. What that means is
17 that if you give the drug that ought to be given twice a
18 day in a single dose early, it ought to be showing its
19 maximum effect if the usual thing you're worried about is
20 here.

21 Well, here the differences were observable both
22 at peak and trough. So, one of the things you might worry
23 about is not present here. That suggests that it wouldn't
24 make much difference even b.i.d. Of course, we don't know
25 that.

1 DR. BORER: Blase.

2 DR. CARABELLO: If you have two drugs that are
3 both labeled for once-a-day dosing and one is superior, I
4 think it's perfectly legitimate to make that claim of
5 superiority. If it turned out that in that context you had
6 two drugs where one was superior at once-a-day dosing while
7 the converse was true with twice-a-day dosing, you simply
8 make the label say that, and then there's no question. I
9 don't think these two issues need to be in conflict as long
10 as the label states the truth.

11 DR. BORER: Susanna.

12 DR. CUNNINGHAM: I would agree that I'd like
13 both pieces of information and have the label say it. But
14 I also think we have to worry about what people will really
15 do, and people are most likely to take things once a day
16 and less likely twice a day. So, that's another piece
17 that's going to add in in the real world.

18 DR. BORER: Tom.

19 DR. PICKERING: I would say there's no simple
20 answer to this question and you have to judge each case on
21 its individual merits and look at the time course of the
22 two drugs being compared in each case.

23 DR. BORER: Now, do you have as much advice as
24 you'd like?

25 (Laughter.)

1 DR. TEMPLE: Oh, yes, we got a lot.

2 DR. BORER: I neglected inappropriately at the
3 beginning of the session after the break to ask if there
4 are any speakers who want to say anything in open public
5 hearing. We had nobody sign up to do this, and that's why
6 I didn't ask the question. But is there anyone who needs
7 to make a public comment?

8 (No response.)

9 DR. BORER: If not, we'll go on to question
10 number 4. Paul, why don't you just read it and go through
11 it.

12 DR. ARMSTRONG: Is it possible to claim
13 superiority if the comparator has other outcome benefits
14 not demonstrated by the test drug? I would say yes, most
15 certainly.

16 On clinical endpoints in hypertensive patients,
17 for example, stroke reduction? Yes, enthusiastically.

18 And in other populations such as those with
19 heart failure, diabetic nephropathy, for example? And
20 again, I would say yes.

21 And then the final question in that section of
22 question 4 is, is it possible to claim superiority if the
23 comparator has fewer potential pharmacokinetic interactions
24 such as those related to CYP 2D6 or CYP 3A4 inhibition?
25 And I would say no unless there was clinically relevant

1 drug-drug interactions or special populations where these
2 kinetic interactions were shown to have clinical
3 significance.

4 DR. BORER: Can I ask for a clarification
5 there?

6 DR. THROCKMORTON: Jeff, I'm sorry. We left a
7 phrase out of here, and I think it changes, a bit, the
8 sense of this. I'll paint the scenario.

9 The question I believe should read is it
10 possible to claim superiority as an antihypertensive, that
11 is, just measuring differences in blood pressure if the
12 agent you're comparing yourself with has some other effect.

13 So, an example would be you are comparing yourself against
14 ramipril and measuring only differences in blood pressure.

15 How would you factor in the description of the HOPE trial
16 that's in the approved labeling for ramipril? Would that
17 mean that showing a difference in lowering blood pressure
18 isn't ever enough to describe in labeling, or is it
19 something that's always useful to describe in labeling,
20 someplace in the middle presumably?

21 DR. BORER: Paul, do you want to start on that
22 one?

23 DR. ARMSTRONG: So, you're rephrasing all of
24 question 4. Is that correct? Or just the last component.
25 I'm a little confused, Douglas.

1 DR. THROCKMORTON: Just 4.1 refers to lowering
2 blood pressure compared to doing other things where
3 clinical outcomes have been measured.

4 DR. TEMPLE: The question really is suppose
5 blood pressure isn't the whole story for this drug. Is it
6 okay to concentrate on the blood pressure effect when
7 there's outcome data? You could ask the same thing about
8 cholesterol-lowering drugs. The fact is that some of them
9 have comparative data on cholesterol lowering when there
10 are existing considerable differences in how much outcome
11 data they have. But what do you think about that?

12 DR. ARMSTRONG: Well, I strongly advocated, in
13 one of the earlier questions, for intermediate surrogates
14 between blood pressure and stroke. So, I think my stance
15 on this particular one is pretty clear. I don't know
16 whether it's good enough to add a new drug to the ones we
17 have in our armamentarium that lowers blood pressure and
18 doesn't do the other things that some of the drugs that
19 lower blood pressure do that are good for patients. Is
20 that helpful?

21 DR. BORER: Can I just, again, try to get a
22 clarification here? It seems to me that when we recommend
23 that drugs should be approved for their capacity to lower
24 blood pressure, we're immediately making the inference to
25 ourselves in that approval that we're approving a drug

1 that's going to reduce the rate of myocardial infarction,
2 stroke, cardiovascular death, renal failure. That's a
3 given. That's what a surrogate is.

4 There are two possible interpretations of the
5 question. One is do we have to show that the drug is also
6 better than some existing approved drug for outcomes, and
7 if that's the case, I would say no, it shouldn't be
8 necessary to do that. But the other possible question is,
9 if we believe the new drug is better and we show it somehow
10 -- and let's not talk about how we show it because that's a
11 very different trial design possibly, but if we could show
12 that a drug were better, would that be a basis for a
13 superiority claim? Am I somewhere in the range of what
14 you're asking about?

15 DR. TEMPLE: Let's take an example. You, last
16 visit, urged us to approve two drugs, including the
17 comparator agent here for use in type II diabetes to
18 prevent the progression of renal disease. Okay. So, when
19 and if we get around to doing that and agree to it,
20 losartan will contain a claim that it's useful for that.
21 Okay.

22 The question here is, does that make you want
23 to think in any way differently about giving a claim that
24 once-a-day therapy with candesartan lowers blood pressure
25 better than losartan? Should we factor that in in some

1 way? Should we say something about it? Should we not
2 allow it?

3 DR. BORER: That's a complicated question.

4 DR. TEMPLE: That's why we pay you the big
5 bucks.

6 (Laughter.)

7 DR. BORER: Some relatively quickly stated
8 opinions around the table here about that. Steve.

9 DR. NISSEN: Yes. I would say one should be
10 very, very careful here because what it would mean is let's
11 suppose somebody came along with another ACE inhibitor and
12 showed that it was superior at blood pressure reduction to
13 ramipril. If we said that HOPE trumps everything else,
14 then you could never give a blood pressure claim to another
15 drug because HOPE has got that 10,000-patient, albeit
16 horribly flawed in my opinion, study that showed a
17 purported clinical benefit. But you're giving them that
18 claim, and so if that trumps everything else, then you've
19 got a really big problem because then any drug that wants
20 to come along in the class and say we've got a better blood
21 pressure effect would have to do a HOPE-sized endpoint
22 trial in order to get a superiority claim.

23 I think what one could say in such labeling is
24 that drug X had a greater blood pressure lowering effect
25 than ramipril, although it has not been proven to have a

1 superior effect on X outcome. And then I think you've
2 covered yourself. So, you may want to put it in the label,
3 but I think to say that you can never give a claim for a
4 surrogate once you've given a claim for a hard endpoint I
5 think is going too far.

6 DR. BORER: Why don't we start at that side of
7 the table and just go around and get a quicky opinion here.
8 Tom.

9 DR. PICKERING: Yes, I guess in this context we
10 have the LIFE study, and I would agree with Steve, that any
11 claim has to be very specifically focused on blood pressure
12 reduction and there may be subtle nuances where you say
13 it's a better antihypertensive. That doesn't distinguish
14 between whether it's better at blood pressure reduction or
15 better at preventing complications. So, I think it has to
16 be very specific to blood pressure.

17 DR. BORER: Susanna, any additional thoughts?

18 DR. CUNNINGHAM: I think I'd always like to
19 know it prevented events. If I was going to be taking
20 something, really my concern is that the event I'm going to
21 have, not my blood pressure per se. So, I think we've got
22 to be very careful. This is very difficult to give a
23 yes/no answer to. It's going to be a maybe answer, and it
24 needs to be as specific as possible.

25 DR. BORER: Blase.

1 DR. CARABELLO: If you had a drug that was
2 superior in lowering blood pressure but clearly was
3 inferior at other endpoints, I think it would be very
4 difficult to allow the claim of superiority to stand.
5 Let's say we were comparing hydralazine to propranolol when
6 they first came out. Well, at their maximum dose, I
7 guarantee you that hydralazine lowers blood pressure more.
8 Would we have wanted to go on record as saying hydralazine
9 is a better drug than propranolol? I think not.

10 DR. BORER: Paul, do you have any additional?

11 DR. ARMSTRONG: I think this is slippery and I
12 think it's time to change in relationship to blood pressure
13 lowering.

14 DR. BORER: I think that what Tom said and what
15 Steve said are most appropriate; that is, if a drug is
16 being judged as an antihypertensive drug and blood pressure
17 is what's been measured and other things haven't been
18 measured, that it's fair to give a superiority claim for
19 blood pressure lowering, if the data support that, and
20 perhaps it's appropriate to say, but we haven't studied the
21 other things or something like that. We're not going to
22 wordsmith here.

23 I think the issue that Blase raises, which is a
24 very important one, about a drug clearly being inferior on
25 events would be an important consideration if we had the

1 data to show that. But we don't. In fact, I don't think
2 we ever have. So, there would be an important
3 consideration.

4 But should a drug company, sponsor, be held to
5 the standard that it is necessary to do the other study to
6 show superiority or inferiority or equivalence on the non-
7 blood pressure endpoint, I think that's too high a
8 standard. I think that's a separate issue. We all accept
9 that from Dr. Kannel's data that the more you lower blood
10 pressure, the better off you are, to a certain extent until
11 you faint. Therefore, if one drug is better than another
12 for that purpose, that's something that should be known and
13 can be legitimately factored into clinical decision making.

14 So, I think it's a reasonable basis for a superiority
15 claim.

16 Tom, do you have any other comments about that
17 issue?

18 DR. FLEMING: Well, this is a situation that
19 troubles me greatly in using surrogates. Ultimately what I
20 want to be superior with respect to are the clinical
21 endpoints, superiority in reducing risk of stroke and MIs
22 and death. If I am superior in blood pressure control,
23 then it's certainly acceptable to claim superiority in
24 blood pressure control. And that's one mechanism by which
25 you would be influencing the occurrence of those other

1 events.

2 But in a setting, which I think this question
3 is posing, where I know the comparator has evidence of
4 other effects or other mechanisms of action other than
5 through blood pressure control whereby it's achieving
6 clinical benefit, then I think it's misleading to simply
7 state that the study has shown better blood pressure
8 control. I think you have to give a more global
9 presentation of the results. There is evidence of
10 superiority in blood pressure control, but I think you have
11 to then indicate where there are these other superior
12 benefits of the comparator so that someone can make a more
13 informed judgment about global benefit to risk.

14 DR. TEMPLE: You don't know about superior
15 benefits. All you know is that they've found something
16 that the other one hasn't. You could, in some sense, say
17 as soon as one member of a class gets an outcome claim, all
18 the rest of them ought to be labeled that we don't have
19 that outcome claim. Now, that's not crazy, but it would be
20 radical.

21 DR. FLEMING: So, basically there are two ways
22 of reading this question when you say not demonstrated by
23 the test drug. It could be that the test drug has been
24 assessed and the benefit wasn't demonstrated or that you
25 haven't even looked for it yet. And those are distinct

1 circumstances. The former circumstance is what I consider
2 to be especially problematic.

3 DR. BORER: As a practical matter, what Bob
4 says I think is very important. Ramipril received a
5 labeling claim on the basis of the HOPE trial. No other
6 ACE inhibitor has that claim, and in fact if you wanted to
7 use another one for that purpose, I would suggest that we
8 don't know what dose to use, whereas there was a dose-
9 response curve shown in the HOPE trial. So, you have a lot
10 of information there.

11 But, as Blase and I were discussing earlier, if
12 somebody gets his or her medical care at a Veterans Affairs
13 hospital, you can't get ramipril for the indication that
14 we're talking about. So, people wind up perhaps using
15 other ACE inhibitors without the data. So, the point is
16 well taken that if we don't have these data, it's hard to
17 penalize a drug for not having data that we don't have when
18 there are data that may be relevant for other purposes.
19 Well, enough said.

20 JoAnn, do you have any other thoughts about
21 this issue?

22 DR. LINDENFELD: No. I would just agree. I
23 think it's fair to say that the blood pressure control is
24 superior if there are no concerning data that there might
25 be other events that are bad, and then in the labeling to

1 take care of the idea that we just don't have the same
2 outcome data as we have with the comparator.

3 DR. TEMPLE: Well, on the last, though, I just
4 want to emphasize, for example, we don't have all other ACE
5 inhibitors labeled saying I'm not ramipril or I'm not this
6 or that.

7 DR. LINDENFELD: But they're not specifically
8 compared to ramipril, are they, in the labeling?

9 DR. TEMPLE: No, but they lack the data that
10 ramipril has. If they were directly compared, yes, we're
11 not burdened by that --

12 DR. LINDENFELD: But here you're asking to say
13 that one drug is specifically better than another
14 individual drug, and so I think if you want that claim, you
15 should say that we don't have the same outcome data with
16 the other specific drug that we're claiming to be superior
17 to in blood pressure. I think that's a little bit
18 different situation.

19 DR. TEMPLE: Well, that is.

20 DR. NISSEN: A very important point that JoAnn
21 makes. I think what she's saying -- and I agree with it
22 wholeheartedly -- is if somebody came along and said, we
23 lower blood pressure better than ramipril and I want a
24 claim, then you also force them to add to the label that
25 they don't have the outcomes data.

1 DR. TEMPLE: Before we leave that, the claim
2 that the comparator agent might get, based on your
3 recommendation, doesn't really clearly have anything to do
4 with its blood pressure control. Remember, these drugs
5 were compared with calcium channel blockers that lower the
6 blood pressure just as much. It seems like it has more to
7 do with something else. Is that still something that ought
8 to be included in there? Keep talking.

9 DR. BORER: At the next meeting.

10 Mike.

11 DR. ARTMAN: I agree with what's been said. I
12 think that it's a little easier when you have these, as
13 Steve pointed out, within-class comparisons. When you're
14 comparing drugs that have antihypertensive effects across
15 classes, then that's where I think it gets pretty dicey. I
16 think it's very difficult to give a simple yes or no answer
17 to this. I think I would agree with what's been said about
18 explicitly clarifying those issues in the labeling.

19 DR. BORER: Beverly.

20 DR. LORELL: I think this is a very slippery
21 issue. I think a couple of points that were made are very
22 clear, that if drug A demonstrated a claim of superiority
23 over drug B on a surrogate endpoint, but that there were
24 other endpoints that were formally tested that were
25 negative, that must be said in the labeling.

1 I think the second instance is that if drug A
2 is seeking a superiority claim for a surrogate endpoint
3 over drug B and demonstrates it, but drug B explicitly has
4 gone a step further and demonstrated a major endpoint
5 that's present in its labeling, I think that in fairness to
6 consumers and those who prescribe drugs, that must also be
7 stated.

8 However, I agree with your point that I don't
9 think it should be required in labeling to state something
10 that has not clearly been tested, where there's uncertainty
11 as to whether something is explicitly a poorly understood
12 property of a drug versus a class effect.

13 DR. BORER: Okay. You now have a great deal of
14 thinking recorded, and I'm sure we'll revisit this again.
15 But for now, let's go on to number 5.

16 Yes, Tom.

17 DR. FLEMING: Well, have we covered this
18 adequately, Bob?

19 DR. THROCKMORTON: We're going to give you a
20 chance to revisit this when you come to tell us how to
21 label any of these products. We'll be asking you the
22 specifics around these particular products. I think that
23 will give us some additional insight.

24 DR. TEMPLE: I admit to some difficulty about
25 what I hear at least some tendency towards suggesting,

1 which is fine, mention that the blood pressure effect was
2 bigger but add a series of caveats that say, but they
3 haven't shown the outcome data yet for this. We don't
4 regularly do that. Other sartans don't say, won't say we
5 don't know whether we have the effect that some of them
6 have on type II diabetes. It's not that one couldn't do
7 that, but we tend to remain more agnostic perhaps to help
8 your HMO know what to do because we don't know whether it's
9 more sensible to assume that members of a class all behave
10 the same or to be rigid about saying if you haven't shown
11 it yet, you don't get it yet.

12 And we certainly have not, though, as a matter
13 of practice, which one could say would be informative, said
14 as soon as we gave a claim to one of them, relabel all the
15 others saying they don't have this claim. The suggestions
16 I think move a little in that direction. So, that's a lot
17 to think about.

18 DR. THROCKMORTON: Well, the argument is that
19 because it's a strict comparison against that drug, there's
20 a higher burden of labeling. I think that's what I heard.

21 DR. ARMSTRONG: But isn't it also an issue of
22 whether the measurement is a surrogate as opposed to a
23 direct indicator of the disease process where we get into
24 this? In other words, the surrogate may go the opposite
25 way to the very thing that we want to modify and that

1 conversation can be segmented around that kind of class of
2 agents.

3 DR. TEMPLE: But in the example we're talking
4 about where we see a difference in a blood pressure effect,
5 we really don't know whether that has anything to do with
6 an effect in type II diabetes. We wouldn't let anybody say
7 anything like that. So, I don't know if that's the
8 relevant surrogate for the effect in type II diabetes.
9 Maybe it is. Maybe it really was the blood pressure, but
10 maybe it's really something vascular that is quite a
11 different matter. So, to add but we don't know whether it
12 has this effect -- well, it's troublesome. We'll certainly
13 think about everything that you've said.

14 DR. BORER: Beverly.

15 DR. LORELL: Well, but I think you just made a
16 very important comment, that when you're seeking a claim
17 explicitly for superiority between drug A and B --

18 DR. TEMPLE: On blood pressure.

19 DR. LORELL: -- whether it be for
20 hypertension --

21 DR. TEMPLE: Only on blood pressure.

22 DR. LORELL: For blood pressure. But the
23 notion of superiority in a claim and in marketing and in
24 what consumers are going to be doing carries some extra
25 burden of labeling in my opinion. So, if drug A is

1 specifically compared to B for superiority, but B has shown
2 something otherwise very important in a long-term outcome
3 measure, then it needs to be stated in the labeling. It
4 can be simple labeling, but I think the superiority claim
5 carries a higher level of statement.

6 DR. TEMPLE: Makes it more necessary.

7 DR. BORER: Tom, hold just one second, if you
8 will. In deference to the need to complete this review
9 this morning sometime, let me ask if it's okay that we
10 table the remainder of the discussion on this particular
11 issue that is a more generalized issue than the question
12 we're being asked to focus on because of this NDA, and
13 maybe we can get to some of the specifics in the later
14 questions or at another time.

15 DR. THROCKMORTON: That's fine. Actually I
16 think, Jeff, question 5 was generally asking these studies
17 are often hard to do. How enthusiastic is the committee at
18 encouraging sponsors to continue to do them? I haven't
19 heard any lack of enthusiasm. So, unless someone thinks
20 that we should say this is useless and we shouldn't
21 encourage it, I think we could probably move to question 6.

22 DR. BORER: Does anybody think we shouldn't
23 encourage more comparative studies?

24 (No response.)

25 DR. BORER: Nobody seems to.

1 DR. THROCKMORTON: In antihypertensives.

2 DR. ARMSTRONG: I was just going to say as long
3 as they're addressing relevant questions, it would be
4 safety or compliance or even cost in terms of making it
5 generally available to a large population. Presumably, if
6 you're in a position of advocacy and advice to sponsors,
7 you should give them a fair chance and likelihood that they
8 can make a contribution, and what would be the parameters,
9 and those would be three that would occur to me.

10 DR. BORER: Let's go on to question 6. This
11 one does require a vote. So, only voting members can vote.

12 Overall, candesartan reduced diastolic blood
13 pressure by about 2 millimeters of mercury more at trough
14 than did losartan, an effect size that would be sufficient
15 for approval if a drug were compared with placebo.

16 6.1. Is this difference clinically meaningful
17 for a comparison between two antihypertensives? Paul, why
18 don't you give your answer first. We don't need long
19 reasons, but a sentence might be useful if you want to give
20 one.

21 DR. ARMSTRONG: Yes.

22 DR. BORER: Steve.

23 DR. NISSEN: Yes.

24 DR. BORER: Blase.

25 DR. CARABELLO: Yes.

1 DR. BORER: Susanna.

2 DR. CUNNINGHAM: Yes.

3 DR. BORER: Beverly.

4 DR. LORELL: Yes.

5 DR. BORER: Mike.

6 DR. ARTMAN: Yes.

7 DR. BORER: JoAnn.

8 DR. LINDENFELD: Yes.

9 DR. BORER: Tom.

10 DR. FLEMING: Yes.

11 DR. BORER: And I vote yes. It's unanimous.

12 6.2. Are the comparative safety data submitted
13 by the sponsor sufficient to show that the expected
14 reduction in cardiovascular risk would not be offset by
15 other risks of candesartan, which was an issue that Paul
16 was raising earlier. Again, we need a vote on this and
17 perhaps a little bit of reasoning here, if you want to give
18 some. Paul.

19 DR. ARMSTRONG: I would say that the data and
20 the references and the body of information would lead me to
21 answer that question yes.

22 DR. BORER: Steve.

23 DR. NISSEN: Yes.

24 DR. BORER: Blase.

25 DR. CARABELLO: Yes.

1 DR. BORER: Susanna.

2 DR. CUNNINGHAM: Yes.

3 DR. BORER: Beverly.

4 DR. LORELL: Yes.

5 DR. BORER: Mike.

6 DR. ARTMAN: Yes.

7 DR. BORER: JoAnn.

8 DR. LINDENFELD: Yes.

9 DR. BORER: Tom.

10 DR. FLEMING: I have some difficulty here
11 because the data are so limited as it relates to being able
12 to identify relative occurrences of more serious events.
13 There are twice as many SAEs, but they are fairly
14 infrequent in their occurrence. If one, though, looks at a
15 broader experience for agents in this class and is able to,
16 in essence, infer from that a favorable safety profile,
17 then in that context I could agree as yes.

18 DR. BORER: And I would vote yes, but for the
19 record I want to echo what Tom has said. I think that in
20 voting yes, I'm voting in part on the basis of long
21 experience with drugs in this class that make me reasonably
22 sanguine, although I don't think there are enough safety
23 data in this NDA to make a direct comparison. But with
24 that caveat, I would vote yes.

25 6.3. Would your answer regarding the need for

1 comparative safety data be different if the two drugs were
2 from different classes? For this we don't need a vote, but
3 we do need some opinions.

4 Paul.

5 DR. ARMSTRONG: Well, most assuredly yes. I
6 think that we know that lowering blood pressure may lead in
7 some circumstances to favorable outcomes and in other
8 situations the target organ and other issues may behave
9 differently. So, I think we need clearly to look
10 differently across classes.

11 DR. BORER: Tom, do you have any thoughts about
12 this particular issue?

13 DR. PICKERING: I would agree with that.

14 DR. BORER: Are there any dissenting opinions?

15 DR. NISSEN: I just want to amplify on this a
16 little bit and say that I would actually put the standard
17 even differently for both safety and efficacy because it's
18 all interwoven here. While I agree with what you said
19 earlier, Bob, that in general drugs that lower blood
20 pressure by more are generally better, but in fact we do
21 know that there are better rather big differences between
22 classes in the response of lowering that blood pressure for
23 specific endpoints.

24 There are some data, which we'll learn a lot
25 more from, from ALLHAT, for example, that may suggest that

1 calcium channel blockers lower stroke risk more effectively
2 than ACE inhibitors and that heart failure is more
3 effectively prevented by ACE inhibitors than calcium
4 channel blockers. These are examples, but the point here
5 being that without very robust data on those endpoints,
6 small differences in blood pressure can't really be
7 effectively described for the clinician in a way that's
8 really fair. So, I think this really does apply to
9 intraclass not interclass differences.

10 DR. TEMPLE: Let me be sure we understand.
11 That in some sense says unless you're prepared to do an
12 ALLHAT-sized study, you really can't get blood pressure
13 claims across classes. I can see that as a general view,
14 but what about the question of whether some drugs are more
15 effective at lowering blood pressure in a black population?
16 That might be informative. Would that mean the difference
17 has to be larger than here, or is that just not worth even
18 thinking about?

19 DR. NISSEN: That's what I was really saying
20 there is that we said earlier that 2 millimeters is enough
21 between two drugs in a class, that we're comfortable. I
22 would not necessarily be comfortable in saying that drug X
23 which was a diuretic and drug Y which was an ACE inhibitor,
24 that there was a difference in comparative efficacy when
25 there's only a 2 millimeter difference because I really

1 wouldn't know how much that 2 millimeters translated into
2 differences in clinically relevant endpoints across two
3 different classes. I think we could mislead clinicians if
4 we did that. People might say, okay, it's more effective.

5 I want to give this drug. And in fact the opposite effect
6 would be seen on the clinically relevant endpoint, and we'd
7 be misleading people about what the real benefits are.

8 DR. BORER: I'd like to offer a slightly
9 different opinion just so that it's on the record for your
10 edification. I think everything Steve says is very
11 important, and certainly from John Lara and from Tom
12 Pickering, I've gained a healthy appreciation for the
13 potential importance of mechanism-specific therapy if you
14 happen to know the mechanism.

15 But the data that we have thus far suggests --
16 and Dr. Kannel showed them -- that if you lower blood
17 pressure, you're less likely to have certain problems than
18 if you don't do it, particularly in people whose blood
19 pressure is high. And the approvability of a single drug,
20 before we get to the comparison of two drugs, is based on
21 demonstration of effectiveness and acceptable safety for
22 the intended use. So, we start out with that information
23 about risk and benefit for the individual drugs.

24 Now we're comparing two drugs. It seems to me
25 that while everything Steve says may well be true -- and in

1 fact, my bias is that it probably is. There are some drugs
2 that do better at some things than others and alter
3 pathophysiological processes differently -- we don't have
4 those data yet. And until we do, in terms of outcomes, I
5 think that if one drug lowers blood pressure more than
6 another drug beyond 2 millimeters, or whatever the standard
7 is we want to set, then based on the epidemiological data
8 that we've heard and that have been published for years,
9 unless there's a relative safety concern of one drug versus
10 the other, that it's reasonable to entertain a superiority
11 claim for lowering blood pressure. That doesn't mean that
12 it's not important to look for the outcome events and to
13 modify everything I've said once we get those data in hand.

14 But we don't have them now.

15 As Tom pointed out earlier, based on putative
16 mechanisms, interaction of genetics and mechanisms,
17 interactions of gene expression in drugs and what have you,
18 to make a guess about what we think is going to happen I
19 think is very treacherous, very dangerous, and we shouldn't
20 do it.

21 So, I would say that it's reasonable to give a
22 comparator claim here in 6.3, assuming that the safety
23 database is sufficient so that you can be reasonably
24 certain that you're not adding some other risk by getting
25 the blood pressure lowering.

1 Are there any other comments or questions about
2 this?

3 DR. NISSEN: I just want to take the moment to
4 challenge you a little bit, Jeff, and say that imagine a
5 drug that produces profound reductions in blood pressure
6 but a tremendous amount of reflex tachycardia, and now
7 you're comparing it. They come in and they say to the
8 agency, we want a superiority claim for blood pressure
9 reduction, and there's no comparative data that suggests
10 that that reflex tachycardia is really bad, but we have a
11 bias that it probably is bad. I think we could really give
12 the wrong advice to clinicians. Or a ganglionic blocker
13 that reduces blood pressure very effectively but causes
14 people to get syncopal.

15 So, I think we've got to be awfully careful
16 when we compare across classes because there are unexpected
17 effects, via the physiological mechanism of blood pressure
18 lowering, that are not factored into the decision. So, the
19 bar has to get raised a lot higher when you try to do this
20 across classes.

21 DR. TEMPLE: And you'd certainly, I assume, be
22 much more attentive to differences in the basic side effect
23 profile because they're fundamentally different drugs.

24 DR. NISSEN: Yes.

25 DR. TEMPLE: And you'd need to take that into

1 account at a minimum, if you did it at all.

2 DR. NISSEN: You bet.

3 DR. BORER: Beverly.

4 DR. LORELL: I think that the example that Dr.
5 Carabello brought up earlier of comparing hydralazine and
6 beta-blocker is a very important one. So, I think that as
7 question 6.3 is explicitly worded, would the need for
8 comparative safety data be different, the answer is
9 definitely yes. One might require a study of longer
10 duration in a larger number of patients to be able to tease
11 out differences in safety that might not have been seen in
12 the size of study we're looking at today within a class.

13 DR. BORER: Blase.

14 DR. CARABELLO: Just a comment that we
15 certainly couldn't resolve now. I think the whole issue
16 really is what is the label. What is the purpose of the
17 label? Is this an educational tool by which we are trying
18 to teach the people that use the pharmacologic agent about
19 it, or is it a marketing tool for the sponsor? I think the
20 answer is a little bit of both.

21 And how far do we want to go with this? I
22 myself would like to see the labels be more of an
23 educational tool, but as I say, I think we could easily be
24 here until next month on this issue.

25 DR. LINDENFELD: Jeff, just to add to what's

1 been said, I think there's a little bit of an even more
2 middle position than that. I think there's a difference
3 between a drug like hydralazine where we have no outcomes
4 for the treatment of hypertension from a class like
5 diuretics or calcium blockers where we do know that
6 lowering blood pressure improves outcome. So, I think we'd
7 all be very concerned about a drug that raised heart rate
8 14 beats where we had no outcome data at all from drug
9 classes where we know there is a correlation between the
10 reduction in blood pressure and outcomes data.

11 DR. BORER: Yes, I think that's quite right.
12 Of course, the approval process requires that experienced
13 regulators look at these data and raise concerns and that
14 committees like this voice their concerns so that if
15 potentially important tachycardia were seen, I think that a
16 number of red flags would be raised. But what I was
17 suggesting was the principle that if there are no safety
18 data from a reasonable safety database that Beverly has
19 outlined, if there are no safety data to suggest a problem
20 that better blood pressure lowering in drugs across classes
21 is a reasonable basis for a claim.

22 DR. TEMPLE: Actually one can particularly
23 imagine differential effects on systolic blood pressure
24 across classes. We haven't gotten that yet, but there are
25 certainly suggestions that there might be.

1 DR. BORER: Let's move on.

2 DR. FLEMING: Can I just add?

3 DR. BORER: I'm sorry. Tom.

4 DR. FLEMING: Jeff, just a brief addition. I
5 endorse the concerns that have been stated about caution
6 that would need to be taken, when we're looking at
7 different classes, particularly if there's reason to
8 suspect that there could be a different safety profile.

9 In fact, I also have that caution from
10 efficacy. My answer, for example, on question 6.1 as yes
11 is specific only to these two agents being tested from
12 within the same class.

13 DR. BORER: Let's go on to 6.4 Is the
14 comparison between candesartan and losartan fair, as
15 defined by ICH E-10? The relevant section is on page 7 of
16 the document.

17 Paul, why don't you go ahead.

18 DR. ARMSTRONG: The question doesn't ask
19 whether it was the best or the right test, but whether it
20 was a fair test. And fairness isn't a dichotomous
21 variable. But in reflecting on this and on the definition
22 of fairness, we're asked to consider issues around dose,
23 around the population studied and around the selection in
24 timing of endpoints, all germane to the current dialogue.

25 I would grade this about 3 out of 4 on my

1 fairness test in relationship to the issues. I think it
2 was a sensible and reasonable population.

3 I have some reservations about the doses. I'm
4 convinced that 16 of candesartan is better than 50 of
5 losartan, and 32 is better than 100. I'm not sure that 16
6 is better than 32 or 100 is better than 50, however. So,
7 in looking at all of the data, I would probably have
8 redesigned it a little differently in terms of the
9 candesartan piece, but that's en passant.

10 The other issue is the duration of effect and
11 the timing of the up-titration that I reflected on in my
12 earlier questions. I think the timing would have been and
13 could have been different and we could have been clearer
14 about what dose to use and when to up-titrate, and we'll
15 come back to that discussion in relationship to the actual
16 wording of the label, assuming that we want to educate
17 practitioners as to how to use these agents wisely. So, on
18 balance, I think it was a pretty fair test.

19 DR. BORER: Is there anyone around the table
20 who does not think it meets the fairness criteria that are
21 laid out in the document? No.

22 Tom.

23 DR. FLEMING: I agree with Paul. This isn't
24 simply yes/no. I strongly endorse the spirit of the ICH
25 E-10 guideline on page 8, section (a), pointing out that

1 there really are merits to understanding, when one is
2 looking at superiority, comparisons at multiple doses. My
3 own sense is there's a fairness here as long as one
4 conditions on what it is that we're claiming here. If
5 we're claiming that we're comparing q.d. and q.d., there's
6 a fairness here. But if one is trying to go beyond that
7 and, in a sense, say we have established superiority to
8 another agent relative to what its optimal efficacy might
9 be, then I think there's uncertainty here. As I've already
10 indicated earlier, it seems to me it would have been more
11 informative, since we're doing two trials, in the spirit of
12 ICH E-10, that the two trials could have differed in the
13 way the losartan was delivered.

14 There seems to be more evidence that
15 candesartan b.i.d. may not be more effective than
16 candesartan q.d., but the data that's presented to us,
17 though limited, suggests that there may well be a response
18 increase with b.i.d. over q.d. I think we would have had a
19 more informative answer, rather than two small, identically
20 designed trials, to have taken the full benefit of doing
21 two trials here and had the second trial look at a b.i.d.

22 DR. BORER: Any other elaborations on this
23 issue?

24 (No response.)

25 DR. BORER: If not. Let's move on to number 7,

1 and I'd like to break this into two parts, if I may, so
2 they don't get confounded in discussion.

3 First, do you recommend approval of candesartan
4 for superior antihypertensive efficacy when compared with
5 losartan? And forget about how the labeling might have to
6 limit that. Let's go through that first, and then if we do
7 agree with that, obviously the label, as everyone has said,
8 has to be carefully constructed. And we'll talk about the
9 labeling construction as a separate issue. So, forgetting
10 for a moment that we have to be careful in writing a label,
11 do you recommend approval of candesartan for superior
12 antihypertensive efficacy when compared with losartan?

13 Paul.

14 DR. ARMSTRONG: Yes.

15 DR. BORER: Steve.

16 DR. NISSEN: Yes.

17 DR. BORER: Blase.

18 DR. CARABELLO: Yes.

19 DR. BORER: Susanna.

20 DR. CUNNINGHAM: Yes.

21 DR. BORER: Beverly.

22 DR. LORELL: Yes.

23 DR. BORER: Mike.

24 DR. ARTMAN: Yes.

25 DR. BORER: JoAnn.

1 DR. LINDENFELD: Yes.

2 DR. BORER: Tom.

3 DR. FLEMING: Yes, conditionally given that
4 it's clear we're talking antihypertensive efficacy and
5 we're talking at q.d. versus q.d.

6 DR. BORER: I vote yes too and, of course, with
7 Tom's caveats, but we're going to get into that in a
8 second. So, you have a unanimous vote in favor of
9 approvability.

10 Now we have to talk about what it is we've
11 actually suggested you should approve. So, if so, how
12 should the findings of these trials be included in the
13 approved labeling, first of candesartan? And we're going
14 to need a vote about this. So, Paul, why don't you give
15 the statement and we'll see if anybody disagrees and we'll
16 vote.

17 DR. THROCKMORTON: Jeff, you've given the one
18 vote that we really needed for this particular one. I'd
19 like just discussion in general about the labels.

20 DR. BORER: Okay. We won't vote.

21 Paul.

22 DR. ARMSTRONG: Jeff, I'd like to make three
23 points in terms of introducing this. The first is that for
24 me, rather than have a discussion about a statistically
25 significant difference with no context of what the blood

1 pressures were or what changes unfolded is unhelpful. To
2 me we should dialogue or suggest to the regulatory agency
3 that we're serving that, obviously, that be incorporated, a
4 clinical context both from where the patients were and to
5 what extent the difference was clinically or biologically
6 significant as opposed to statistically significant. So,
7 that's the first point.

8 The second point is I have some concerns in
9 relationship to the draft about the notion or the
10 implication that if a blood pressure change was not
11 perceived to be satisfactory in the minds of the clinician
12 caring for the patient, that he or she should up-titrate at
13 2 weeks. I think that that's a problem based on what we
14 know and indeed what the sponsor has asserted in response
15 to an earlier question. So, the notion of the
16 appropriateness of up-titration, on the one hand, and the
17 timing of up-titrating on the other, vis-a-vis achieving an
18 effect, I think needs some discussion.

19 And the third piece is the extent to which, if
20 one were interpreting this label, seeing a patient on
21 losartan once a day, as to whether one should be prompted
22 or reminded about the likelihood of increased efficacy
23 using the same drug twice a day before switching to a new
24 drug once a day.

25 So, to me those are the three issues, and I

1 certainly have some thoughts, but I don't want to get into
2 the nuts and bolts of the wording. But to me those are the
3 three issues.

4 DR. BORER: May I ask for a clarification here?

5 I'm looking at the proposed addition to clinical
6 pharmacology, clinical trials subsection from the sponsor's
7 presentation where it says that candesartan initiated at 16
8 milligrams once daily and force-titrated at 2 weeks, which
9 is the point that Paul was just making, to 32 milligrams.
10 If I'm not mistaken, two of the most important trials, 230
11 and 231, the forced-titration was made at 4 weeks.

12 DR. FLEMING: At 2 weeks.

13 DR. BORER: At 2 weeks, okay. Then what was
14 done at 4 weeks?

15 DR. MICHELSON: The CANDLE study was titration
16 to effect at 4 weeks.

17 DR. BORER: At 4 weeks. Okay, I understand.
18 Thank you.

19 Bob.

20 DR. TEMPLE: This is a problem. That's how the
21 study was done, so you can't really describe it any other
22 way. The dosing and administration says that you get most
23 of the effect by 2 weeks and really all of it by 4 weeks.
24 So, I think the implication is that the observing physician
25 looks and sees if you're getting close at 2 weeks. If

1 you're nowhere, you maybe increase it. But it's a problem
2 as to what to do. The real recommendation is you can
3 expect you're not going to get any more after 4 weeks.
4 That's what labeling has said from the beginning based on
5 the bulk of their data.

6 I wanted to ask one question. We've already
7 concluded that just saying statistically significant is not
8 very helpful, but our immediate thought was that we'd give
9 the numbers probably with a confidence interval and a p
10 value. We would not have thought of saying how important
11 and significant this is, however. Is that what you were
12 suggesting? That's getting dicey since the whole labeling
13 doesn't say much about that.

14 DR. ARMSTRONG: Sorry. Bob, what I was
15 suggesting was that the -- and maybe you can clarify then
16 for me. In other words, these numbers -- that is, the
17 absolute difference between the two agents -- I thought
18 should be reflected in the baseline values from which they
19 occurred. In other words, the implication of those numbers
20 might be a whole lot different in a hypertensive population
21 that at entry came in rather different than this one.

22 DR. TEMPLE: That's a good addition too. It
23 could say who the people were. Right, that's fine.

24 DR. BORER: Steve.

25 DR. NISSEN: I wanted two things added to the

1 label that are not in the current proposal, and they're
2 similar to what Paul suggested. The magnitude of the
3 change. But one of the things that troubles me about it is
4 that clinicians may look at that and they may say, gee, 2
5 millimeters is trivial. A lot of clinicians don't really
6 recognize. That in my opinion is biologically significant.
7 So, it's going to tend to undermine the claim a little bit
8 which I'm sure is why the sponsor didn't originally propose
9 that. I happen to think that 2 millimeters is relevant
10 clinically, but it may be misinterpreted. And I don't know
11 any alternative to that. That's what I think you were
12 probably getting at when you were saying that we think
13 that's clinically significant, but we can't tell people
14 that.

15 DR. TEMPLE: So, they could just put something
16 in that says this is a really big deal?

17 (Laughter.)

18 DR. NISSEN: I was thinking about slightly
19 different language than that.

20 DR. TEMPLE: It's a problem.

21 DR. NISSEN: It's a problem. It's a problem
22 because clinicians don't necessarily get it. We want to
23 give informative advice to clinicians. Unfortunately, it
24 may be trivialized by some people which I'm concerned
25 about.

1 Then lastly I think the way to handle the
2 baseline issue is to describe the baseline range of blood
3 pressures at entry. So, this was shown in people who came
4 in between 95 and 114. Then say no more than that because
5 I don't think we know what it is for under 95 or over 114.

6 So, those two additions would be helpful. But
7 I am concerned that we not trivialize those differences,
8 and anything you could do in the wording that doesn't
9 undermine the clinical importance because I as a clinician,
10 if I really -- this will change my practice, and I think
11 that that is important when that happens. I think when I
12 need more blood pressure reduction, I'm going to favor the
13 more effective agent, and to me 2 millimeters or 3
14 millimeters is significant.

15 DR. BORER: Beverly.

16 DR. LORELL: Thank you.

17 I actually think the proposed label as worded
18 is an extremely good starting point. I like it because it
19 states the facts very clearly of the results explicitly in
20 two trials. So, in a sense it does not have to get to the
21 point that you were making, Dr. Armstrong, about the issue
22 of when you up-titrate or don't.

23 I think it is very important in this label that
24 it have an explicit statement as to who the study
25 population was. This study, unfortunately, cannot be

1 extrapolated to patients who have isolated systolic
2 hypertension, and I think it's very important not just that
3 there be sort of a demographic, this is the baseline, but
4 that it be very clear that an inclusion criteria required
5 having diastolic hypertension.

6 Secondly, I think going back to the points
7 we've discussed over and over here, we're all extremely
8 sympathetic and hopeful that this reduction in blood
9 pressure that was seen as the superiority claim will
10 translate to outcome measures that are very important. But
11 we don't know that. So, I think that probably the most
12 straightforward approach and also as a precedent for the
13 FDA is to simply state the facts of the trial, to have a
14 very simple table that lists baseline blood pressure and
15 the mean and median reduction at the 8-week endpoint. And
16 it can be left for the clinician to interpret, as he or she
17 sees fit, what that means.

18 I think to have a statement in trying either to
19 encourage or to dissuade interpretation of that right now
20 is very flawed because this study did not compare endpoints
21 between the between the two drugs. We hope it will
22 translate to endpoints, but we don't know that.

23 DR. BORER: Before I ask Tom Fleming to
24 comment, because I think some of his earlier comments are
25 crucial with regard to the response to this question, let

1 me ask, Beverly, would you modify those parameters you
2 mentioned, mean, median -- and I'm sorry. I didn't hear
3 the third one.

4 DR. LORELL: The baseline demographic absolute
5 blood pressures.

6 DR. BORER: In addition to the mean and median
7 change, I would suggest one might want to include either
8 the standard deviation or the range --

9 DR. LORELL: Certainly.

10 DR. BORER: -- because even if you really
11 didn't understand or didn't know all the epidemiological
12 data, you would at least have a sense that sometimes you
13 can have a fairly marked effect, and that would be
14 reassuring.

15 Tom, why don't you go ahead and talk about the
16 label.

17 DR. FLEMING: There were two or three aspects.
18 The first couple have already been raised by Paul and
19 Beverly for which I would suggest there be modifications.

20 First, I think it's not sufficient just to
21 provide statistical significance as the conclusion here. I
22 agree with Paul's point that there really needs to be
23 explicit data indicating the essence of what the
24 antihypertensive efficacy results are.

25 By doing that, we address two of my concerns.

1 One is that it be made very clear that what we're talking
2 about here are 8-week results on blood pressure, and that
3 will become explicit, and what the magnitude of these
4 effects are, which is critical that that be conveyed beyond
5 just statistical significance.

6 The third suggestion that I have or the third
7 issue that I would like to have addressed is related to
8 what the FDA medical reviewer raised on page 27, and that
9 is, I think there needs to be a sense, kind of in the
10 spirit of fairness of E-10, a sentence at the end or at
11 some point that says that comparisons were not made against
12 losartan b.i.d. that might be more effective as a regimen
13 than q.d. Then it's made explicitly clear that the
14 superiority in blood pressure effects are q.d./q.d. and yet
15 it's acknowledging that there is not an assessment relative
16 to b.i.d. losartan that, in fact, might be more efficacious
17 than q.d. losartan.

18 DR. BORER: Can I ask for a little bit more
19 discussion about that last point? My understanding of the
20 data -- and correct me if I'm wrong, and Tom, maybe you can
21 help us with this -- is that there is the sense from some
22 of the published data that the b.i.d. dosing schedule may
23 be more effective than the q.d. dosing schedule of
24 losartan, and that certainly for some patients it's
25 observably better. But are the data sufficient to make a

1 general statement that it is known that b.i.d. dosing of
2 the one drug is better? And if not, is it appropriate to
3 include a statement like that in a new label?

4 DR. PICKERING: Well, I think from what we've
5 heard so far, the difference was with the 25 milligram dose
6 but not with the 50 milligram dose from the data that we
7 saw from the Weber study.

8 DR. FLEMING: Let me just clarify, Jeff,
9 because I think you said something substantively different
10 from what I said. I said a sentence should be added that
11 indicates that comparisons were not made against the
12 losartan b.i.d. schedule which may be more effective than
13 q.d., as opposed to what I thought you said which is has
14 established to be.

15 On page 14 in the FDA briefing document what we
16 have -- and granted, it's only at the 25 dose, but we have
17 differences of 2.2 millimeters. It's in a study of a size
18 100 per arm. So, that's 1.4 standard errors larger --
19 standard errors are 1.4 times larger than in the two
20 pivotal studies that had 300 per arm. But those pivotal
21 studies, relative to the primary endpoint, basically yield,
22 if you look at 8-week results, 1.3 and 1.8 millimeter
23 differences. So, the estimates that we're viewing on
24 primary endpoint as evidence of efficacy, when you're
25 comparing candesartan and losartan, are actually of smaller

1 magnitude than these differences q.d. versus b.i.d. within
2 losartan. They are statistically a little bit stronger
3 because they're based on three times the sample size, but
4 they're only two-thirds the magnitude of effect. So, the p
5 values are not all that different.

6 So, basically I'm not claiming or I'm not
7 stating that there needs to be an acknowledgement that
8 b.i.d. losartan is more effective than q.d., but it
9 certainly may be. There's certainly some evidence here to
10 suggest that it is, and that evidence is not a whole lot
11 weaker than the evidence that we're using for the primary
12 endpoint for the conclusion that candesartan is more
13 effective than losartan.

14 DR. BORER: Beverly.

15 DR. LORELL: I would respectfully disagree with
16 that opinion. I think that it is correct that losartan may
17 be more effective, but I don't think the data is clear
18 enough to state that explicitly in the labeling. In fact,
19 the current labeling for losartan -- and perhaps you could
20 clarify that for us -- uses very careful terminology of
21 "could consider using" as opposed to making a statement
22 "may be more effective." And those are really two very
23 different statements.

24 DR. FLEMING: But let's pursue that. Are they
25 different? I intentionally used the word "may" be to be

1 very cautious.

2 DR. LORELL: Well, I think that the statement
3 in labeling "could consider using" is quite a different
4 statement than "may be more effective." I think that an
5 alternative approach could be to simply state that in the
6 labeling as proposed, these studies did not compare b.i.d.
7 regimens of either drug. And that makes it very clear to
8 the practitioner who is deciding to use either drug that
9 the comparison wasn't there. We wish it were, but it
10 wasn't there.

11 DR. BORER: Yes. If we mandated a statement
12 about this at all, I would favor Beverly's statement that
13 says we just didn't do it, rather than drawing a conclusion
14 about what it might be.

15 Steve.

16 DR. NISSEN: Yes. I really fairly strongly
17 disagree here, and let me see if I can articulate it.

18 First of all, if you read the label, it says
19 once daily. I mean, it's very clear that that's what's
20 being compared when describing the studies. To me that's
21 quite sufficient.

22 DR. TEMPLE: Which drug are you talking about?

23 DR. NISSEN: I'm talking about the candesartan.

24 The proposed label says: compare the antihypertensive
25 efficacy at their once-daily maximum doses. It's

1 absolutely crystal clear in that proposed label that's
2 what's being said.

3 Look, in designing a clinical trial, you can't
4 look at every combination and permutation of administering
5 a drug. So, you can set the bar impossibly high here and
6 you can kind of whittle away at it. But the comparison was
7 fair, by the terms of my interpretation of the guidance,
8 and I just think we don't comment on b.i.d. And frankly,
9 the sponsor isn't suggesting saying anything about b.i.d.
10 administration. The label says once a day.

11 My view here is actually colored a little bit
12 by the fact that I wish we had more comparative trials
13 between agents in the same class like this. If we kind of
14 whittle away at the claim when there's a very clean pair of
15 trials that show us the answer here, then we undermine our
16 ability to get data like this in the future.

17 B.i.d. dosing wasn't studied. It would have
18 taken another large study to actually do it, and maybe some
19 day they will do it. But all they're commenting on in the
20 label is once-daily maximum doses, and I think that's all
21 we should comment on based upon the study.

22 DR. BORER: Beverly, did you have another
23 comment?

24 DR. LORELL: I think in a sense we're on the
25 same page, Steve. I would look at adding that labeling

1 simply as a bit of an added clarification for the naive
2 reader, thinking about labeling not just as marketing, but
3 an education tool.

4 DR. LINDENFELD: May I change the topic? Are
5 we done with this one?

6 DR. BORER: Let me just ask, are there any
7 other opinions different from what we've heard? You've
8 heard a range.

9 I'm sorry. Tom.

10 DR. FLEMING: Just to follow up on Beverly's
11 and Steve's comments, if there were no data or if the data
12 that existed, even better yet, really provided some
13 considerable reassurance that losartan q.d. and b.i.d. were
14 the same, I'd be very comfortable with what you're
15 proposing. There's not a lot of data here that were
16 presented. What were presented on page 14 is suggesting to
17 me magnitudes of effects that aren't a lot different than
18 what we are seeing for candesartan against losartan. But
19 maybe there's a lot more to it than what these data are
20 showing.

21 So, what is the committee's sense about is it
22 your belief that these data on page 14 that are showing a
23 2.2 millimeter difference are entirely misleading and this
24 is irrelevant? And essentially you have the strong sense
25 that there really isn't a difference, in which case then I

1 understand your recommendation.

2 DR. LORELL: If I could respond to that. I
3 would say that they raise an hypothesis that we all wish
4 had been more rigorously tested. I think that my rationale
5 -- and I want to be clear about this -- for adding a very
6 straightforward comment that b.i.d. dosing was not tested
7 relates more to the current labeling of the drugs as
8 isolated agents where the clinician is given the option of
9 using b.i.d. So, I think your concern is a very fair one.
10 I think we'd all love to see another trial done to address
11 that, but we just don't know.

12 DR. ARMSTRONG: Perhaps another way of coming
13 at this, Jeff, would be to find out from the sponsor, since
14 the label currently for candesartan lists b.i.d. as an
15 option for the new agent, as to whether we're equally
16 unclear about the efficacy of b.i.d. candesartan as we are
17 b.i.d. losartan.

18 DR. BORER: They showed us the data. Well, why
19 don't you go ahead and answer.

20 DR. MICHELSON: To address that first piece,
21 yes, we have the same limited data that you saw, very
22 limited and not sufficient to answer other than there
23 appears to be small differences with each of the agents.

24 I would point out just one thing, if I may,
25 just to Dr. Fleming. I could tell you there's been a

1 commitment by both the manufacturers of losartan and to us
2 in every large outcome study that's either ongoing,
3 completed, or to be done with each of these agents is
4 employing only the once-daily dosing either, for example,
5 losartan 50 milligrams once daily or 100 milligrams once
6 daily, and the same for us. All the outcomes trials we
7 have basically are including either 16 or 32 milligrams
8 once daily so that all the outcomes data that you're going
9 to see and have seen, in fact, such as RENAAL and others
10 will employ that dosing. So, that will also make it even
11 more relevant.

12 DR. BORER: I haven't stated my opinion about
13 this, but I will, if I may. I don't think it's necessary
14 to include a statement about b.i.d. dosing in the label.
15 But if the sense was that one needed to, I would do what
16 Beverly suggested, just state that it wasn't studied.

17 In terms of Tom's point, because he asked a
18 question, I'm not persuaded by the data on page 14. The
19 number of patients involved was relatively small so that my
20 confidence in the absolute values, the absolute changes is
21 not overwhelming. There's a wide confidence interval.

22 The populations were sufficiently small so that
23 I certainly don't infer immediately that the population
24 involved here was the same as the population or
25 superimposable upon the populations that were studied in

1 230 and 231.

2 In addition, as Tom pointed out, this is 25
3 b.i.d. It's very hard for me, without a direct comparison,
4 to draw inferences about how those results would compare
5 with 100 q.d. of losartan or 32 milligrams q.d. of
6 candesartan, or what have you.

7 So, I think those are interesting data. They
8 raise questions. As Beverly says, they're hypothesis-
9 generating. But I'm not influenced in my conclusion about
10 what to put in this label by those data. Now, that may be
11 wrong, but that's the way I would respond to the question.

12 Are there any other comments about this issue?

13 I'm sorry. Tom, if you would turn on your light, I'll see
14 you every time.

15 DR. FLEMING: Just a very brief added comment.

16 If the FDA, in fact, gains access to additional data
17 beyond what's on page 14 that provides additional
18 substantive insight and if that in fact suggests that
19 there's less gradient here between b.i.d. and q.d., then
20 I'm entirely comfortable with what Steve has proposed as
21 not adding any statements.

22 On the other hand, if we're essentially looking
23 at this evidence, I would consider Beverly's proposal as
24 kind of a compromise middle ground from what I had proposed
25 as a very acceptable alternative.

1 DR. TEMPLE: I said this before, but nobody
2 seemed impressed. Let me try again.

3 The fact that you see the same differences at
4 peak, it seems to me, has a lot to do with how worried one
5 should be because the reason you use b.i.d. for some drugs
6 is that you think their half-life is too short. But you do
7 expect that the dose, when you first take it, will probably
8 get into the right range. Even in that circumstance, where
9 the two were compared at doses that really should have been
10 adequate, there was a difference at peak, suggesting that
11 it's not just a matter of half-life and timing, but maybe
12 something else.

13 DR. NISSEN: That was also part of my thinking
14 as well, and also, Bob, the fact b.i.d. candesartan appears
15 to have a little bit bigger effect than q.d. candesartan.
16 So, Tom, the reason that I think we've got to be careful
17 here is that if you did b.i.d. candesartan against b.i.d.
18 losartan, I think there's every reason to expect you'd see
19 the same differentials because both drugs show a little bit
20 more efficacy when given b.i.d. So, to me it's just kind
21 of a nonissue.

22 DR. BORER: Let's move on to the issue of the
23 implications for labeling of losartan. Paul.

24 DR. ARMSTRONG: I don't see any implication for
25 losartan labeling. So, no.

1 DR. BORER: Is there anyone around the
2 committee who would suggest any changes in the losartan
3 label based on these studies? JoAnn.

4 DR. LINDENFELD: No.

5 But I just wanted to come back to one point
6 earlier in the labeling. I don't know if this troubles
7 anyone else, but if you do put the numbers of actual blood
8 pressure, I'd like to see the numbers for the lower dose of
9 each drug, 16 and 50, because that's where almost all of
10 the difference is. Now, we could argue about whether or
11 not that's fair. That was not the endpoint of the study,
12 but there was, I think, on page 18 of the briefing booklet
13 a suggestion for a phrase that might indicate that. I
14 think it would be helpful to the physician using these
15 drugs to know what the increments of effect are as you go
16 up on the dose. You get almost all of it early. I think
17 that would be helpful data to have in there.

18 DR. BORER: We're not suggesting any changes
19 for the losartan label.

20 Finally, 7.3. Do we suggest any implications
21 of these findings for combination products containing
22 either of these two drugs, candesartan or losartan?

23 Paul.

24 DR. ARMSTRONG: I'd have to take them one at a
25 time. I would say that there may well be implications and

1 each would need to be addressed on its own merit.

2 DR. BORER: Specifically with regard to
3 candesartan, how would you suggest these results should be
4 used?

5 DR. ARMSTRONG: Sorry. Are we talking about
6 candesartan combined with something else?

7 DR. BORER: Yes, a combination with a diuretic
8 or something.

9 DR. THROCKMORTON: Candesartan with a thiazide
10 or CCB or something.

11 DR. ARMSTRONG: I would say it hasn't been
12 studied.

13 DR. BORER: Blase.

14 DR. CARABELLO: Yes. You couldn't possibly
15 make a statement about superiority of this drug when mixed
16 with something else. It could entirely disappear. We
17 couldn't possibly be justified in adding that to the label
18 of essentially another drug.

19 DR. BORER: Does anybody around the table
20 disagree with that? Beverly.

21 DR. LORELL: I strongly agree.

22 DR. BORER: We have a strong agreement and
23 other degrees of agreement.

24 DR. THROCKMORTON: Sense of committee so noted.

25 DR. BORER: I think that that concludes our

1 business, but I would like to ask one final question just
2 for the edification of the committee, if nobody else. A
3 precedent was noted here with regard to an angiotensin-
4 converting enzyme inhibitor that's marketed by two
5 different companies. I am not aware that studies similar
6 to this one were performed with that drug and its
7 comparators, and I'd like to know the basis of the labeling
8 that was quoted here. Can you tell us a little bit about
9 that?

10 DR. THROCKMORTON: Can you give me a page
11 number?

12 DR. BORER: Yes.

13 DR. NISSEN: It's CR-12 in the AstraZeneca
14 presentation.

15 DR. MICHELSON: Would you like to see the study
16 design?

17 DR. BORER: Sure. Well, I'd be interested to
18 see the study design, sure. It may be obvious why the
19 labels were written.

20 DR. TEMPLE: I'll tell you what. It was
21 probably a brain spasm.

22 (Laughter.)

23 DR. TEMPLE: We were trying to think more about
24 giving people some idea what the ball park was. So, for a
25 number of drugs, we said this is in the general range of

1 most ACE inhibitors or this is in the range. That's really
2 what that reflects. Is it a non-inferiority study with a
3 margin calculated? Absolutely not. It's well short of
4 that. What it says is this looks like one of those, and
5 that's all it is. We've sort of stopped doing it because
6 it's really hard to justify. But there was some desire to
7 say, well, you know, don't be confused. This is another
8 one of those. That's what it is. We're not necessarily
9 proud of it.

10 (Laughter.)

11 DR. BORER: So clarified. I would like to
12 suggest, for whatever it's worth -- and I don't think
13 anybody on the committee will disagree -- that the
14 principles in ICH E-10 here ought to be more rigorously
15 applied before the label is written again.

16 Are there any other comments from the
17 committee?

18 DR. FLEMING: Jeff, we had deferred just a few
19 additional comments on question 4. Is this timely to
20 return to that?

21 DR. BORER: Sure, why don't we take a few
22 minutes and get some comments about that.

23 DR. FLEMING: Let me try to be really brief in
24 clarifying at least what I was trying to suggest we would
25 need to state in response in particular to question 4.1.

1 Let me give three scenarios.

2 The first scenario is you have a comparator
3 agent that has shown a blood pressure effect and ultimately
4 has a clinical endpoint study that's directly shown effects
5 on stroke reduction. Now, your experimental agent in
6 comparison to the comparator has been shown to be superior
7 in blood pressure effects, and that's all that you know.
8 But there's no reason to expect that it doesn't contain all
9 of the other mechanisms in this particular scenario. Then
10 I would think that the comparator agent would be labeled
11 for not only blood pressure control, but actually having
12 documented that it prevents stroke, whereas the
13 experimental agent in this case could be called superior in
14 its antihypertensive efficacy. I don't think you'd have to
15 explain what isn't known because there's no specific
16 evidence that it doesn't provide the benefits, but you're
17 not making a claim for it having established effect on
18 stroke.

19 Scenario B is a scenario where the comparator
20 agent has had clinical endpoint studies and there's
21 considerable evidence to show that its effects on clinical
22 endpoints exceed that that you would expect to be mediated
23 through blood pressure reduction. In this setting then, if
24 you have done a comparative study of the experimental agent
25 and showed a superior antihypertensive effect, you can

1 claim a superior antihypertensive effect. But what I was
2 saying is I would think there has to be an acknowledgement,
3 though, that the comparator agent has achieved clinical
4 benefits in ways that would exceed what you expect to be
5 mediated through blood pressure lowering.

6 The third scenario would be one where you
7 actually have the experimental agent showing a superior
8 antihypertensive effect, but you actually have clinical
9 endpoints on both and the comparator is superior in
10 clinical endpoints. In that setting, I would think without
11 question the focus has to be on the clinical endpoints and
12 you wouldn't be even talking about a label that would talk
13 about superiority in antihypertensive effects.

14 Those are sort of the cascading three separate
15 scenarios that kind of cover the possible options. This
16 was what I was trying to argue before we would need to
17 report.

18 DR. BORER: Paul, did you have a comment?

19 DR. ARMSTRONG: Just on point three, there will
20 be circumstances, Tom, it seems to me, when benefits of an
21 agent are largely a function of the participation in the
22 clinical trial and the rigor, discipline, and monitoring
23 associated with it as opposed to clinical practice, and the
24 issues of efficiency and efficacy come to mind, of course.
25 So, I think in approving a new drug, one needs to take into

1 account not only the evidence for efficacy in a clinical
2 trial, the safety, the compliance issues, and the cost, but
3 the general applicability. So, I would have some sympathy
4 as a clinician to keeping an open mind, notwithstanding the
5 fact that the points you raise are good discussion points
6 as we take each new customer who comes to the table.

7 DR. BORER: I think the principles that Tom has
8 stated are important for the FDA to consider. Obviously,
9 they're going to have to be considered in the context of
10 specific data sets and specific trial designs, and you can
11 take that advice.

12 With that having been said, why don't we
13 adjourn for the moment. We have 46 minutes and 48 seconds
14 before we will reconvene.

15 (Whereupon, at 12:13 p.m., the committee was
16 recessed, to reconvene at 1:00 p.m., this same day.)

17

18

19

20

21

22

23

24

25

1 AFTERNOON SESSION

2 (1:03 p.m.)

3 DR. BORER: We'll begin very slowly so that our
4 stragglers can come back.

5 The committee is composed of the same people
6 that were introduced this morning. In the interest of
7 complete disclosure, we'll introduce ourselves again. Tom.

8 DR. PICKERING: I'm Tom Pickering from Mount
9 Sinai Medical Center in New York.

10 DR. CUNNINGHAM: Susanna Cunningham from the
11 University of Washington in Seattle.

12 DR. CARABELLO: Blase Carabello from the Baylor
13 College of Medicine.

14 DR. NISSEN: Steve Nissen with the Department
15 of Cardiovascular Medicine at the Cleveland Clinic School
16 of Medicine.

17 DR. ARMSTRONG: Paul Armstrong from the
18 University of Alberta.

19 DR. BORER: I'm Jeff Borer. I'm from the Weill
20 Medical College of Cornell University. This morning I
21 slipped and said Cornell Medical College. That should be
22 corrected.

23 MS. PETERSON: I'm Jayne Peterson. I'm the
24 acting Executive Secretary of the committee.

25 DR. FLEMING: Tom Fleming, University of

1 Washington, Seattle.

2 DR. LINDENFELD: JoAnn Lindenfeld, University
3 of Colorado.

4 DR. LORELL: I'm Beverly Lorell, Harvard
5 Medical School and Beth Israel Deaconess Medical Center,
6 Boston.

7 DR. THROCKMORTON: Doug Throckmorton, Director
8 of the Cardio-Renal Division, FDA.

9 DR. TEMPLE: Bob Temple, Director, ODE I.

10 DR. BORER: Jayne Peterson will read the
11 conflict of interest statement.

12 MS. PETERSON: Thank you.

13 The following announcement addresses conflict
14 of interest with regard to this meeting and is made a part
15 of the record to preclude even the appearance of such at
16 this meeting.

17 Based on the submitted agenda for the meeting
18 and all financial interests reported by the committee
19 participants, it has been determined that all interests in
20 firms regulated by the Center for Drug Evaluation and
21 Research which have been reported by the participants
22 present no potential for an appearance of a conflict of
23 interest at this meeting with the following exceptions.

24 Dr. JoAnn Lindenfeld has been granted a waiver
25 under 18 U.S.C. 208(b)(3) for her potential consulting for

1 the sponsor of Pravagard on unrelated matters. Potentially
2 she could receive less than \$10,001 from this firm per
3 year.

4 Also, Dr. Jeffrey Borer has been granted a
5 waiver under 18 U.S.C. 208(b)(3) for his potential
6 consulting for the sponsor of Pravagard on unrelated
7 matters. Potentially he could receive less than \$10,001
8 per year.

9 A copy of these waiver statements may be
10 obtained by submitting a written request to the agency's
11 Freedom of Information Office, room 12A-30 of the Parklawn
12 Building.

13 In the event that the discussions involve any
14 other products or firms not already on the agenda for which
15 an FDA participant has a financial interest, the
16 participants are aware of the need to exclude themselves
17 from such involvement and their exclusion will be noted for
18 the record.

19 With respect to all other participants, we ask
20 in the interest of fairness that they address any current
21 or previous financial involvement with any firm whose
22 products they may wish to comment upon.

23 Thank you.

24 DR. BORER: Thank you, and for completeness,
25 our final committee member will introduce himself.

1 DR. ARTMAN: I'm late.

2 (Laughter.)

3 DR. ARTMAN: I apologize. I'm Mike Artman.
4 I'm at New York University School of Medicine.

5 DR. BORER: This afternoon we're going to
6 consider the NDA for the pravastatin-aspirin combination
7 product that was considered initially at an earlier
8 meeting. Some additional information is going to be
9 presented by the sponsor and we'll start with Dr.
10 Baumgartner.

11 DR. BAUMGARTNER: Thank you, Mr. Chairman.
12 Good afternoon. My name is Tom Baumgartner. I'm Vice
13 President of Regulatory Sciences for Bristol-Myers Squibb.
14 We market pravastatin and buffered aspirin.

15 We're here before you today as you reconsider
16 our NDA for a combination product consisting of our lipid-
17 lowering agent pravastatin, along with aspirin, for use in
18 the setting of secondary prevention in patients with
19 established coronary artery disease.

20 As you know, both these agents are approved by
21 the FDA to reduce the incidence of clinical cardiovascular
22 events in the secondary prevention population and also are
23 recommended as cornerstone of therapy in secondary
24 prevention by the American College of Cardiology and the
25 American Heart Association in their treatment guidelines.

1 I'd like to recap for the committee the
2 chronology of events which have led us to come before you
3 again today. As part of this, I will also frame what are
4 the issues we've been asked specifically to focus on today.

5 Bristol-Myers Squibb originally submitted an
6 NDA for this combination product in June of 2001. The
7 basis for this application was a meta-analysis of five
8 pravastatin cardiovascular event trials in patients with
9 established coronary artery disease. The application was
10 reviewed by this committee at its January 2002 meeting
11 where numerous issues were discussed.

12 Since that time we've worked closely with the
13 FDA to try to clearly define what were the remaining issues
14 to be resolved to allow for the approval of this product.
15 Based on these interactions, we revised our application to
16 address these outstanding issues, and the application was
17 refiled in May, which has led us to come before you today.

18 The core of the original application consisted
19 of the meta-analysis of five pravastatin cardiovascular
20 event reduction trials which demonstrated that the
21 combination of pravastatin plus aspirin was safe and
22 effective and that the combination provided added benefit
23 over both pravastatin and aspirin when given alone in the
24 prevention of subsequent cardiovascular events in patients
25 with existing coronary heart disease. Following my

1 presentation, Dr. Rene Belder of our Metabolics Clinical
2 Research Group will briefly review these analyses for you.

3 In addition to the meta-analysis, the original
4 NDA also included a pharmacokinetic study which
5 demonstrated that there were no pharmacokinetic
6 interactions when the two drugs were given together.

7 When this application was reviewed by this
8 committee in January, many issues were discussed. As noted
9 by FDA in the prologue for today's questions for the
10 meeting, at the time of the January meeting, there appeared
11 to be several areas of the application where general
12 agreement had been reached.

13 First, it appeared that there was general
14 agreement that there was indeed a population which could be
15 identified for which this combination product would be
16 indicated.

17 In addition, it was generally agreed that the
18 meta-analysis demonstrated the safety and efficacy of the
19 combination, as well as the independent contribution of the
20 components, to the beneficial cardiovascular outcomes in
21 the secondary prevention population.

22 Finally, the choice of aspirin doses to be
23 offered appeared to be acceptable to the committee.

24 While there appeared to be general agreement on
25 some aspects of the application, other issues remained

1 outstanding. We feel we have addressed these issues in the
2 refiled NDA, including the briefing book which was
3 distributed for today's meeting. For today's presentation,
4 we will be focusing on four of these issues as were
5 outlined by the FDA in their prologue to the questions for
6 today.

7 In his presentation, Dr. Rene Belder will
8 address issues raised by the committee in January regarding
9 the range of pravastatin doses to be available for this
10 combination product.

11 In addition, he will address aspects related to
12 the safe use of aspirin, considering that it now will be a
13 component of a prescription combination product. This will
14 include a discussion of the features of this product which
15 we feel may, in fact, reduce the risk for the inadvertent
16 use of aspirin in settings where it might not be desirable,
17 such as in surgery. In addition, he will address the
18 implications and risks for bleeding should aspirin not be
19 discontinued prior to surgery.

20 Dr. Belder also will discuss the potential for
21 inappropriate discontinuation of pravastatin during times
22 when it might be desired to temporarily interrupt this
23 product owing to its aspirin component.

24 In the next few minutes, I'd like to address
25 the final bullet on this slide, which is the concern over

1 the potential for inappropriate use of this product in a
2 non-indicated population such as in primary prevention.

3 In addressing this concern, first I'd like to
4 reemphasize that the indication we are seeking and the only
5 indication which we plan to promote is for the reduction of
6 the risk of clinical cardiovascular events in the secondary
7 prevention population. This is a use in a population for
8 which both aspirin and pravastatin already are approved by
9 FDA.

10 As shown on this slide, we have proposed an
11 intersection label for this combination product. By that I
12 mean a label which we feel reflects a population where the
13 secondary prevention claims in both the aspirin and
14 pravastatin labels intersect. The proposed indication
15 provides for a medication that allows for and enhances
16 long-term management to reduce the risk of cardiovascular
17 events in patients with clinically evident coronary heart
18 disease.

19 Regarding the potential for off-label use of
20 this product in primary prevention, the reality is that in
21 the current practice environment with aspirin available
22 over the counter, aspirin is currently being used in
23 primary prevention. However, we do not feel that the
24 availability of this combination product will increase the
25 likelihood of off-label use of aspirin over what currently

1 exists with aspirin being available over the counter.
2 Rather, the fact that the pravastatin-aspirin combination
3 will be a prescription product should actually allow
4 prescribers to have greater control over ensuring that
5 these drugs are used in the appropriate population.

6 In support of our refiled application and our
7 presentation, we have brought some of the world's experts
8 on the topics to be discussed today who are available to
9 us, as well as to the committee, for the discussion. These
10 consultants include: Dr. Jerry Avorn, a
11 pharmacoepidemiologist from Harvard, who authored the
12 literature review on the risk of aspirin use during surgery
13 which was provided as part of the briefing book for the
14 meeting today; Dr. Don Berry from M.D. Anderson who worked
15 with us on the meta-analysis for the original submission;
16 Dr. Bernard Chaitman from St. Louis University who is an
17 author on the ACC/AHA guidelines on perioperative
18 noncardiac surgery; Dr. Lawrence Dacey who is a
19 cardiothoracic surgeon from Dartmouth who has published on
20 the perioperative use of aspirin in cardiac surgery. Dr.
21 Charlie Hennekens from Miami has extensive experience on
22 the use of aspirin in secondary prevention and submitted a
23 citizens' petition for aspirin to be approved in secondary
24 prevention which was approved by the FDA in 1998. Dr. Tom
25 Pearson from Rochester is a preventive cardiologist. Dr.

1 Marc Pfeffer from Brigham and Women's Hospital who was an
2 investigator on the pravastatin CARE study, and Dr. Eric
3 Topol, Chair of Cardiovascular Medicine at the Cleveland
4 Clinic, who is an expert on antiplatelet therapy in
5 cardiovascular disease.

6 The agenda for our presentations for this
7 afternoon is as follows. Following my remarks, Dr. Rene
8 Belder from our Metabolics Clinical Research Group will
9 review the contents of our refiled NDA and address the
10 issues I noted previously regarding the pravastatin doses
11 which are now to be offered in the combination, safety
12 aspects related to the aspirin component of the product,
13 and temporary discontinuation of statin therapy. Dr. Fred
14 Fiedorek, also of our Metabolics Clinical Research Group,
15 will conclude by summarizing our application and by
16 providing the regulatory context and rationale for this
17 product.

18 I'd like to introduce Dr. Rene Belder,
19 Executive Director of Clinical Design and Evaluation for
20 Metabolics from Bristol-Myers Squibb. Thank you.

21 DR. BORER: Are there any questions for Dr.
22 Baumgartner at this point, or are we all set to move on?

23 (No response.)

24 DR. BORER: Okay, let's move ahead then.

25 DR. BELDER: Good afternoon, ladies and

1 gentlemen. I'm very happy to be back here today to present
2 to you the features of our refiled pravastatin-aspirin
3 application.

4 To give a top line overview, cardiovascular
5 disease remains the leading cause of death in the United
6 States. However, we also know that both pravastatin and
7 aspirin are approved medications for use in the secondary
8 prevention population. The pravastatin-aspirin combination
9 will, therefore, provide a useful tool for both health care
10 providers, as well as patients, to prevent coronary artery
11 disease.

12 As Tom already indicated, I will give you a
13 brief summary of the data that we presented last January
14 for those of you who were not here at that time.

15 The efficacy and safety of the pravastatin-
16 aspirin combination was based on a meta-analysis of five
17 pravastatin prevention trials. These trials are listed
18 here on this slide. All trials randomized pravastatin 40
19 milligrams and placebo. All trials had as a prespecified
20 endpoint cardiovascular events, and in total there were
21 about 15,000 patients randomized to either pravastatin or
22 placebo. The largest contribution came from the CARE and
23 the LIPID study that provided about 98 percent of the total
24 patient-years of exposure, which was almost 80,000 patient-
25 years. In addition, you can see that about 80 percent of

1 these patients were also taking aspirin.

2 The results of the meta-analysis are presented
3 here on this slide for three endpoints considered of most
4 importance for this combination product, namely fatal or
5 nonfatal MI, ischemic stroke, and the combination of
6 coronary heart disease death, nonfatal MI, ischemic stroke,
7 or revascularization procedures. For both comparisons,
8 namely the combination of pravastatin and aspirin versus
9 aspirin alone, indicated here in yellow, as well as the
10 comparison between pravastatin and aspirin versus
11 pravastatin alone, for all these comparisons there was a
12 significant benefit of the combination over the individual
13 components.

14 In addition, we examined the safety of
15 pravastatin and aspirin when used together in these trials,
16 and we did not find any sign of an increased incidence of
17 CK or liver function test abnormalities or gastrointestinal
18 bleeds or hemorrhagic stroke, obviously all events of
19 interest for these products.

20 Let me now move on to the topics I've been
21 asked to discuss with you today.

22 First of all, the choice of pravastatin doses
23 to be provided in this combination product. Last January
24 we presented to you the rationale of a combination product
25 of 40 milligrams of pravastatin with either an 81 milligram

1 dose of aspirin or a 325 milligram dose of aspirin. The 40
2 milligram dose of pravastatin was chosen because that's
3 currently the approved starting dose of pravastatin. In
4 addition, the 40 milligram dose was used as a starting dose
5 and maintenance dose in all prevention studies with
6 pravastatin.

7 The committee, however, felt that a greater
8 flexibility in the dosing with regard to pravastatin was
9 desirable, and we're therefore now also offering the 80
10 milligram dose of pravastatin for those physicians who like
11 to see greater cholesterol reductions in their patients, as
12 well as the 20 milligram dose of pravastatin, which is
13 provided for physicians who are taking care of patients
14 with renal or hepatic impairment or patients who are also
15 using immunosuppressive therapy.

16 I'll now move on to the potential of excessive
17 bleeding should the pravastatin combination not be
18 discontinued prior to surgery, and this aspect is divided
19 into two topics. The first one is the potential of
20 inadvertent continuation of aspirin with this prescription
21 combination product, and the other aspect is if aspirin is
22 continued during surgery, what is the risk associated with
23 its use. Let's start with the first part.

24 In order to understand the risk of inadvertent
25 use of aspirin, we first have to understand what is the

1 current situation with respect to over-the-counter use of
2 aspirin for secondary prevention. The current situation is
3 characterized by ambiguity for both health care providers
4 as well as patients primarily because there are many OTC
5 aspirin-only products available from which the consumer has
6 to make a selection for secondary prevention. You see some
7 of these products here on this slide. In addition to these
8 products, there are also numerous generic aspirin products
9 available. Also, you can see that the doses available of
10 these products of up to 650 milligrams would not be
11 desirable for secondary prevention.

12 Secondly, there are many over-the-counter
13 products available that contain, in addition to aspirin,
14 other active ingredients, some of which may not be
15 appropriate for patients with coronary heart disease. And
16 these are the products that are available for the consumer
17 to choose from of products that contain aspirin. I would
18 also like to mention that these products may actually
19 contribute to inadvertent use of aspirin prior to surgery
20 because many patients or even physicians may not realize
21 that one of the active ingredients of these products indeed
22 is aspirin.

23 Lastly there are also OTC products available
24 that can be confused by a consumer as aspirin substitutes,
25 and it was indeed shown here by a study from Cook from Dr.

1 Hennekens' group that showed that of those patients who
2 were thinking that they were taking aspirin for secondary
3 prevention correctly, actually 15 percent came home with
4 aspirin substitutes, such as acetaminophen. In addition,
5 of note is that in this study in a general population, only
6 51 percent of those patients who should have been taking
7 aspirin for secondary prevention were actually taking it.

8 These are the products that can easily be
9 confused by a consumer as aspirin equivalents and products
10 that do actually not provide the benefit in secondary
11 prevention.

12 It may, therefore, be clear that the
13 prescription use of aspirin in this combination product may
14 actually offer some advantages. Physicians will be better
15 able to ensure that aspirin is used rather than a
16 substitute and will also be able to select a dose that is
17 most appropriate for secondary prevention. In addition, we
18 believe that other physicians will be better able to
19 recognize that aspirin was used as part of a prescription
20 product and recommend discontinuation or continuation as
21 appropriate.

22 Of course, it is important that both physicians
23 and patients are aware of the aspirin component of this
24 product, and we have, therefore, developed labeling that
25 clearly indicates the aspirin component of this product.

1 This is the example of the proposed package showing the
2 aspirin component indicated four times. In addition, we
3 have developed a patient information leaflet also clearly
4 indicating that this product does contain, indeed, aspirin.

5 I'll now move on with what is the risk if
6 aspirin is, indeed, continued during surgery. What is the
7 risk of excessive bleeding?

8 Aspirin has been studied in noncardiac patients
9 in several surgical settings, and the results of these
10 studies are summarized on this slide.

11 First of all, aspirin has been studied in
12 vascular surgery to prevent graft occlusion, and the
13 results here are of a meta-analysis performed by the Oxford
14 Group.

15 In addition, aspirin has been studied in
16 patients at high risk for venous thrombosis and pulmonary
17 embolism, and that's the middle study presented here on
18 this slide.

19 And finally, there was a large prospective
20 study of aspirin in patients undergoing hip surgery also to
21 prevent pulmonary embolism. In this study, aspirin was
22 started 7 days prior to surgery.

23 When we look at the safety of aspirin used
24 during surgery in these studies, we see that there was no
25 large excess of bleeding and there was no increase of fatal

1 bleeds associated with its use. Indeed, aspirin prevented
2 graft occlusions and prevented pulmonary embolism. So,
3 there was an overall benefit of aspirin in this setting.

4 Aspirin has also been studied in several
5 studies in patients undergoing coronary bypass procedures.

6 Of note is that the earlier studies indeed show that there
7 was an increased need for transfusions and an increased
8 need for reoperation for bleeding. However, the more
9 recent studies do not observe this same finding, and
10 there's actually a hint of a possible benefit when aspirin
11 is used during surgery in these patients undergoing
12 coronary bypass procedures. And I will discuss these data
13 a little bit more.

14 We, therefore, believe that the concern about
15 the inadvertent use of aspirin in surgery in patients with
16 coronary heart disease has actually decreased over the last
17 number of years for several reasons, and I will discuss
18 these with you.

19 First of all, improved surgical procedures
20 reduce the risk of bleeding complications. This is data
21 from a study from Dr. Dacey's group, and as indicated, Dr.
22 Dacey is here today. If you look at the last observational
23 period on this slide, indicated here -- and this is data
24 from over 12,000 coronary bypass procedures performed in
25 northern New England -- you see that the rate of re-

1 exploration due to bleeding is actually decreased, while
2 during this same period of time, the use of aspirin in
3 these procedures has actually dramatically increased from
4 22 to 78 percent. This effect is mainly attributed to
5 improved surgical techniques and procedures, as well as
6 improvements in hemostatic measures.

7 As I indicated before, there may even be some
8 indication of a potential benefit with respect to the use
9 of aspirin in this particular setting, patients undergoing
10 bypass procedures. Again, this is data from Dr. Dacey's
11 group who showed in an observational study in over 8,000
12 coronary bypass procedures that there was no increased rate
13 of re-exploration for bleeding. There was no difference in
14 the need for blood products. However, there was a
15 significant reduction in in-hospital mortality associated
16 with aspirin use.

17 However, there's no good, well-controlled,
18 prospective clinical data of the use of aspirin in the
19 surgical setting in patients with coronary heart disease.
20 Therefore, there remains a lack of consensus about what to
21 do with aspirin in these patients, continuation or
22 discontinuation. And that is evidenced by the ACC/AHA
23 guidelines on the perioperative medical treatment of
24 patients with coronary heart disease in noncardiac
25 surgeries. These guidelines do not provide specific

1 recommendations about discontinuation or continuation of
2 aspirin. One of the authors of these guidelines was Dr.
3 Chaitman. Dr. Chaitman is here today to comment on these
4 recommendations.

5 However, most importantly, we believe that with
6 the availability of this combination product as a
7 prescription product, the likelihood of inadvertent
8 continuation of aspirin is actually reduced compared to the
9 current situation where aspirin is essentially used over
10 the counter for a variety of reasons.

11 The last topic to be discussed today is the
12 potential for inappropriate continuation of pravastatin,
13 again in a setting where, for instance, this combination
14 product would be discontinued, if needed, before surgery.

15 First of all, it's important to note that
16 unlike aspirin, whose onset of action is very acute, with
17 statins in general in the secondary prevention population,
18 it takes a while before the effects from cardiovascular
19 events become apparent. One would, therefore, not expect a
20 brief interruption of statin therapy, for instance, for a
21 couple of days before a surgery, would have any immediate
22 adverse consequences. And indeed, there's no data pointing
23 in that direction. However, more importantly, the
24 individual components will remain available for the
25 physicians to manage interruption or discontinuation of one

1 component and continuation of the other.

2 In summary, we believe that with the actions
3 discussed today, we have addressed the main concerns.
4 First of all, we have now made three pravastatin doses
5 available: in addition to the 40 milligrams, also the 20
6 and 80 milligram doses of pravastatin. In addition, we
7 have developed packaging and labeling that clearly
8 identifies the aspirin component, increasing awareness by
9 both patient and physician of the aspirin component of this
10 product.

11 I would now like to hand over to Dr. Fred
12 Fiedorek for summary comments unless there are questions.

13 DR. BORER: Are there any questions for Dr.
14 Belder? Paul.

15 DR. ARMSTRONG: I may have missed it, but in
16 the approximately 1 out of 5 patients not on aspirin in
17 LIPID and CARE, were the baseline characteristics of those
18 patients as compared to the others in those studies
19 factored into the meta-analysis?

20 DR. BELDER: Yes. That was extensively
21 discussed last January.

22 DR. ARMSTRONG: Okay.

23 DR. BORER: Any other questions? Okay, why
24 don't we go on to Dr. Fiedorek. Oh, sorry. Steve.

25 DR. NISSEN: In the original application, we

1 were asked to consider this as if the two drugs would be
2 together in one tablet. Has there been a withdrawal of the
3 request for approval for a single tablet containing both
4 compounds?

5 DR. BELDER: No. The prologue to the initial
6 meeting advised you to consider this as a single tablet.
7 And we still have a single tablet on stability. So, a
8 single tablet will be offered as soon as we have enough
9 stability data to launch it. At this point in time, it's a
10 co-packaged product.

11 DR. NISSEN: Right. But what you said earlier
12 was that if we wanted to discontinue the aspirin component
13 for any reason or the statin component, we would be able to
14 do so. But that's true only in the co-packaged product.
15 The intent is not only to market the co-packaged product,
16 but also the combination eventually.

17 DR. BELDER: Correct, yes. But what I meant is
18 that if a physician continues the single combination
19 tablet, but wants to continue one of the components, then
20 he would go back to the single component use. So, it's
21 basically back to the old situation.

22 DR. NISSEN: So, it really isn't a change then
23 in what you're requesting.

24 DR. BELDER: Correct.

25 DR. BORER: Dr. Fiedorek.

1 DR. FLEMING: One other question.

2 DR. BORER: Oh, I'm sorry. Tom, go ahead.

3 DR. FLEMING: In the materials that the medical
4 reviewer presented to us from FDA, there was a lot of
5 consideration to the Nelipovitz article that set up
6 basically models to try to address the tradeoffs between
7 bleeding risks against reductions, for example, in MIs.
8 Will you be giving us more information on that?

9 DR. BELDER: We were not intending to. We're
10 also not making a strong argument that we think aspirin is
11 beneficial during surgery in patients with coronary heart
12 disease. Our primary contention is that since this is a
13 combination prescription product, physicians should be able
14 to continue or discontinue its use. We believe that there
15 may be some evidence that aspirin would be beneficial
16 during surgery, but as indicated before, the guidelines
17 clearly say there's not enough data. We cannot make any
18 firm recommendation.

19 And the articles that were included in the
20 medical review from FDA was our initial literature review
21 in March that we discussed with the agency. Subsequently
22 we have done a lot more work, including work by Dr. Avorn,
23 and of course, have looked at more literature and other
24 studies. The Nelipovitz article was just one example of
25 where you could see that perhaps there would be a

1 beneficial effect of aspirin.

2 Does that answer your question?

3 DR. FLEMING: Only partially. Basically what
4 you're saying is now that you've gone further, there are a
5 lot of other sources of information, if I'm interpreting
6 you correctly, that you believe to be more informative and
7 relevant than that article?

8 DR. BELDER: We believe that with respect to
9 the use of aspirin in surgery there is no firm evidence
10 about continuing or not continuing. There's no well-
11 controlled data.

12 Dr. Chaitman, would you want to comment on
13 that?

14 DR. FLEMING: While he's preparing to comment,
15 maybe he can also comment on this aspect as well. Is it
16 fair to say any evidence that we do have comes from
17 observational experience as opposed to any specific
18 intentional randomization?

19 DR. CHAITMAN: Yes, you're correct. There are
20 no randomized clinical trials looking at aspirin usage in
21 this situation, so it is mainly observational data. That's
22 the reason that there wasn't a discussion of this in the
23 guidelines because the guidelines are evidence-based, and
24 the evidence wasn't strong enough to include them in the
25 guidelines.

1 DR. BORER: Beverly, you're the committee
2 reviewer. Do you have any issues that you want to raise at
3 this point, or do you want to wait?

4 DR. LORELL: I'll wait.

5 DR. BORER: Dr. Fiedorek.

6 DR. FIEDOREK: Thank you, Rene.

7 Good afternoon, committee members, ladies and
8 gentlemen. If you'll recall, I was here in an introductory
9 role in January, and I'm now concluding to provide a final
10 framing of the issues and book-ending, we hope, of what
11 we've discussed today and back in January. My purpose,
12 besides giving a brief recap on the issues, is also to
13 provide a final concluding rationale that is based in part
14 on existing FDA regulations that provide the context for
15 what we're considering today.

16 This list includes the six key components that
17 we described in January and we've discussed to a certain
18 extent today. The first four components, as indicated in
19 the preamble today to the questions that you're
20 considering, were generally reviewed in more detail in
21 January and there was general agreement by the committee at
22 that time and we have not dwelt on these in any additional
23 detail today.

24 The final two points, highlighted in green, are
25 what we've discussed today, as well as in January. Clearly

1 in the refiled application, we will now be offering three
2 doses of pravastatin, in addition to the 40 milligrams, the
3 80 milligram and 20 milligram dose, to go along with the
4 approved doses of aspirin in secondary prevention, 81
5 milligram and 325 milligram.

6 The last point has been one that had particular
7 concerns in January, and what we've done today is to review
8 the relevant data. As we've just heard, it's relatively
9 sparse data, but we've reviewed it and I think provided to
10 you the context of using aspirin, or pravastatin for that
11 regard, inappropriately and possibly either continuing or
12 discontinuing either component of this combination in such
13 settings. We've put particular emphasis on the setting of
14 surgery where we've gone into the best data on this
15 particular topic, and we have experts here today to answer
16 those questions as well, should you have further questions.

17 Overall, with the prescription use of aspirin
18 we are offering in this combination product, we think that
19 the lower doses of aspirin relative to available doses in
20 the OTC setting, as well as clear labeling that this
21 product contains aspirin, and sort of the inherent
22 specificity of prescription use so that the physician is
23 able to implement the use appropriately for secondary
24 prevention in CHD patients, will be meaningful in your
25 considerations.

1 Overall, we feel that this particular
2 prescription combination product will not impact in any
3 adverse way, in any deleterious way the potential for
4 bleeding during surgery that exists with the OTC
5 availability of aspirin currently.

6 Besides these six points, I want to now provide
7 a context based on the current FDA regulation for fixed-
8 dose combination products. This particular regulation was
9 actually established quite some time ago in 1971. I think
10 it's worthwhile to read it.

11 "Two or more drugs may be combined in a single
12 dosage form when each component makes a contribution to the
13 claimed effect and the dosage of each component (amount,
14 frequency, duration) is such that the combination is safe
15 and effective for a significant patient population
16 requiring such concurrent therapy as defined in the
17 labeling for the drug."

18 But I think the emphasis that we provided here
19 in the underlining serves to stress what we've been
20 bringing forward to committee in January and again today,
21 the key components of the pravastatin-aspirin combination.

22 In this context, this regulation from 1971 still provides
23 a valid framework for considering pravastatin and aspirin.

24 The four key components listed here have been met in our
25 view based on discussions in January and again today.

1 Number one, efficacy through differing
2 mechanisms of action has been met in the setting of
3 secondary prevention of clinical events.

4 Number two, safety in CHD patients for
5 secondary prevention, including in situations surrounding
6 surgery, is assured in terms of the benefit-risk assessment
7 that we feel exists for aspirin in these settings.

8 Number three, the key component of contribution
9 which was discussed in most detail in January and that the
10 combination with A plus B being greater than either
11 pravastatin alone or aspirin alone is also a key feature
12 which was determined by the meta-analysis discussed
13 primarily in January.

14 Finally, we've established that there is a
15 clear medical need in the setting of secondary prevention
16 in a demonstrated population at risk.

17 Besides these four key features, I think there
18 are some reassuring aspects to the pravastatin-aspirin
19 product as well. As indicated earlier, it's comprised of
20 component drugs at selected doses previously approved by
21 the FDA. In addition, it will be labeled for secondary
22 prevention, an indication previously approved for these
23 component medicines. And finally, practice patterns and
24 medical guidelines support the concurrent use of
25 pravastatin and aspirin as a secondary preventative in the

1 CHD population.

2 I think it's quite instructive to consider this
3 last point very briefly here. Generally medical guidelines
4 rely on sort of assessment of benefit and risk as
5 determined by a consensus committee. Recently some of
6 these guidelines have actually outlined risk based on a
7 possible recurrent event over the subsequent 10 years.

8 In this slide here, the risk of a CHD event in
9 some of the populations represented by the secondary
10 prevention population we intend to treat with the
11 combination are described. These are based on landmark
12 statin trials as well as other sources of information.
13 Shown in the column with the percentages are the placebo
14 event rates in these trials over time. You can see in
15 patients with a history of an acute MI, the risk of a
16 subsequent CHD event, either MI or a CHD death, ranges from
17 26 percent up to 51 percent over the subsequent 10 years.
18 For patients who've undergone a revascularization
19 procedure, this risk is between 26 and 30 percent, and for
20 patients with stable angina pectoris, this risk is about 20
21 percent.

22 I think given these event rates and risks in
23 the secondary prevention CHD population, it's also
24 interesting to consider that recent recommendations and
25 guidelines -- one of them actually mentioned this week from

1 the American Heart Association recommends the use of
2 aspirin, the one component that we've been most concerned
3 about, in patients who have a relative risk of a subsequent
4 CHD event of 10 percent. Earlier this year, the U.S.
5 Preventative Task Force also recommended the use of aspirin
6 in the preventative setting in patients who had a risk of a
7 subsequent event of 6 percent or greater.

8 So, to conclude our presentation today, we feel
9 that pravastatin-aspirin is a rational combination that's
10 supported through evidence-based medicine. We are offering
11 three doses of pravastatin to go along with the prior doses
12 of aspirin, 81 and 325 milligrams. The safety of aspirin
13 has been discussed in some detail this afternoon, and we
14 think that the benefit-risk profile in this patient
15 population, the coronary heart disease population seeking
16 secondary prevention, is certainly warranted.

17 We also have described some possible advantages
18 of using the combination product, pravastatin and aspirin,
19 as a prescription medicine where clear use as a secondary
20 prevention medicine can be designated by the physician and
21 that both physician and patient will know with our labeling
22 that the product, in fact, does contain aspirin.

23 Thank you for your attention. If there are any
24 other questions, you can call on me and we can also call on
25 the experts assembled today.

1 DR. BORER: Thank you very much, Fred.

2 Beverly, why don't you start and then we'll
3 move around the committee if there are any other questions.

4 DR. LORELL: I think your presentation has been
5 very cogent in addressing the concerns that were raised by
6 the committee at the last meeting.

7 I'd like to open the discussion with one of
8 several points that I think the committee is going to want
9 to address and that is the issue of recognition of what a
10 combination product includes. I appreciate the query by
11 Steve and your clarification that what we're really
12 discussing here is not the temporary co-packaging of two
13 pills, but the ultimate presentation of both drugs in a
14 single tablet or single capsule. Is that correct?

15 DR. FIEDOREK: Yes, that's correct. In
16 January, the preface provided to the questions specified
17 that it was a combination co-tablet. As part of our
18 development work for this combination, we will have
19 available both a co-package with each component available
20 to punch out separately, and that will be available
21 initially. Subsequently we will have a true combination
22 tablet, but as Dr. Belder mentioned, it's undergoing
23 stability testing currently.

24 DR. LORELL: I think one of the themes that
25 many of the questions derive from is the issue of

1 recognition, not short term but long term, by both patient
2 and clinician provider that a single tablet does contain
3 aspirin, a potent antiplatelet agent. One of the concerns
4 that I would raise, based on my own clinical practice from
5 one of the precedents that we have -- and that is co-
6 packaging in a single tablet or capsule of antihypertensive
7 agents -- is that even though those are often clearly
8 identified on the pill bottle and on the packaging and the
9 labeling, it is extremely common to have confusion not only
10 on the part of the patient as to what a pill actually
11 really contains -- patients know that it is vaguely for
12 their blood pressure or for their heart -- but even on the
13 part of providers.

14 I guess that one of the pieces of evidence that
15 we don't have, because this is such an important issue, is
16 actually any prospective data regarding recognition of the
17 components. I would welcome comments from others around
18 the table.

19 DR. BORER: Fred.

20 DR. FIEDOREK: Yes. We actually took those
21 concerns seriously, and I think the description of how we
22 would describe in the patient leaflet, as well as the clear
23 labeling, as effectively as we can that the product
24 contains aspirin and that both prescribers, physicians, as
25 well as patients, should recognize that.

1 We have not done anything other than that at
2 this time, and I'm not aware of any label comprehension
3 studies or other label interpretation studies by patients
4 that would address that point from other products.

5 But I think our main contention is that the
6 prescription use here in this product, as well as the clear
7 labeling that we intend to provide, would not be
8 deleterious at all compared to the current situation that
9 Dr. Belder reviewed with the availability of many OTC
10 aspirin products that may not be recognized by the patient
11 or physician as well. That's a general issue that perhaps
12 the agency would want to address regarding aspirin use in
13 general, and what we're trying to do with pravastatin-
14 aspirin is to be clear that this product contains aspirin
15 and to make it a prescription product for secondary
16 prevention.

17 I don't know if that helps.

18 DR. BORER: Steve.

19 DR. NISSEN: I just want to understand this
20 better. I really like the label that you show here in the
21 slide set. But isn't what happens in reality that a
22 pharmacist has a stock bottle of a product and then they
23 take and they put X number of pills in a container and pass
24 it on to the patient? I mean, these labels aren't likely
25 to appear, are they, on the final product that the patient

1 is going to actually see.

2 DR. FIEDOREK: Well, the patient package insert
3 would be part of that product.

4 DR. NISSEN: Yes. But I mean, this label,
5 which is really terrific, says aspirin three times on it.
6 The patient doesn't see that label.

7 DR. FIEDOREK: It's currently a proposed label,
8 and perhaps Dr. Temple would want to --

9 DR. TEMPLE: Well, a point we've made often in
10 the past goes to the very question Dr. Nissen raised. If
11 it's not a unit-of-use package, there's very little reason
12 to believe that the patient will actually get the patient
13 package insert. Now, for the combination, obviously with
14 all the punching out, they will. Sorry. For the co-
15 packaging, then I guess they will because that's how it's
16 going to be given out.

17 But what about for the combination tablet? Are
18 you thinking of unit-of-use packaging which would assure
19 that the patient labeling goes to the patient?

20 DR. FIEDOREK: Yes, it would have the same type
21 of intended labeling.

22 DR. TEMPLE: Well, I know but unit of use or
23 something that the pharmacist has to take an active role in
24 handing out? That's a crucial distinction.

25 DR. FIEDOREK: Yes, that's our intent.

1 DR. BELDER: We have not developed the
2 packaging of the single combination tablet yet, but of
3 course, we are listening to you and will definitely take
4 your comments in consideration when we develop that to
5 assure that the patients, indeed, will get a similar type
6 of package as indicated here for the initial co-package
7 with a single tablet.

8 DR. THROCKMORTON: Steve, you and Beverly are
9 saying that the notion would be that that would increase
10 the awareness of aspirin use. Is that the particular issue
11 you're raising?

12 DR. NISSEN: Yes. Well, I guess Bev and others
13 of us the last time around wanted to make certain.
14 Obviously, when a combination product is administered,
15 there's a tendency for physicians and patients to lose
16 track of the fact that there's more than one component.
17 So, part of the safety issues related here are to maintain
18 that awareness.

19 I understand how having a blister pack with
20 that on it, nobody in their right mind could miss it. You
21 put it on there three times. It's very prominent and I
22 think quite desirable.

23 The problem is a little pill bottle -- I'm not
24 so sure that this label is going to appear. It's very
25 challenging. None of the medicines that I take have