

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE

Wednesday, October 23, 2002

8:30 a.m.

Georgetown and Montrose Rooms  
1775 Rockville Pike  
Rockville, Maryland

PARTICIPANTS

Thomas Layloff, Ph.D., Acting Chair  
Kimberly Topper, Executive Secretary

MEMBERS

Gloria L. Anderson, Ph.D.  
Judy Boehlert, Ph.D.  
Arthur H. Kibbe, Ph.D.

SPECIAL GOVERNMENT EMPLOYEE CONSULTANTS

Thomas Layloff, Ph.D.  
Melvin V. Koch, Ph.D.  
G.K. Raju, Ph.D.

GUESTS AND INDUSTRY PARTICIPANTS

Eva M. Sevick-Muraca, Ph.D.  
Leon Lachman, Ph.D.  
Thomas Hale  
Kenneth Morris, Ph.D.  
Andrew Lange, Ph.D.  
Robert S. Chisholm  
Doug Dean, Ph.D.  
John G. Shabushnig, Ph.D.  
John C. James, Ph.D.  
Rick E. Cooley  
Steve Hammond  
Ronald W. Miller, Ph.D.  
David Richard Rudd, Ph.D.  
Jerry Workman, Jr., Ph.D.

## C O N T E N T S

Conflict of Interest, Kimberly Topper	4
Introduction to the Meeting, Ajaz Hussain, Ph.D.	6
Computer Systems Validation - Part 11 Issues Pertinent to PAT:	
Robert S. Chisholm, AstraZeneca International	26
Guy Wingate, Ph.D., GlaxoSmithKline	38
Deborah M. Thomas, Air Products and Chemicals, Inc.	62
John Murray, FDA	75
Subcommittee Discussion: Computer Systems Validation, Part 11 Issues Pertinent to PAT	94
Open Public Hearing:	
Dr. Stanley A. Marash	130
Dr. Gary Ritchie	136
PAT Mock Submissions:	
Ronald W. Miller, Ph.D., Bristol-Myers Squibb	140
San Kiang, Sc.D., Bristol-Myers Squibb	140
S.M. Upadrashta, Ph.D., Bristol-Myers Squibb	151
Glenn A. Thomson, Bristol-Myers Squibb	155
Steve Hammond, Ph.D., Pfizer	160
PAT Discussion	172
Breakout Session Summaries:	
Rapid Microbiology Testing Summary, Michael Korczynski, Ph.D.	224
PAT Summary, Kenneth R. Morris, Ph.D.	237

1 P R O C E E D I N G S

2 [The panel members, special government  
3 employees, and guests introduced themselves.]

4 MS. TOPPER: Thank you. The following  
5 announcement addresses the issue of conflict of  
6 interest with respect to this meeting and is made a  
7 part of the record to preclude even the appearance  
8 of such at this meeting. The topics of today's  
9 meeting are issues of broad applicability. Unlike  
10 issues before a committee in which a particular  
11 product is discussed, issues of broader  
12 applicability involve many industrial sponsors and  
13 academic institutions. All special government  
14 employees and federal guests have been screened for  
15 their financial interests as they may apply to the  
16 general topics at hand.

17 Because of her reported interest in  
18 pharmaceutical companies, the FDA has prepared a  
19 general matters waiver for Dr. Judy Boehlert, a  
20 special government employee, which permits her to  
21 participate in today's discussions. A copy of this  
22 waiver statement may be obtained by submitting a  
23 written request to the agency's Freedom of  
24 Information Office, Room 12A-30 of the Parklawn  
25 Building.

1           Because general topics may impact so many  
2 institutions, it is not prudent to recite all  
3 potential conflicts of interest as they apply to  
4 each member, consultant and guest. FDA  
5 acknowledges there may be potential conflicts of  
6 interest because of the general nature of the  
7 discussions before the committee and these  
8 potential conflicts are mitigated. In the event  
9 the discussions involve any other products or firms  
10 not already on the agenda for which the FDA  
11 participants have a financial interest, the  
12 participants' involvement and their exclusion will  
13 be noted for the record.

14           With respect to all other participants, we  
15 ask in the interest of fairness that they address  
16 any current or financial involvement with any firms  
17 whose products they may wish to comment upon.

18           I would also like to thank those people  
19 who are sitting in our overflow room. We  
20 understand that this is not the optimum facility  
21 but this is what we had available. If there are  
22 comments during the open public hearing, we do  
23 encourage them to come into this room to make their  
24 comments. Thank you.

25           DR. LAYLOFF: Thank you, Kimberly. I'd

1 like to have the people who came in just recently  
2 introduce themselves, starting with Joe.

3 MR. FAMULARE: Joe Famulare from CDER  
4 Office of Compliance.

5 MR. HALE: Hi, I'm Tom Hale from Hale  
6 Technologies.

7 DR. RAJU: G.K. Raju from MIT.

8 MR. HAMMOND: Steve Hammond from Pfizer.

9 DR. LAYLOFF: Thank you and welcome.

10 Now we'd like to go to Dr. Ajaz Hussain,  
11 who will give us an introduction.

12 Introduction to Meeting

13 DR. HUSSAIN: Good morning and welcome to  
14 Rockville. We actually moved from a smaller room  
15 to a bigger room here and I apologize for the  
16 cramped quarters but that's all we could find at  
17 this time. It's a challenge, but it also reflects  
18 on the popularity of what we are trying to do here.

19 Let me share some thoughts with you on the  
20 process analytical technology initiative, and the  
21 progress we have made, and what we expect to do at  
22 this meeting number three.

23 So, in sort of an outline format  
24 presentation, I have shared with you some of the  
25 progress at FDA and talked to you about the PAT

1 review inspection team. Also I talked to you about  
2 the blend uniformity and the decisions FDA has made  
3 with respect to the PQRI proposal and how it links  
4 to the PAT initiative; talked to you about the  
5 manufacturing subcommittee that we are planning,  
6 and then shared with you in a summary format what  
7 we have learned from the PAT subcommittee  
8 discussions so far, and sort of summarized for you  
9 a PAT conceptual framework and the type of  
10 regulatory incentives that would be necessary to  
11 facilitate this. And, then finally, what  
12 information are we seeking today.

13 I'm very pleased to share with you that we  
14 have been able to put a PAT review and inspection  
15 team together. This includes members from the  
16 Office of Regulatory Affairs, our field districts.  
17 The Center for Drugs, and Center for Veterinary  
18 Medicine has joined into the PAT initiative as a  
19 full member. So it is a multi-center team now.

20 We actually held a meeting three weeks ago  
21 and we are in the process of moving forward with a  
22 training program. In that regard, we have  
23 developed a training curriculum at this  
24 subcommittee, the second meeting, and that was the  
25 basis of establishing contracts with the University

1 of Washington, Center for Process and Chemistry;  
2 University of Tennessee; and University of Purdue  
3 to do or conduct the training program for this  
4 review and inspection team. This training program  
5 starts in December.

6 We also have been very successful in  
7 putting together sort of a PAT policy development  
8 team. We have successfully recruited individuals  
9 who will be part of this team. We are also making  
10 progress in the PAT research arena, and we have had  
11 a couple of publications and presentations at the  
12 upcoming AAPS meeting and, hopefully, some of you  
13 will get a chance to sort of review that.

14 Here is the PAT review inspection team and  
15 other teams that are making this possible. You are  
16 all familiar with the PAT steering committee which  
17 includes Doug Ellsworth, from our New Jersey  
18 District. He is at the table today. There is  
19 Dennis Bensley, from CVM. He is in the audience  
20 but, unfortunately, he is in another room. Mike  
21 Olson, Joe Famulare, Yuan-yuan Chiu, Frank Holcomb,  
22 Moheb Nasr and myself. That essentially is the  
23 steering committee now and we have a PAT policy  
24 development team. Raj Uppoor was introduced to you  
25 before. I am pleased to introduce Chris Watts. He



1 is in the audience today. He is a biomedical  
2 engineer with an industrial pharmaceuticals  
3 background. He has just joined us. Hiquan Wu is a  
4 chemical engineer with experience with on-line  
5 methodologies. He has also joined the team. We  
6 are still waiting for one more member to join and  
7 that will essentially complete the policy  
8 development team.

9           We have PAT training coordinators. John  
10 Simmons and Karen Bernard are taking the lead on  
11 that, with the help of Kathy Jordan. The review  
12 inspection team includes investigators from  
13 Atlanta, San Juan, New Jersey and Philadelphia  
14 districts, and you see the names here. It also  
15 includes compliance officers from CDER and CBM and  
16 reviewers from both new drug and generic drug  
17 divisions and the Center for Veterinary Medicine.

18           So this team is essentially set up. We  
19 are going through many team building exercises and  
20 we have had some fun also at the same time. So,  
21 there is some fun involved also in our team  
22 building exercises.

23           In terms of research, I just want to show  
24 you quickly the publication that came out. I hope  
25 you will be able to critique it and give us some

1 more comments. This is a web-based publication, by  
2 Rob Lyon and others, which looked at near-infrared  
3 imaging as a means for looking at blend homogeneity  
4 for tablets. There are many issues still to be  
5 resolved but I think this will establish some  
6 feasibility concepts.

7           Let me move on to blend uniformity. At  
8 the advisory committee the day before we discussed  
9 the blend uniformity proposal and the comments that  
10 we had submitted to PQRI. In a sense, we have made  
11 a decision to move forward adopting the PQRI  
12 proposal. So, the stratified sampling scheme would  
13 become part of a new draft guidance that we are  
14 proposing.

15           That sometimes raises the question of how  
16 does that link to PAT and I would like to share  
17 some thoughts on that. At the previous meeting we  
18 talked about the challenges with the univariate  
19 approaches that we currently adopt and advantages  
20 of moving to a multivariate approach for product  
21 quality, and that is where PAT takes us. But I  
22 think we have also said that PAT is not a  
23 requirement. It is an opportunity to improve but  
24 we still have the traditional methods. So,  
25 stratified sampling analyzed by traditional methods

1 would still be acceptable and that is what the  
2 current PQRI proposal will sort of adopt.

3           At the same time, you can also include  
4 near-infrared imaging, near-infrared assessment  
5 at-line for the same test methods for stratified  
6 sampling. I think Pfizer's Steve Hammond shared  
7 some examples of that with us. So, if the at-line  
8 method is simply replacing an HPLC method, we won't  
9 consider that as a PAT submission because there is  
10 no additional advantage, or lessons learned, or  
11 analysis of the process. But if you are using that  
12 to highlight some process issues and actually  
13 improve the process and have a better understanding  
14 of the process--again, I will use Steve Hammond's  
15 presentation to the science board which said that  
16 we don't limit ourselves to 10 tablets or 30  
17 tablets; we actually go and do many, many more.  
18 That raises the question of safe harbor. So that  
19 extended analysis sampling brings that into the PAT  
20 world. So, that is what I am trying to share with  
21 you because you will need a safe harbor concept to  
22 sort of come in there.

23           So, that is the link between what the  
24 blend uniformity proposal at-line could be and how  
25 it links to PAT. The advantage of PAT essentially

1 is a multivariate quality by design approach where  
2 we can actually go to on- or at-line test methods  
3 for all critical components and processes.  
4 Currently, blend uniformity focuses mainly on only  
5 one component, the drug. Under the PAT scenario  
6 you actually look at homogeneity with respect to  
7 every component or all critical components. That  
8 is what the proposed PAT guidance is going to adopt  
9 and describe.

10 The question that then comes is what is  
11 the incentive? I think the incentive here is  
12 higher efficiency; better understanding of your  
13 processes; lower risk leading to lower regulatory  
14 concerns. So, I think those are the incentives for  
15 why somebody would do on-line or at-line blend  
16 uniformity under the PAT concept, also I think  
17 linking that to the total quality system approach  
18 where you can actually use that information to  
19 predict end-product quality not only in terms of  
20 content, but also possibly in terms of dissolution,  
21 and so forth.

22 Moving on to the next update topic, we had  
23 talked about sunsetting the PAT subcommittee on  
24 several occasions, and I think the decision has  
25 come to this right now, that this will be the last

1 meeting of the PAT subcommittee. We hope to have  
2 gathered all the information from these three  
3 meetings for the general guidance.

4           What will happen next is that the  
5 subcommittee will sunset and a new subcommittee  
6 will be formed and that will be the manufacturing  
7 subcommittee. The goal of this subcommittee will  
8 be to provide input and advice to CDER and FDA on  
9 science-based CMC and GMP policy development, but  
10 also continue development of a PAT initiative.  
11 Actually, it will take on the GMP for the 21st  
12 century, a risk-based approach, and provide input  
13 and support to that initiative. So this  
14 subcommittee is being modeled after the PAT  
15 subcommittee. In fact, we have heard from many  
16 individuals that this was probably one of the most  
17 successful subcommittees we have ever had.  
18 Although we don't want to sunset that, I think it  
19 is time to sort of incorporate this into the  
20 overall scheme of things at FDA. It will be  
21 modeled after the PAT subcommittee. That means  
22 that the core membership will be based on expertise  
23 in manufacturing, quality assurance and R&D. I  
24 forgot to put R&D in there, development itself.

25           Some of you will essentially move to the

1 manufacturing subcommittee and we will actually  
2 create more focused groups or fact-finding groups  
3 which will sunset after their assignment is done.  
4 So, it is not discontinuing your activities. In  
5 fact, you are being one of the most successful  
6 subcommittees we have ever had, but it will  
7 essentially be expanding the role and broadening  
8 the scope of the initiative.

9           Moving on to the next topic that I want to  
10 share some information on, what have we learned  
11 from you? Your input has essentially allowed us to  
12 create a conception or framework for PAT from the  
13 regulatory sense but also from a scientific sense  
14 and actually identify emerging regulatory  
15 incentives that we would sort of provide. The  
16 concept of safe harbor has been discussed many  
17 times. I think we would like to use the term  
18 research exemption for describing the same concept.

19           So, we have started focusing on a  
20 risk-based approach. This risk-based regulatory  
21 focus provides an opportunity to reduce the  
22 regulatory burden when you have better  
23 understanding, more understanding of your processes  
24 and how they relate to quality, and so forth. As a  
25 result of all this activity, I think PAT is a part

1 of and is an example of the new FDA initiative for  
2 cGMPs for the 21st century. So, essentially you  
3 can see how things are getting connected together.

4 I would like to spend a few minutes sort  
5 of laying out a conception framework for PAT. This  
6 is sort of our understanding of the PAT concept  
7 through discussions with you. I don't expect you  
8 normally ask questions right away but I think  
9 toward the end of the day, if you have questions on  
10 this concept, I think we need to talk about that.

11 The PAT conceptual framework addresses  
12 every aspect from incoming raw materials to  
13 optimization to continuous improvement, and so  
14 forth. If I look at the PAT concept, I think it  
15 starts with processability of the incoming raw  
16 material. At some point we would have enough  
17 information that incoming raw material  
18 processability attributes would actually be  
19 utilized to adjust your process parameters. We  
20 won't do that today, but that is a possibility  
21 under this scenario. The incoming material  
22 attributes can be used to predict or adjust optimal  
23 processing parameters within certain established  
24 bounds.

25 Clearly, on-line assessment of attributes

1 that relate to performance and quality is a key  
2 component of that, and for this we need to focus on  
3 identifying process critical control points and  
4 also move towards an endpoint approach. Instead of  
5 time as an endpoint, you move towards process  
6 endpoints. You granulate until you have the  
7 optimum granule size; you blend until it is  
8 homogeneous--that concept.

9 All this actually could be based on  
10 performance measures and be linked to that. So,  
11 the chemometrics information technology and  
12 real-time control decisions are a critical  
13 component of that, and that will be the discussion  
14 of this meeting to some degree. At the same time,  
15 we move towards direct or inferential assessment of  
16 quality and performance that could be at- or  
17 on-line. So, it goes from incoming raw material to  
18 end-product testing at all stages.

19 But also I just want to sort of share with  
20 you that development optimization and continuous  
21 improvement are concepts that PAT allows us to  
22 realize. The design of experiments, the advantage  
23 of using design of experiments is that we can learn  
24 more but, at the same time, you can get advantages  
25 in a regulatory sense of doing that work.



1           The concept of evolutionary optimization  
2 is not a truly viable option today but improved  
3 understanding of processes can actually open the  
4 door for evolutionary optimization thought  
5 processes to come in leading to improved  
6 efficiency. Also, I think it is important to  
7 realize that we will be thinking in terms of a  
8 multivariate systems approach where you take  
9 advantage of the built in redundancies that you  
10 have in the system and actually go towards risk  
11 classification and mitigation strategies which are  
12 far more sophisticated than what we do today.

13           Just to sort of share with you, I think we  
14 have to learn how to take advantage of built in  
15 redundancies. Redundancies are not bad. I think  
16 if I use NASA as an example, you have six backup  
17 systems. In the case of PAT, I think the  
18 development of redundancy that we can have and take  
19 advantage of I think we will learn on a case by  
20 case basis. But if you start thinking about a  
21 systems approach to setting specifications to GMPs,  
22 and so forth, the whole concept comes together  
23 quite nicely.

24           At the same time, I think the link between  
25 the PAT and cGMP initiative, at least from my

1 perspective, is that quality depends on knowledge  
2 and PAT brings more knowledge and understanding of  
3 all processes, and this is a way where we can  
4 actually make science and risk-based decisions in  
5 terms of manufacturing.

6 Briefly, I think the key question from a  
7 regulatory perspective is was quality built in or  
8 was quality by design built in? Either phrase can  
9 be used interchangeably. From a regulatory  
10 perspective, it is often difficult to assess that  
11 because of the limited data. Many companies do  
12 extensive development work and actually have a lot  
13 more information and understanding of their  
14 processes, but what gets transmitted to FDA and FDA  
15 understanding is obviously at a different level  
16 but, at the same time, we both have to make the  
17 same decisions--was quality built in or was it by  
18 design?

19 If we are making decisions based on data  
20 derived from experiments or decisions based on  
21 innovative approaches, it is often difficult to  
22 assess that. Therefore, I think we get criticized  
23 that our approaches are empirical but I think the  
24 reality is that those are the data sets on which we  
25 have to make decisions. If we are empirical, it is

1 because the data is empirical. So, we have to be  
2 concerned with every step and that is the current  
3 system.

4           As we improve our knowledge and  
5 understanding, we move up the knowledge pyramid  
6 where we establish causal links and are able to  
7 predict performance. There, I think that is where  
8 PAT takes us, and our ability to say that quality  
9 was built in is much improved, although limited to  
10 the experimental design base that we have but at  
11 least we now have a better, more sophisticated risk  
12 assessment than risk-management strategy which  
13 would focus on clinical process control points.  
14 That is where PAT takes us. Eventually I think  
15 with the mechanistic understanding and first  
16 principles you can actually go further but I think  
17 that will take more time because our systems are  
18 very complex systems in a physical and chemical  
19 sense.

20           Just sort of to share with you the other  
21 aspect of risk management, quality risk  
22 classification, if I use the SUPAC concept of  
23 defining high, medium and low impact on quality and  
24 then sort of overlay that with what the GAMP-4  
25 describes as matching risk, you have an

1 opportunity, as we move towards quality by design  
2 in a systems thinking, to reduce the risk  
3 likelihood and, thereby, reduce the concern about  
4 impact on quality. So, what might be a level three  
5 change today in the SUPAC actually goes to a level  
6 two change, and that is one approach of saying that  
7 we do need a product approval supplement and this  
8 can be handled in a different sense.

9           But this is just the first step. We can  
10 actually not only reduce the risk classification  
11 but also improve by increasing the probability of  
12 detection. That is what quality by design and  
13 systems approach does. I think the way this will  
14 probably emerge is with trying to connect the dots  
15 between development and manufacturing and review  
16 and inspection. The question that we start  
17 focusing on with PAT up front is was quality built  
18 in. So, that is one question that we ask at the  
19 IND stage. As we go through the clinical  
20 development and we have the safety and efficacy  
21 data, and we have to ask the question how do you  
22 set the specifications? If you set the  
23 specifications as stringently as we do today, not  
24 taking advantage of the complete understanding of  
25 the process and all this, then we will have made

1 progress.

2           If you have process understanding, then we  
3 can make decisions which are more relevant to how  
4 we set specifications, not only taking into account  
5 safety and efficacy but also process capability.  
6 Many times I think some flexibility is needed here  
7 so at the time of approval we may start thinking  
8 about an interim set of specifications which get  
9 finalized a year from that or at some period when  
10 you have more manufacturing history. At the same  
11 time, the knowledge that you develop for your  
12 product brings us into the mode of making your own  
13 SUPAC concept; change management which is specific  
14 based and derived from the data that you have.

15           That was sort of the background and update  
16 that I wanted to provide for you. I just want to  
17 focus the discussion today on what we seek. We  
18 seek information on the following: One major  
19 question that is in front of you is computer  
20 software validation. There are several excellent  
21 guidance documents. For example, in your handout  
22 that was mailed to you we included several  
23 guidances developed by our sister organization,  
24 Center for Devices and Radiological Health. I  
25 could not send you the GAMP-4 but there are other

1 such documents.

2           My proposal is to adopt and/or to refer to  
3 some of these directly in the PAT guidance instead  
4 of reinventing the wheel. The question that I pose  
5 to you is what initial controls would you recommend  
6 for the PAT guidance? Taking the CDRH guidance  
7 that you have in your handout for software  
8 validation, possibly looking at GAMP-4, what  
9 controls would we need to consider in the PAT  
10 guidance?

11           We also want to sort of address CFR Part  
12 11 issues. I am very pleased to let you know that  
13 Joe Famulare is now the agency lead for this topic  
14 and, after my presentation, I would like to have  
15 him say a few words. Actually, I have asked him to  
16 lead the discussion on this topic. Having Joe as  
17 the lead for the agency, not just CDER but for the  
18 agency, helps us to sort of focus on the PAT  
19 concept better.

20           But I just want to caution you that Part  
21 11 applies to all systems generating electronic  
22 records. I would like to focus our discussion  
23 today within the context of PAT. We can not solve  
24 all the issues. If you could focus your discussion  
25 within the context of PAT, I would appreciate that.

1           I have provided for you some questions  
2 that may be relevant. I think these are questions  
3 that we sort of pose to you as framing the goals  
4 and objectives of this discussion. For example, if  
5 you take near-infrared as an example, what incoming  
6 material data should be acquired? What incoming  
7 material elements should be retained? What  
8 in-process data element should be required, and so  
9 forth? What is an electronic batch record in terms  
10 of PAT? So, if you start thinking and working  
11 through some of these questions either in the case  
12 studies this afternoon or through the discussion  
13 this morning, this would be very helpful to us,  
14 especially I think what product release elements  
15 should be retained, and so forth.

16           We would also sort of like to fine-tune  
17 some of the discussion using case studies. I think  
18 we have two wonderful examples. I am very pleased  
19 and thankful to Bristol-Myers Squibb for putting  
20 together an excellent case study for discussion  
21 this afternoon. We call those mock submissions.  
22 But we would like to use this and Steve Hammond's  
23 presentation, for example, to sort of go through  
24 the regulatory challenges and solutions that need  
25 to happen to facilitate PAT introduction.

1           I also want to emphasize that rapid micro  
2 is an important part of the PAT initiative.  
3 Although we have not discussed this extensively, I  
4 think we need to do that. The general guidance  
5 will not get into details on rapid micro methods  
6 but, hopefully, will provide enough information to  
7 encourage use of rapid microbiology testing. We  
8 have a working group discussion on that this  
9 afternoon.

10           To sort of help focus the discussion, I  
11 have asked Bob Chisholm to take the lead in some of  
12 the discussion in framing the computer issues.  
13 Although he is not making a formal presentation, he  
14 will work through some issues from his chair at the  
15 table.

16           Joe, do you want to say a few words?

17           MR. FAMULARE: Concerning Part 11 and PAT,  
18 I could just echo what Ajaz has said, that we have  
19 heard some concerns as this new technology develops  
20 about will Part 11 serve as a hindrance, just as we  
21 have looked at other regulatory processes and so  
22 forth? We hope to work through those in the  
23 proposed guidance. Here, today, we hope to have a  
24 good discussion of certain experiences that  
25 companies have had that have looked at the PAT



1 systems and how they have grappled with Part 11.  
2 We could take that information back to the overall  
3 Part 11 work group that are meeting right now with  
4 representatives from all centers in the field in  
5 FDA. So, we hope to hear what the problems are  
6 from some perspectives; hear what the successes  
7 are; and at least be able to touch upon them in a  
8 practical sense in the guidance coming up.

9 DR. LAYLOFF: Thank you, Joe and Ajaz.  
10 You can see that the PAT committee has been very  
11 successful and there is a long shadow that Ajaz has  
12 placed over it. His leadership has kept it  
13 driving.

14 Clearly, when you talk about electronic  
15 records and record retention, PAT is electronic  
16 records, electronic acquisition. Part 11 is going  
17 to be a big player during the implementation of  
18 PAT. To go into those discussions we have invited  
19 some speakers, or Ajaz has.

20 DR. HUSSAIN: Tom, I think it is not  
21 reflected in our agenda but I think what I had in  
22 mind was to have Bob Chisholm sort of lead the  
23 discussion and sort of frame the questions broadly,  
24 and then we will listen to the invited guests.

25 DR. LAYLOFF: Fine. Go, Bob.

1 Computer Systems Validation

2 Part 11 Issues Pertinent to PAT

3 Invited Guests

4 DR. CHISHOLM: This handout was done very,  
5 very quickly because we didn't realize that we were  
6 going to be doing this presentation. In fact, we  
7 did a computerized presentation last night which, I  
8 am assured, is coming in the door as we speak.

9 It is focused very much on the area of  
10 compliance, practical implementation of PAT and  
11 compliance, focusing, of course, both on computer  
12 system validation and 21 CFR Part 11, which is  
13 central, and the experiences we have had. Then,  
14 just at the end looking at the risk-based approach  
15 to quality management and what effect the PAT  
16 initiative may or may not have on that.

17 So, taking an overview of that, what I  
18 really want to talk about--and I also have some  
19 overheads which I clearly can't use either so it is  
20 not the best of days for me--

21 DR. HUSSAIN: Well, what we could do then  
22 is, in a sense, listen to the invited guests and  
23 then sort of refocus that.

24 DR. CHISHOLM: Whatever you want to do.  
25 It is better presented than read out.

1 DR. LAYLOFF: Okay, Bob, you have your  
2 slides.

3 DR. CHISHOLM: Stand up or sit down?

4 DR. LAYLOFF: Stand up; you have to stand  
5 up.

6 DR. CHISHOLM: Sorry about that. We  
7 should be used to agendas being changed at the last  
8 possible minute, I guess. Is there any chance of  
9 getting the two overheads up? You have to have  
10 really good eyesight to see these but never mind.

11 As I said, this is the focused part of the  
12 presentation. PAT is a means of achieving  
13 manufacturing excellence, which is what I am about  
14 really coming very much from a manufacturing  
15 background.

16 Basically, what I wanted to talk about,  
17 and I will be brief--how long do I have? About 15  
18 minutes max?--I want to talk about the different  
19 levels of PAT systems and what we mean by them,  
20 then moving on to level two and talking about our  
21 experience with validation and 21 CFR 11  
22 considerations. That is a general solid dosage  
23 facility.

24 Moving up into level three, which is  
25 something I don't think we have discussed very much

1 here in the past. It is about diverse data  
2 management, storage, modelling and manufacturing  
3 execution systems, and that is where I think we  
4 come in actually to product release and how we  
5 handle that.

6 Then talking very briefly about  
7 manufacturing execution systems as tools to manage  
8 the risk and manufacturing as opposed to  
9 end-product development.

10 What I mean by three levels of PAT systems  
11 in our definition, the first one is level one,  
12 which is stand-alone, which would be typically the  
13 most frequent that is currently around, NIR  
14 analyzer and its own PC. Basically that is for  
15 material classification.

16 A level two system is moving on to what we  
17 have done in our German facility, which is a total  
18 facility approach where you move in to basically  
19 real-time quality control and quality assurance,  
20 and you probably need to ethernet that data because  
21 you are beginning to deal with big and complicated  
22 data flows.

23 Then, on top of that, to manage all that  
24 data and to use it effectively you have to develop  
25 the upper level IT compliant system, which I will

1 talk about. Here is large volume diverse data  
2 storage management and modelling functionalities  
3 and the manufacturing execution system.

4 I am obviously not going to demonstrate  
5 this list of computer validation documents because  
6 I have no way of putting it up at the moment but  
7 that doesn't matter. I think the first point I  
8 would like to make is that when you move into 21  
9 CFR 11 in these systems you actually have to have a  
10 strategy document. I think you should have a  
11 strategy document which actually gives your whole  
12 principle in terms of password control, IT  
13 security, but your actual testing becomes part of  
14 your normal computer validation documentation. You  
15 actually test in a normal way because it is an  
16 inherent part of computer validation but I think it  
17 is best to lay out your strategy for the total  
18 system as a separate document. We could give some  
19 regulator comments perhaps later on that because it  
20 actually lets a regulator see what you are actually  
21 trying to achieve, and you prove you achieved it by  
22 testing.

23 In this, I think what you need to do is  
24 take a risk-based approach, effectively failure  
25 mode effect analysis. You have to look at an

1 ethernet system and you have to see the points  
2 where anybody can actually come into the system  
3 through an interface and interfere with data. You  
4 actually have to make sure that that doesn't occur  
5 of, if it does occur, you clearly have an audit  
6 trail.

7           So, we are talking here about password  
8 hierarchies. We are talking about Windows 2000 IT  
9 security and your audit trail philosophy. If you  
10 look at a typical ethernet system, and I have one  
11 here but obviously I am not going to put it up at  
12 the moment, basically you actually have the  
13 operator or plant personnel coming in to what we  
14 define as a panel PC. So, you control that via  
15 password access. You could have system  
16 administrators or IT people coming in through the  
17 server because that is an associated keyboard. So,  
18 you have to direct your attention there. Also, you  
19 can have people from outside coming in if you have  
20 an ethernet or corporate system and you have to  
21 have protections there.

22           That tends to be managed in general by,  
23 firstly, password control and that can be corporate  
24 passwords and, secondly, by the application of  
25 access levels and what you can do with the data.

1 So, you can define whether people in an occurrence  
2 are read-only or whether they can actually write  
3 null to the data, and that would all be in the  
4 philosophy document for the agency to review.

5           Any questions, just stop me. In terms of  
6 data transfer protocols, I just want to mention one  
7 particular thing. Traditionally in systems you  
8 would use a mailbox approach. In other words, your  
9 lower system would store the data and flag it up;  
10 in a higher system you effectively scan and take it  
11 up at intervals and that is perfectly okay provided  
12 you have an audit trail, etc., etc.

13           The concerns begin to arise if your  
14 schedule log is down because then what actually is  
15 being transient data can actually become an  
16 electronic record. I think we will have to  
17 consider what we mean by transient data in terms of  
18 such occurrences and how you protect against that.

19           What I am trying to do here is pose some  
20 questions for you because I think they are all  
21 relevant. I am not giving you the answers and I am  
22 not saying that we have the answers but they need  
23 to be discussed.

24           Moving up to a higher system, what I have  
25 put up here is basically a level three system. We

1 have some kind of database and what I have shown  
2 here are the different functionalities. So, you  
3 have your NIR data and met-data typically. You  
4 have your analytical data and meta-data coming in  
5 also. Then you have your research data for your  
6 original models, etc. having to come in.

7           The reason we are using something called a  
8 filter is a software transfer function effectively  
9 is because you want to transfer that into whatever  
10 data protocols you want to use within your database  
11 and within your high level system. This allows  
12 you, on that basis, to take data from any source  
13 that is compliant and all you have to do then is  
14 clearly validated the transfer through that  
15 software filter. I think that is a very useful  
16 point. Modelling functionality clearly is  
17 necessary here. Manufacturing execution and  
18 reporting system I will come to, and long-term  
19 archiving I will come to.

20           If we actually think about these systems,  
21 what do you have to do? I will just give you a  
22 brief example and try to make this fairly quick  
23 because this is actually normally quite a long  
24 presentation. You have actually developed a  
25 product using pharmaceutical development, people in



1 R&D, and you have a model and that model is then  
2 imported into the system.

3           You have to consider issues of model  
4 validation, approval, etc., etc., but the first  
5 thing you have to consider is that that model is  
6 being done in R&D facilities, not in the actual  
7 plant. So, that model then has to be expanded to  
8 represent the plant. Clearly, what you have to do  
9 there is that you then have to actually create  
10 hierarchies of models. That model, when it comes  
11 in, I would suggest could be something called  
12 perhaps a development model.

13           Once you start to expand in your own  
14 facility, then it becomes effectively a working  
15 model but it has not been approved for use; you are  
16 not releasing product. Once you have validated it,  
17 you have another decision to make, do you validate  
18 it using spectral or image validation using  
19 analytical data from your plant, and these are all  
20 decisions that have to be made and a balance  
21 between the two.

22           Once you actually get there, once you are  
23 approved, that is when your signature comes in and  
24 that is where the QA/QP could actually do the  
25 actual approval and then, and only then do you have

1 a model which would actually be the model you are  
2 using in the plant, your approved model.

3           What we have to consider really, the FDA  
4 and other agencies have to think, okay, a number of  
5 things about models. What do they actually want to  
6 see? Do they just want to see the algorithm, or do  
7 they want to see the algorithm, the data and the  
8 methodologies of getting to that algorithm? If  
9 they want to see that, do they want it demonstrated  
10 how the model was created? These are all things  
11 that could actually appear in some sort of way  
12 because companies will have to take these  
13 decisions. Is it enough just to have an algorithm  
14 and show that you have validated it, or do we have  
15 to go further back? I just pose these as  
16 questions.

17           Again, advice from the agency would be  
18 welcome for archiving. How long do we have to keep  
19 all this data? Once you get to model revision ten,  
20 which may be after ten years, should we be keeping  
21 everything because we will have to archive it  
22 eventually? Is it on the life of the product? Is  
23 it on the shelf life? What exactly is it?  
24 Obviously, with clinical trails material we have to  
25 keep it for a long, long time.

1           Again, stop me if there are any questions;

2 I am going quickly obviously. Once you have the  
3 manufacturing execution system you have major  
4 opportunity I think, and that is why the level D  
5 system is so relevant once you take that into  
6 account. This allows you to do real-time  
7 statistical monitoring. This allows you to take  
8 real-time decisions. I will give you an example.  
9 You have your dispenser, all analyzers on the  
10 dispenser. The operator will go in. He brings up  
11 it up, pass/fail. What do you do then?

12           Well, what you do then is bring in your  
13 audit trail immediately because you are out of GMP  
14 and it has to go back to the warehouse. So, that  
15 is a very positive thing so he has to bring the  
16 next level up to actually manage that. That is a  
17 typical statement but it is really a question, do  
18 you have to do that?

19           Let's say that it actually passes but the  
20 operator then brings up the historical trending and  
21 sees that gradually over time the specification is  
22 changing. That is important. He needs to inform  
23 the plant manager supervisor about that because you  
24 are now getting into data mining.

25           You can then use statistical distribution,

1 etc., to look and see why and perhaps you can  
2 relate an increasing blending time to change in a  
3 certain raw material. I think this is what Ajaz  
4 was referring to in his presentation.

5 But all these things are part of a  
6 manufacturing execution system and what is the  
7 relevance to regulatory authorities? How many  
8 records do we have to keep, etc.? It just becomes  
9 another one of these big questions. Is it a  
10 manufacturing company tool or is it something we  
11 all have to share? Posing that again as a  
12 question, I am in no way responsible for  
13 AstraZeneca regulatory strategy, I can assure you.

14 So, we start to move on to product release  
15 or batch release. So, what do you actually have  
16 now? You have the ability, for instance if you  
17 monitor tablet quality but you have all the other  
18 variables leading to it, you have the ability to do  
19 distributions which we kind of hope are going to be  
20 normal distributions. How do you actually use this  
21 to release the batch? And, this is where I am  
22 going to stop, again posing questions to you  
23 because I think they are all very relevant.

24 Well, I think we really need to work with  
25 the agency here because if we are going to start

1 using statistics to release batches, or statistical  
2 distributions and their attributes, we have to  
3 decide--when Ajaz talks about defining intermediate  
4 quality parameters, clearly, in terms of normal  
5 distributions that would let you work with a bigger  
6 set of standard deviations than perhaps you may be  
7 able to later. But all these things I think need  
8 to be explored, and I am talking quite generally  
9 and I think we are all talking generally in these  
10 areas and I think what the industry needs is  
11 certainly to get down deeper into these things  
12 because we are very comfortable with registering  
13 specifications plus/minus X percent of your spec.  
14 This is a very different world and we all have to  
15 be aware of that I think.

16           What I would say is that ultimately risk  
17 is a statistical evaluation in manufacturing. You  
18 have already done your good process design, you are  
19 then manufacturing, and the nature of  
20 cybernetics--and I speak as a control engineer, and  
21 it means that things may change over the life of a  
22 product.

23           So, once you start using manufacturing  
24 execution systems you get distributions of tablet  
25 parameters, etc., statistically sampled. The

1 actual statistical monitoring and control monitors  
2 the risk. The analysis of distribution then  
3 evaluates the risk and that is what I see as risk  
4 in manufacturing, and I think that is what a lot of  
5 other industries would see as risk in  
6 manufacturing. But it is not something we have  
7 done a lot of in the pharmaceutical industry. I am  
8 not saying we should stop; I am saying these are  
9 the areas that we have to investigate.

10           There was one last one, in 1925 H.G. Wells  
11 said that one day statistical knowledge will become  
12 a very, very important item of citizenship, and I  
13 think this may be one area where that is going to  
14 apply. I will, hopefully, take any questions  
15 throughout the day. I have done this as quickly as  
16 I possibly can.

17           DR. WINGATE: Hello. I have been invited,  
18 and thank you very much for the invitation, to  
19 speak around regulatory history, real experiences  
20 that GSK has had around computer validation and  
21 Part 11.

22           So, I am going to take a slightly  
23 different tack from the previous presentation. Bob  
24 was looking at some of the technical details. I am  
25 again going to be prompting some questions but

1 based on our inspection experiences, but also when  
2 we have done our remediation, the main issues which  
3 have affected us.

4 I am going to give a brief outline of the  
5 particular inspection I am going to talk about. I  
6 am going to outline the remediation plans we went  
7 through at the top level, and I am going to touch  
8 on some validation key issues for us and some Part  
9 11 consequences as well.

10 I am going back to 1997, when then Glaxo  
11 Wellcome had an inspection at one of their U.K.  
12 secondary manufacturing sites. This particular  
13 inspection was a general inspection and covered  
14 computer systems. In particular, it looked at  
15 legacy systems and in one particular case a legacy  
16 MRP system that was developed over a decade  
17 earlier, quite a common problem; we weren't unique  
18 in this situation, being inspected on an older  
19 system, a custom-built system as well.

20 Several computer validation observations  
21 were made, and this was a multi-site system shared  
22 across many sites, supporting many sites. The  
23 corrective actions to address these observations  
24 had to cover the sites affected.

25 The company gave a commitment to the FDA

1 to validate all their systems, and actually seven  
2 sites were affected at least within defined time  
3 scales. There was a massive mobilization staff in  
4 the company. You can imagine a seven-site MRP-2  
5 type replacement program, a very large project  
6 indeed.

7           In the meantime, while that project was  
8 being launched, there was the recognition that we  
9 needed to put in interim measures. So, while we  
10 are waiting for the replacement or a solution, you  
11 need to address the immediate needs to improve the  
12 confidence, the assurance you have in your  
13 processes. So, we brought in a series of manual  
14 ways of working and they complemented the automated  
15 processes by bringing in a verification, parallel  
16 verification of operation. That was very resource  
17 intensive. So, in a way, we had two massive  
18 mobilizations of staff, one to bring in replacement  
19 systems and one to bring in interim measures, and  
20 that was on an ongoing basis, the interim measures.

21           To fix the situation we initially started  
22 thinking about retrospective validation, which is  
23 always difficult and can never really achieve the  
24 standards and the built-in quality attributes we  
25 have been talking about earlier into an existing



1 system. We soon realized that we weren't going to  
2 be able to recover the quality standards  
3 achievement in that system so a replacement was  
4 then planned.

5 In that replacement--this is 1997--we  
6 included Part 11 within that. The replacement  
7 system selection, right from the womb to the tomb  
8 of the project, was actually conducted over an  
9 18-month period. That is a very accelerated  
10 process for such a large system. Many MRP-2 type  
11 rollouts occur over many years with a phased  
12 delivery and it represented a significant  
13 investment, and we maintained a dialogue with the  
14 FDA through that period.

15 So, what were the lessons for us, all the  
16 issues that we uncovered? I guess when we are  
17 bringing in either a new computer system or new  
18 technology, if we are dealing with a retrospective  
19 validation issue this can be very difficult with  
20 the new standards which emerge at that time. For  
21 us, we had a batch investigation which went along  
22 the time when we had observations on our  
23 computerized systems, and this concluded that there  
24 was no evidence that we could find in the quality  
25 of the batches which indicated there was a problem

1 created by the computer systems. We had an  
2 observation for lack of validation or incomplete  
3 validation when we looked at the batches, that  
4 wasn't actually impacting the batches.

5           So, that is another key thing. I think  
6 when we are looking at the integrity of our  
7 processes and our systems validation we have talked  
8 about risk. It is the focus on the patient as an  
9 attribute. We have to get things in balance. We  
10 validate. We have integrity controls for Part 11  
11 to bring assurance to our processes, but we have to  
12 balance the amount of effect we are putting in  
13 there, the amount of technology or grunt or sheer  
14 effort to validate these systems in balance with  
15 the benefit and performance they give.

16           Part 11 brought its own challenges as  
17 well. We had an issue at that time, not too  
18 surprisingly in 1997. New regulations, standard  
19 commercial products out on the market--they didn't  
20 come with Part 11 built in. A lot of education had  
21 to be put in with our suppliers. Even today,  
22 although there is a higher awareness, Part 11 is  
23 not routinely built into products. A lot of  
24 products have developed over many, many years and  
25 they have historical bits of code themselves from

1 five years, six years, seven years, more built in.  
2 So, as commercial products evolve, even when they  
3 label a brand-new version or addition, they tend to  
4 try and reuse as much as possible of previous  
5 products.

6           So, there is an issue there as we move on  
7 with Part 11. The commercial products, they are  
8 struggling to build in a consistent interpretation  
9 of Part 11. There are still some evolving aspects  
10 in interpreting what exactly is required, but also  
11 there is a lot of historical software in products  
12 that we combine.

13           Part 11 also drives a significant increase  
14 in the amount of data archiving presented, and that  
15 has been indicated by Bob has well. This is to do  
16 with when does a record get created. We refer to  
17 the predicate rules for that but that is sort of a  
18 summary list. It is not a very prescribed list.  
19 There is reliance on raw data and the processing of  
20 raw data, their intermediate values of calculation.  
21 How much do we have to apply for a full automatic  
22 audit trail, if you were absolutely fundamental in  
23 every bit of stored data, having its own audit  
24 trail you are multiplying the amount of data in  
25 your system many fold. It is not just a question

1 of adding ten percent extra storage on systems; you  
2 could be adding many hundreds percent extra data  
3 storage.

4 I think Ajaz had critical points in your  
5 process, identifying critical points, those are  
6 probably the critical area where you need the full  
7 integrity that Part 11 would bring in.

8 We have also indicated the long-term  
9 archiving problems, the preservation of data. The  
10 march of computer technology is ever forward and  
11 changing. If you have personal computers, there is  
12 always the upgrade coming through and it is the  
13 same with the manufacturing systems that we have.  
14 As we create data and we start archiving it, we  
15 have to maintain it, maintain it in a fashion so  
16 that we can extract and return the data to store  
17 it, that we can make it meaningful and can use it  
18 if we need to access that information.

19 As technology moves, that forces the  
20 migration through many different systems. Bob was  
21 talking about clinical data being over thirty years  
22 in some instances for retention periods.  
23 Manufacturing data, of course, is a lot shorter  
24 than that but still, with the evolution of  
25 software, we are forced to upgrade our systems and

1 it is difficult to guaranty that with the  
2 historical data, that environment in which it was  
3 created, you can recreate to effectively accurately  
4 retrieve information.

5 In order to get over that, you have to add  
6 in more technology controls to build in the  
7 assurances in the equivalents of your new systems  
8 to be able to make the data meaningful and  
9 accurate. So, that is a major issue too. For us,  
10 this is again a sort of open question. We are  
11 struggling with this. We are creating archiving  
12 systems but we don't have an archiving solution  
13 which will see us through ten years and we know  
14 that we have found the ultimate solution and we can  
15 guaranty access. We are going to have to go and  
16 replace systems again and again and again to  
17 maintain the data.

18 In summary, validation Part 11, it is good  
19 business sense. We do it for a reason. We don't  
20 need the cGMPs to do validation or need assurance  
21 on our data integrity, but there has been a steady  
22 increase in interpretation around validation  
23 requirements and Part 11. There is still ongoing  
24 evolution of the interpretation at the moment. FDA  
25 is issuing a new draft guidance. It is not a fixed

1 target. If we had a fixed target it would be  
2 easier to develop a strategy where you have  
3 confidence that I am investing so much money and I  
4 will achieve compliance; I will do that; I will  
5 also get business benefit; i is not an open-ended  
6 check book.

7           Now, grand-fathering is an issue with  
8 legacy systems. We have many, many thousands of  
9 systems on sites. The amount of automation on  
10 sites is huge these days. From security, when you  
11 go in it is often an automated system; your laptop,  
12 everything is getting more automated.

13 Retrospective validation is very difficult to  
14 achieve satisfactorily. So, it is almost forcing a  
15 replacement program. That is the way you stride  
16 forward. It is very difficult to go back and fix  
17 things if it isn't right. If there is a new  
18 requirement or a new interpretation you have to  
19 replace.

20           Compliance is driving a large investment,  
21 particularly Part 11, in our companies, not  
22 necessarily directed at process improvement but  
23 directed at satisfying compliance requirements  
24 because of the grand-fathering issue and the  
25 difficulty of retrospective work.

1           So, the main question I guess to conclude,  
2 for me, and we have raised risk assessment already  
3 is, we are reviewing the GMPs, or the FDA are  
4 reviewing the GMPs in the environment of a risk  
5 appraisal approach to get that balance. Industry  
6 wants to validate and assure integrity of processes  
7 but we need that balance. We need those processes,  
8 the risk tools. GAMP put up SUPAC. FEMAA was  
9 mentioned. There are others. There are lots of  
10 these tools. If we can formally get those  
11 incorporated not just on the process--Bob was  
12 talking about risk analysis on the process, but  
13 also the risk assessment approach to data integrity  
14 and the validation approach, that would be a big  
15 step forward. Thank you very much.

16           DR. LAYLOFF: Thank you. What operating  
17 system were you using thirty years ago?

18           DR. WINGATE: I have no idea. Which one  
19 will we be using in thirty years time? Who knows?

20           DR. HUSSAIN: I think I have a broad,  
21 general question. If you had a magic wand and had  
22 a solution, what would that solution look like in  
23 your mind?

24           DR. WINGATE: To validation? You  
25 mentioned GAMP but I guess there are others as

1 well, as you indicated, Ajaz. That is a mid-range,  
2 typical type of project size approach, a little  
3 practical sense in there and it includes a  
4 risk-based approach. That sort of approach for  
5 mid-range to look at the average requirements,  
6 don't pitch for the top level, allow pharmaceutical  
7 companies to determine how they scale up or scale  
8 down as appropriate but get all the fundamental  
9 guiding principles in there.

10 Part 11, I would say it is around  
11 determining what is critical in a system for data  
12 integrity, not all data, allowing that  
13 determination of criticality in the process.

14 DR. HUSSAIN: Would you be comfortable  
15 recommending that GAMP would be adopted by FDA?

16 DR. WINGATE: Well, I have a vested  
17 interest--

18 DR. HUSSAIN: That is the reason I am  
19 putting you on the spot.

20 [Laughter]

21 DR. WINGATE: Sure. Yes, we participated  
22 as both GlaxoSmithKline and Glaxo and, indeed  
23 before that as Wellcome, within GAMP-4 because we  
24 thought it represented a good industry baseline.  
25 For us and many other companies I think it has been



1 largely proven in practice to be effective, but it  
2 is averages. It is not the answer to everything.

3 DR. LAYLOFF: I guess the added  
4 record-keeping, it is not a problem that you are  
5 addressing except the data systems themselves.

6 DR. WINGATE: Right. When it comes down  
7 to inspection one of the problems we have is having  
8 a consistent expectation from individual  
9 inspectors, and that does vary a lot. It varies  
10 from one extreme to some inspectors saying, no, I  
11 don't want to touch the computer system; I don't  
12 want to go there. Just tell me about those  
13 computer systems, to others who go in, in depth  
14 perhaps when they feel there is due cause for an  
15 in-depth inspection and they are spending a lot of  
16 time on that rather than a broader portfolio of  
17 what we are looking for across a process.

18 So, it is getting consistency, and then  
19 there are different interpretations even by  
20 individual inspectors. It is not just FDA, this is  
21 all inspectors about what they would expect in  
22 terms of a solution. A lot of inspectors  
23 themselves are struggling with Part 11 as well. A  
24 lot of them are coming back to more the good  
25 practice expectations. Tell me about your

1 security; tell me about your record controls and  
2 how you demonstrate an audit trail, not necessarily  
3 saying show me your exact audit trail contents.

4 DR. MORRIS: Just one comment on your  
5 comment is that in addition to the general data  
6 trail concerns that you have raised, there is also  
7 this commercial aspect, the commercial vendors  
8 aspect. I think we are sort of missing that  
9 sometimes because it is quite a challenge,  
10 particularly for small vendors to know, even if  
11 they are willing to know, what to do and then for  
12 them to go back and find, you know, pieces of their  
13 code, even if they are sound code and validatable,  
14 in the strict sense of the word they don't have the  
15 trail to bring to the table to prove that they were  
16 compliant with Part 11. I think that is sort of an  
17 undiscovered country, if you will.

18 DR. WINGATE: True. Remember that many  
19 vendors are not just supporting the pharmaceutical  
20 industry--

21 DR. MORRIS: Absolutely.

22 DR. WINGATE: Pharmaceutical industry may  
23 be five percent less of their sales base. So, they  
24 are doing a good, robust product. It is proven in  
25 other industry areas. You know, what is the cost

1 to them? Will it feed straight back on the  
2 pharmaceutical manufacturers to create a special  
3 product so you are customizing a product for a  
4 smaller use base and does that introduce more risk  
5 to the process? You certainly have a less widely  
6 used system than of proven capability.

7 DR. LAYLOFF: More validation.

8 DR. WINGATE: More validation and, indeed,  
9 then you have the integration between different  
10 vendors with different standards, some with Part  
11 11, some without. It gets very complicated.

12 MR. FAMULARE: So, when you went forward  
13 to bring your facility into computer validation in  
14 Part 11 compliance, you had to get many customized  
15 products from vendors to put in place.

16 DR. WINGATE: Right, or we created, as it  
17 were, wrappers or customized modules to add on to  
18 commercial products. Right.

19 MR. FAMULARE: Did you feel it was  
20 warranted in every case based on the criticality of  
21 the process, or in certain instances it may have  
22 been and others not in terms of having that  
23 flexibility?

24 DR. WINGATE: I guess that is one of the  
25 biggest problems. It is not definitive when you

1 look at the system exactly which records you have  
2 to provide audit trails for. If you refer to  
3 predicate rules it says production records. What  
4 exactly is capture in that? You apply your  
5 interpretation of what you expect. It is a bit  
6 like an iceberg. You start defining your records  
7 but then you have all these inter-dependencies on  
8 data, supporting data, which are then used--

9 MR. FAMULARE: The data that supports the  
10 records.

11 DR. WINGATE: Right, and all the time you  
12 are trying to say, right, I need control over these  
13 key records. I want that anyway, but then it is  
14 the controlling of the records through the systems  
15 as they get compiled; as you apply electronic  
16 signatures to them.

17 MR. FAMULARE: And the problem or the  
18 question is, is it all data or is it critical data  
19 when you look at the predicate rule.

20 DR. WINGATE: Well, the predicate rules  
21 aren't all that helpful, I guess, in identifying  
22 what is critical data.

23 MR. FAMULARE: For example, for a batch  
24 record the critical steps in the operation, but  
25 that doesn't lend itself to helping you in terms of

1 designing a system.

2 DR. WINGATE: Right. We tried basically  
3 to map what we had in the paper world. You know,  
4 historically there had been an evaluation of the  
5 critical steps and processes and then they were  
6 mapped into the computer systems to say that is  
7 where we apply our controls.

8 MR. FAMULARE: So, if you had standard  
9 manufacturing in a PAT environment you would,  
10 hopefully, be able to identify critical steps where  
11 you would want to put your emphasis and then to be  
12 able to de-emphasize those steps which you think  
13 are not as critical.

14 DR. WINGATE: Right. You typically do two  
15 activities. You have sort of a process map--

16 MR. FAMULARE: Right.

17 DR. WINGATE: --of the critical steps in  
18 the process where you wanted to apply controls.  
19 Then you would also do a data analysis, a data flow  
20 analysis. So you have those critical points of  
21 data, but how were they created; where were they  
22 moved from and to; and what are the controls that  
23 you need to bring in on that dimension?

24 MR. FAMULARE: So, trying to map all that  
25 is where your problem lies.

1 DR. WINGATE: Right, right. We were  
2 talking about an MRP system and there is an awful  
3 lot of data in an MRP system, and one of the issues  
4 that we are facing right now as a consequence, we  
5 think we did a very robust job in identifying which  
6 were the critical process steps and the control of  
7 the data supporting those, but it is now the  
8 archiving.

9 The system is not that old. We have new  
10 replacement systems two or three years old, yet we  
11 already have a massive archiving issue just in  
12 volumes of data. Now, this is a higher level  
13 system so we are not getting into the very high  
14 volume in terms of data that you might get in a  
15 lower level PAT system, the real-time data  
16 acquisition systems. You could have a very, very  
17 high volume of data there. So, it is how much data  
18 are you going to apply controls to, and what is  
19 reasonable in that approach?

20 One of the things that has emerged through  
21 recent FDA guidance on record maintenance is  
22 reprocessing of data. You need a lot of data to be  
23 able to reprocess in exactly the same way as it was  
24 created. You can demonstrate a level of assurance  
25 with evidence showing critical steps, which is what

1 we did in the paper world through the batch record  
2 compilation where you would have supporting data or  
3 evidence to show, with a reasonable degree of  
4 assurance, that your data was accurate as you  
5 progressed. Possibly that is something else that  
6 needs to be thought about for the PAT type side of  
7 things, with reprocessing all the meta-data which  
8 was referred to, which is the computing  
9 environment, and then you have the hardware  
10 dependencies, software dependencies. That kind of  
11 thing really needs to be solved somehow to give  
12 industry a lead in, otherwise we are left with a  
13 very open-ended situation. In today's environment,  
14 you know, we can't afford to be out of compliance  
15 but also lose quality control over our products,  
16 and we need to find that agreement where the two  
17 shake hands, if you will.

18 DR. KIBBE: A quick question. In the  
19 absence of a regulatory body, how much of the data  
20 would you keep for your own use?

21 DR. WINGATE: Well, I guess we would be  
22 looking at key processes of what we would need the  
23 data for after the event. Perhaps an example there  
24 might be if we wanted to process a product recall,  
25 what data would we need to support a product

1 recall, to effectively ensure that we captured all  
2 the product back in the market? If we wanted to  
3 conduct a batch investigation, what would we need  
4 to make a reasonable determination of cause of the  
5 recall?

6           Now, there is still a balance there  
7 because you may have less data but then your  
8 definitive answer to what was the first batch  
9 affected, the last batch affected may be over a  
10 much wider generation because you can't pinpoint  
11 it. So, if you had more data you could possibly  
12 pinpoint it a bit more.

13           DR. KIBBE: So, basically you would be  
14 almost drawn into keeping the same amount of data  
15 whether there was someone watching you or not.

16           DR. WINGATE: Right. I mean, it is a  
17 critical business process, for instance recall.

18           DR. KIBBE: There is no way to say, okay,  
19 we are keeping this much data because there is a  
20 regulatory body but we wouldn't keep it--there is  
21 no way to balance. What I am looking for is, is  
22 there a way that you can come to terms with what  
23 you really need to operate your company well and  
24 then have the agency say, okay, that is enough for  
25 us?



1 DR. SHABUSHNIG: Can I maybe disagree with  
2 you there? I think there is a difference, and I  
3 think the difference is some of the intermediate  
4 levels of data that one might decide to keep. In  
5 other words, I think you need to keep the critical  
6 information you need to, and I agree with you  
7 entirely in terms of either supporting or recall,  
8 and you may also choose to keep a more richer data  
9 set for future data mining, for process improvement  
10 but, to me, those are more business-driven  
11 decisions rather than regulatory-driven decisions.  
12 And, there may be levels of intermediate data that  
13 you would choose to discard if there wasn't a  
14 regulatory requirement to keep them, allowing you  
15 to have the critical results that you need to  
16 support recalls, to support process improvement.  
17 But there are certainly some levels of information  
18 that I believe would be appropriate to discard. If  
19 you looked at a cost-benefit analysis, the cost of  
20 maintaining those is probably not warranted.

21 DR. KIBBE: Now to get myself into my  
22 typical trouble with everyone, if there is data  
23 that you don't want and the agency wants, why don't  
24 you just give it to them and just get rid of it and  
25 let them keep it?

1 [Laughter]

2 DR. LAYLOFF: I think you need outcome  
3 keep enough data to be able to pull off a kappa.  
4 If you don't have enough data you can't go anywhere  
5 with it.

6 DR. SHABUSHNIG: Correct, but I think you  
7 said something very important, and that is that  
8 when we are talking about risk assessment and  
9 risk-based determinations we should be looking at  
10 it from the standpoint of the patient. We should  
11 be looking at it from a patient perspective. I  
12 think there are several other kinds of risk that  
13 are on the table that at the moment are all being  
14 lumped together, and we are casting a pretty broad  
15 net around risk which, using that model, means that  
16 we are going to keep a lot of data and we are going  
17 to generate a lot of new data if we are not  
18 careful.

19 I think from the patient's perspective the  
20 risk is that we are going to add a lot of cost  
21 without a lot of true benefit to the patient.  
22 There may be some benefits in terms of the process,  
23 but not necessarily for the patient. If the  
24 patient had a choice of whether they paid for it or  
25 not, they may choose not to pay for it. So, I

1 think we have to be very cognizant of what we mean  
2 by risk, and I think we have to put patient risk at  
3 the top. There may be other risks that we need to  
4 consider but I think that one has to be at the top.

5 DR. MORRIS: Could I ask a question?

6 DR. LAYLOFF: Did you have a question,  
7 Bob? No? Okay.

8 DR. MORRIS: Actually it folds in a little  
9 bit with what Bob was talking about. You were  
10 talking about getting models, if you will, from R&D  
11 and, hopefully, the models you get from R&D have  
12 identified the critical control points, at the very  
13 least. Whether or not there is a lot of  
14 statistical treatment or not or get to the  
15 chemometrics, I don't know, and there are other  
16 people here better suited to speak to that than I  
17 am, but at the point where you are evolving your  
18 model, assuming that you have done your R&D well,  
19 not that that is a slam-dunk of course because it  
20 is not trivial to do, the PCCPs themselves  
21 shouldn't change. The values may change; the  
22 models will change; the chemometrics will evolve  
23 because you are working with such a small data set  
24 when you come out of R&D. If you are using  
25 training sets, by design you are not going to be

1 done. Is what you are saying that, having  
2 identified these critical control points, if I can  
3 identify the endpoint and control by the endpoint,  
4 do I need all the data that leads up to it? Or,  
5 are you saying that the PCCP type data should all  
6 be archived, and it is the data that is associated  
7 with the ancillary activities that shouldn't be?

8 DR. SHABUSHNIG: To be honest with you, a  
9 little bit of both. I do believe that you can  
10 generate a pathway focusing on the goal at the end.  
11 What is the critical information that you need to  
12 make a decision about lot quality and to release  
13 this lot? That is really the critical information  
14 that you have to have. There may be intermediate  
15 steps along the way where you don't need that  
16 information as long as you have a good linkage. In  
17 my mind, you can get to a point where we are  
18 talking about more or less reporting by exception,  
19 in other words, as you are going ahead and  
20 generating the data along the way, you are making  
21 sure that you are in conformance with your process  
22 as you have designed it, as it has been approved,  
23 as you expect it to run but not necessarily--when  
24 you are showing compliance along the way, you are  
25 working more on a pass/fail basis to make that

1 linkage to the final result as opposed to keeping  
2 all of the extensive quantitative data that you  
3 would need to generate along the way. So, that is  
4 really what I am thinking.

5 Now, to your point, I think there is also  
6 ancillary information that is out there as well and  
7 there may be an opportunity to scale that down, but  
8 I was really looking kind of at the primary change.

9 DR. LAYLOFF: Leon?

10 DR. LACHMAN: Yes, I was wondering if you  
11 could define in your rationale or strategy document  
12 those critical control points that are most  
13 important for product quality integrity, and  
14 address those fully, and the other ones less fully?  
15 Would you define that ahead of time as an approach?

16 DR. WINGATE: I would think so. To me,  
17 that is a good part of a good process--

18 DR. LACHMAN: That is right.

19 DR. WINGATE: I think that is something  
20 that we are or should be doing today.

21 DR. LACHMAN: Yes. So, I think that  
22 spells out really those elements that you need to  
23 have full documentation or full archival, and the  
24 other ones could be of less importance.

25 DR. WINGATE: Some of the other ones, for

1 instance, you may retain for a shorter period.

2 DR. LACHMAN: Yes, define that ahead of  
3 time. I think that should be workable.

4 DR. LAYLOFF: I think we will move on now.  
5 I think we have resolved all this.

6 [Laughter]

7 We have Deborah Thomas. She is coming.

8 MS. THOMAS: Hi, I am Deborah Thomas, and  
9 I am the director of quality and regulatory  
10 compliance for Air Products and Chemicals, Inc.,  
11 which is headquartered in Allentown, Pennsylvania.

12 I work for a medical gas company, which is  
13 a little different than the pharmaceutical area  
14 here. Our medical gases that we produce are  
15 compressed medical gases in the form of oxygen, for  
16 example, which is a prescription drug so it is the  
17 oxygen USP that goes to the hospitals. It is also  
18 the nitrogen NF which is a prescription drug,  
19 medical nitrogen which goes to the pharmaceutical  
20 industry life science and medical device areas.  
21 So, it is a little bit different.

22 On behalf of Air Products, I did want to  
23 say thank you to the agency for inviting us to  
24 certainly give our opinion and impressions of Part  
25 11 and how it has affected our business.

1           I do want to tell you that we were moving  
2 right along with the new technologies that are out  
3 there today with procedures, batch records, and  
4 doing a lot of different things with the electronic  
5 records. Then, on August 2 of 1999 one of our  
6 colleagues in the industry received a warning  
7 letter on Part 11 compliance. So, we kind of  
8 stopped and looked at the regulatory requirements  
9 and had great difficulty in understanding how we  
10 were going to get in compliance in a very short  
11 period of time.

12           What we decided to do was go back to paper  
13 records for our medical gas requirements. So, we  
14 are definitely electronic for electronic grade  
15 gases or industrial gases and even some of our food  
16 grade gases, but we have duplicate systems right  
17 now, and when I mentioned that to Ajaz, I think  
18 that is why he suggested or requested that I give a  
19 talk and kind of explain why.

20           I believe that the interest certainly in  
21 writing regulations to facilitate us moving forward  
22 in technology is a great thing. In fact, some of  
23 the regulatory requirements our IT folks used as a  
24 guideline to be able to create the systems that we  
25 have. The audit trails and all those requirements

1 we certainly applied, and think that that has made  
2 our system really robust. So, we do have an  
3 electronic system. Again, we use duplicate  
4 records, hard copy, for all aspects of our medical  
5 production.

6           What I did, and this will be pretty short  
7 I think, I came up with three specific sections  
8 just to show you the difference in interpretation  
9 that our IT folks had and our regulatory folks in  
10 interpreting this in our industry. Again, not  
11 being a pharmaceutical industry, it is a little bit  
12 different and when we produce our gases, by the  
13 way, it is contemporaneously done for electronic  
14 grade and medical grade.

15           I don't have an IT background. I want to  
16 mention that right away. I had a little trouble  
17 with some of the commentary that you folks were  
18 talking about, so I am not an IT person at all.  
19 So, if you have any questions, I will do my best  
20 but let me just go through my three examples here.

21           I think the key is, seriously, that we  
22 just really felt that we had to go to a paper  
23 system. This was one example, 21 CFR 11.70 where  
24 it talked about electronic signatures and  
25 handwritten signatures executed to electronic



1 records shall be linked to their respective  
2 electronic records to ensure the signatures cannot  
3 be excised, copied, or otherwise transferred to  
4 falsify an electronic record by ordinary means.

5 I won't tell you the varied  
6 interpretations that I had when I sat down with a  
7 group of certainly IT experts on what this truly  
8 meant. I think the compromise that everyone came  
9 up with is that unless you have a complex system to  
10 meet the requirements of linking these records,  
11 they really felt that we had to have a person that  
12 actually had to be at the location to compare the  
13 handwritten signature against the electronic. And,  
14 our industry is a little bit different. We have  
15 unmanned plants. So, when we produce medical  
16 gases, at one period of time there is no one there.  
17 So, again, that is a little bit different than the  
18 pharmaceutical industry but we do produce  
19 prescription drugs.

20 We also have remote locations where the  
21 agency has been gracious in allowing us to fax  
22 documentation back and forth, because even using  
23 hard copy records it is a little difficult to  
24 comply with cGMP requirements. So, for  
25 authorizations, when someone signs off on quality

1 control for accuracy, completeness and compliance  
2 to specifications, we have controls in place so  
3 that if it is an unmanned site or there is only one  
4 person there or potentially no one there except the  
5 driver picking up the product--I do want to tell  
6 you our industry is very safe, by the way, but if  
7 you are not familiar with it, it might concern you  
8 a little bit but there is no concern, I assure you.  
9 But we do have controls in place that allow faxing  
10 of the hard copy records to be able to do the  
11 appropriate and proper review. In this case, we do  
12 believe that if there are controls in place to  
13 prevent falsification of the electronic records we  
14 really don't necessarily need the electronic link  
15 here.

16 A second example is persons using  
17 electronic signatures shall prior to, or at the  
18 time of such use, certify to the agency that the  
19 electronic signatures in the system used on or  
20 after April 20th of 1997, are intended to be a  
21 legally binding equivalent of traditional  
22 handwritten signatures. Certification needs to be  
23 admitted to the agency with the traditional  
24 handwritten signatures.

25 This is not only difficult, it almost

1 sounds impossible in our industry to be able to  
2 keep up with this requirement due to changes in our  
3 personnel. We also think it is a little different  
4 in the medical gas industry. So, where you might  
5 have electronic signatures recorded at the home  
6 office for some people at the plants, because of  
7 the way we release our product, our drivers are  
8 very key in the quality control process.

9           So, they go to different facilities and,  
10 again, if you don't have a really robust computer  
11 system in different areas--I mean, our production  
12 records are excellent. It is really easy to deal  
13 with some of those but we also have automated  
14 filling zones where the automation and the controls  
15 in place are excellent and we don't have any issue  
16 with product integrity, or any issue with being  
17 concerned about any kind of non-complying or  
18 non-conforming medical product, but the difficulty  
19 is to be able to keep up with the drivers that are  
20 quality control folks that are trained in that, as  
21 well as some of the customers that come in and  
22 although we do the first signature, which we would  
23 like to be certainly electronic as well, it would  
24 be very difficult, if not impossible, to ask for us  
25 to comply with.

1           Lastly, in play are at least two distinct  
2   identification components, such as an  
3   identification code and password. This area was  
4   talking about in the event that you decided not to  
5   go with biometrics, which we tried, by the way. We  
6   tried a thumbprint machine to use a fingerprint  
7   which we thought was really great. Unfortunately,  
8   it failed our validation criteria and we thought  
9   that we could meet it in this case. But, in the  
10  event that the data in the computer cannot be  
11  modified by the users, we really felt that one  
12  distinct identifying component, such as an  
13  identification or password would be sufficient.

14           Our business is a little different and in  
15  the home office or even at a large facility, which  
16  in some cases we have, these three examples can be  
17  met in a very short, easy period of time and that  
18  would not be a problem. But these right now are  
19  the ones that are really difficult for us to meet  
20  so we are less accurate and, unfortunately, make  
21  more errors because we did go back to hard copy  
22  records.

23           Another suggestion that we had for some of  
24  these things would be to keep the signatures on  
25  file so that the agency would be able to audit that

1 at that location for the individuals that came  
2 there. But so many things would be difficult, if  
3 not impossible, and this is very difficult for our  
4 folks to comply with. Any questions?

5 DR. LAYLOFF: You say your system is more  
6 error prone and less efficient because of Part 11?

7 MS. THOMAS: Let me explain. I think that  
8 Part 11 guidelines have certainly helped our  
9 electronic system, and we do use the electronic  
10 records for other parts of our industry but not  
11 medical gases right now. But, because of the  
12 requirements and because we don't feel that we can  
13 comply--we really want to stay in regulatory  
14 compliance and I don't want to get a warning letter  
15 for Part 11, for violation of Part 11, but because  
16 we had to go back to a paper system, we believe  
17 that it is less accurate and much more  
18 subject--that is why people are going electronic,  
19 to be able to have less errors and build those  
20 controls in place. So, I guess the answer to your  
21 question is yes.

22 DR. LAYLOFF: Any more questions or  
23 comments?

24 MR. COOLEY: I was wondering if you could  
25 maybe explain in a little bit more detail how you

1 have gone to a paper system and have still  
2 controlled and complied to Part 11.

3 MS. THOMAS: Oh, we are not audited to  
4 Part 11 because we don't use electronic records for  
5 our medical production. We don't use electronic  
6 records as far as signatures go. I am sorry, I am  
7 thinking signatures versus records.

8 MR. COOLEY: How do you generate  
9 electronic records when you analyze the product?

10 MS. THOMAS: Actually, what we do is when  
11 we analyze the product we do have the electronic  
12 records, but on the critical purity things we have  
13 people handwrite things now. The only thing is the  
14 form.

15 MR. COOLEY: But your instrument that is  
16 making the measurements still generates an  
17 electronic record?

18 MS. THOMAS: Right, and we don't have a  
19 problem with that, but it is the electronic  
20 signatures and also the electronic records  
21 associated with data input and review that we have  
22 gone away from. So, when they see our records,  
23 they consider those hard copy and manual. I  
24 understand what you are saying as far as the  
25 systems go, but when people come to our facilities,

1 the inspectors, they don't audit us to electronic  
2 records when they see our hard copy system.

3 MR. COOLEY: But the analyzer itself, then  
4 you are saying that you do comply with Part 11?

5 MS. THOMAS: Yes, we do. But when we do  
6 calibrations and things like that, it is all  
7 handwritten. It doesn't have to be; it is all  
8 electronically controlled. We could just push a  
9 button and we would be really in good shape and be  
10 on our way but we have to transcribe things which  
11 can lead to transcription errors, you know, those  
12 types of things which we had really gotten away  
13 from up until 1997.

14 MR. FAMULARE: Actually, I think you are  
15 going to a more basic requirement, and I think that  
16 is what you were going to in bringing up the  
17 question. If the record is generated  
18 electronically, the interpretation then is, well,  
19 then the record is electronic and, therefore, Part  
20 11 applies. I think that is where you were going  
21 with that question.

22 It seems that you may already have that  
23 but by creating the paper record, that is what you  
24 are showing during inspections so the issue hasn't  
25 come up for you.

1 MS. THOMAS: That is right.

2 DR. LAYLOFF: Wait a minute, Joe. All our  
3 instruments in the laboratory, you plug them into  
4 the wall--

5 MR. FAMULARE: Again, it is one of the  
6 interpretations of Part 11 that, again, is in the  
7 basic discussion and the difficulty that we have  
8 been dealing with. In terms of your filing the  
9 signature with the agency, that was meant to be a  
10 one-time thing for the whole company as opposed to  
11 trying to have the signature for every employee.  
12 So, I think that is one easily soluble that you  
13 wouldn't have to be concerned with. Once you  
14 register the facility, not the facility but every  
15 facility in your corporate entity, at least that  
16 would meet that requirement of Part 11.

17 MS. THOMAS: You don't have to keep it up  
18 to date? The reason we are wondering is if we  
19 could do it and really get the most efficiency out  
20 of it, it would be all of the signatures of the  
21 individuals--

22 MR. FAMULARE: The idea of the declaration  
23 would be to have all of those signatures equal to a  
24 handwritten signature and just have one  
25 representative of the company sign it. We can



1 discuss that. That is at least one easy one that  
2 we could solve for you.

3 MS. THOMAS: That is good.

4 DR. LAYLOFF: Don't forget to tell the  
5 investigator though.

6 MR. FAMULARE: That is right, but as a  
7 whole, the agency did put out a compliance policy  
8 guidance around that same time that that warning  
9 letter was issued where, really, the enforcement  
10 would have to be basically on an important risk  
11 base type of approach, at least in terms of how the  
12 company is going towards compliance, and so forth.  
13 Of course, there has been a lot of evolution since  
14 then and a lot of discussion. Of course, one of  
15 the goals now of the reformed work group is to try  
16 and bring the principles of the GMP of the 21st  
17 century risk-based criteria control points, etc. to  
18 Part 11. So, that is the challenge we are looking  
19 at now.

20 MS. THOMAS: That is great. Again, a lot  
21 of the concerns that we had in '97, when I met to  
22 go over some of the things within the last couple  
23 of weeks, we certainly have moved ahead quite a  
24 bit. So, I think we have most of the other  
25 controls in place, which is great. Our folks

1 really said that years ago we didn't have the  
2 technology we have now, so it is easier to comply  
3 but we are not there yet.

4 MR. FAMULARE: I think Glaxo and Dr.  
5 Wingate paved the way by ordering all that  
6 equipment in '97 when the rule was just passed and  
7 all of the vendors weren't up to speed.

8 MS. THOMAS: Right, that was another  
9 difficulty, the vendors didn't have the offerings  
10 that we were looking for with the controls in  
11 place.

12 DR. RUDD: A very quick question. I  
13 confess to being less interested in electronic  
14 signatures and more interested in product quality.  
15 Could I ask do you manufacture on a batch-wise  
16 basis in your company?

17 MS. THOMAS: Yes, we do.

18 DR. RUDD: So it is not continuous?

19 MS. THOMAS: Oh, I am sorry, it is  
20 continuous but we do batch our product. It is a  
21 continuous process with product going into our  
22 storage tanks.

23 DR. RUDD: So, in terms of sanctioning  
24 product quality, how do you do that? You mentioned  
25 handwritten purity data and that kind of thing, but

1 is there individual data relating to individual  
2 batches?

3 MS. THOMAS: Yes, our product is monitored  
4 all the time so in the batch we have on-line  
5 monitoring except when we switch to test tankers or  
6 containers, if you will, product containers. But  
7 what we do is we test the containers and do a  
8 pre-fill and also post-fill and we record all of  
9 that information, as well as product stream going  
10 into the storage tank.

11 DR. RUDD: Good. Thanks.

12 MS. THOMAS: Thank you.

13 DR. LAYLOFF: Thank you very much,  
14 Deborah. Now we go to John Murray.

15 MR. MURRAY: Good morning, everybody. I  
16 am John Murray. I work for the Center for Devices.  
17 I work for the director in the Office of Compliance  
18 in the Center for Devices. I am responsible for  
19 software policy, software validation, just about  
20 anything related to software, and I am also the  
21 CDRH rep to the Part 11 committee, and now I have  
22 known Joe for two months and I am sure that he  
23 loves me very much.

24 [Laughter]

25 I wish Joe would stop using the word

1 "reformed" Part 11 committee. We call it the  
2 reformulated committee. We don't want to be  
3 reformed; we want to be reformulated.

4 I do have a couple of things to say about  
5 Part 11. Actually, I am here today to kind of  
6 explain what the CDRH software regulatory model is  
7 in the simplest terms I can, in the hopes that you  
8 can use some of the information in your new 21st  
9 century GMP effort.

10 The number one problem I find with Part 11  
11 is what we call Part 11 denial. People are buying  
12 systems and blindly trusting their vendors. I  
13 think you should apply the same scrutiny to your  
14 vendors that you apply to your own staff. If  
15 somebody wants to sell you a product that they say  
16 is compliant, they should be able to prove it.  
17 They should provide some documentation. I find a  
18 lot of vendors out there are using a little scare  
19 tactic because they know that you are on the hook  
20 to meet the regulatory requirement and they are  
21 selling product and forcing a lot of product into  
22 the market that really isn't Part 11 compliant.

23 Then we have an inspection and you get a  
24 citation for a Part 11 violation, and then you go  
25 back and try to look at your documentation and you

1 find out that your vendor really didn't provide it,  
2 or whatever. So, that is a problem. It is a Part  
3 11 denial problem. You have systems that you don't  
4 do anything about.

5 As far as the CDRH policy related to Part  
6 11, it is definitely a risk-based policy. It is in  
7 accordance with our compliance policy guide. We  
8 have had several companies, and I am not even sure  
9 of the number, in the last year that have been  
10 written up or gotten a citation on a 43 for Part 11  
11 violations. That comes into the Center. We review  
12 that and we look at the application and make a  
13 decision about the risk that is involved.

14 In every Part 11 case that I know of,  
15 except for one, we have written a little reminder  
16 that goes in the warning letter, and I have been  
17 told by the regulatory experts, the non-threatening  
18 part of the warning letter. I am not sure what  
19 part that is--

20 [Laughter]

21 --it goes in the back, and it is a  
22 reminder that Part 11 does exist. It is a law.  
23 You should be working on it, and it is a  
24 requirement but currently no regulatory action is  
25 forthcoming and the risk is not apparent, or high

1 risk.

2           There was one case this summer. A company  
3 submitted a PMA and they went and did a PMA  
4 inspection, and during the inspection they found  
5 out that some of the data that was sent from one  
6 state to another state, to their statistician, when  
7 the data came back it was different. That raised  
8 our antenna, our risk antenna went way up in the  
9 air. This was clinical trial data and the issue  
10 was, well, how do you prove that your data is valid  
11 because we have some evidence that says your data  
12 is not valid so what about your Part 11 controls?  
13 They didn't have any controls. So, we had a high  
14 risk scenario, a violation of Part 11, a violation  
15 of the predicate rule.

16           The recommendation was made and accepted  
17 that we withhold the approval of this PMA, which we  
18 did. The company came in and we had a meeting.  
19 Fortunately for this company, they had actually  
20 collected all their clinical trial data on paper  
21 originally, and they had taken it to their  
22 corporate office and entered it into the computer  
23 for analysis. So, they were able to go back to  
24 their paper copy and extract the data back out.

25           The other thing in this Part 11 denial

1 issue is that I see companies print out electronic  
2 data and then putting it in the FDA trophy case and  
3 saying, well, this is our FDA document. But when  
4 you go in and talk to them you find out that they  
5 are not actually using the paper documents to make  
6 regulatory decisions; they are using the electronic  
7 data which is in the database. They are pulling it  
8 for FDA inspection but when it actually comes time  
9 to make decisions related to Part 11, they use the  
10 electronic data. That is a prototypical example of  
11 the problem that we are trying to address by no  
12 paper representation of electronic records, which  
13 is the current interpretation of the rule.

14           Actually, I could go on forever about Part  
15 11 but I am here today to talk about the CDRH  
16 software regulatory model. I have found out that  
17 if I jump right into the regulatory requirements,  
18 the guidance documents and things like that, they  
19 will immediately begin to argue. They argue over  
20 definition of terms, meaning of phrases, what this  
21 means and all that kind of stuff. That is a huge  
22 problem in Part 11, in software validation and most  
23 of the computer validation regulations.

24           So, I always like to go back to what I  
25 think is very fundamental to this issue, and that

1 is that the quality of public health is highly  
2 dependent upon the quality of the medical software.  
3 We have medical software in drug manufacturing  
4 facilities, PAT systems, medical devices, clinical  
5 information systems, hospital information systems,  
6 everywhere you look there is some software involved  
7 in the decision-making process related to public  
8 health.

9           So, my axiom is that public health is  
10 dependent upon the quality of the software and I  
11 think we can all agree upon that. The next  
12 question is, well, how do we measure that quality?  
13 I have invented what I call the YB scale, where one  
14 end is Yugo and the other end is BMW, and the  
15 quality ranges back and forth and everybody has a  
16 different interpretation of what that quality is or  
17 what quality you need. The quality you need is  
18 dependent upon the application and what is at risk  
19 here. This falls right into the whole risk  
20 approach for GMPs, which CDRH has been exercising  
21 for at least five years that I know of.

22           So, you have to think in terms of on this  
23 quality scale from Y to B, you go to BMW, you look  
24 at a Microsoft product, where do you place it?  
25 Does anybody want to guess? But you all have an



1 opinion. Maybe we should focus more clearly on the  
2 quality of our software.

3           One of the fundamental problems is that  
4 traditional training of software engineering  
5 originated in the math department of most  
6 universities as opposed to an engineering  
7 department. So, for many years, fifteen or twenty  
8 years, we taught computer science in a math  
9 department. They have a different approach to  
10 problem solving than we have as engineers or as  
11 regulators. That is the first issue.

12           The second issue is that most textbooks  
13 that I have read, and the number one selling  
14 software engineering textbook of all time, written  
15 by Dr. Roger Pressman, has a section on software  
16 risk management. The dimensions of risk in this  
17 textbook are schedule and cost, not safety, not  
18 effectiveness. Those are not in that risk model.  
19 So, traditional training of software engineers  
20 comes from this genre. So, when they enter the  
21 regulated environment they come with a different  
22 set of tools than they actually need to operate so  
23 that is a problem we need to solve.

24           Software is different. I personally  
25 believe software is different and I have some

1 examples here. Software doesn't wear out. If you  
2 put a hardware component in this system, eventually  
3 it wears out or it breaks. Software does not break  
4 after you install it. It is already broken when  
5 you install it.

6 [Laughter]

7 You just don't know it yet. There have  
8 been a lot of examples of this. Of course, we  
9 fully recognize that there is a huge benefit to  
10 computer software and that is what we are  
11 struggling with. We are struggling to get the  
12 correct balance here. There is a huge benefit if  
13 you get a good computer system, good validation.  
14 It can benefit many, many people's lives.

15 The problem is that when software fails it  
16 is catastrophic, generally catastrophic. There is  
17 no little failure of software. There was an event  
18 last summer in Philadelphia where six patients were  
19 overdosed due to a failure of a computer system and  
20 inappropriate dosing of drugs. There were ten  
21 people killed in Panama last summer by  
22 over-radiation using a software system that had  
23 been in place for fifteen years.

24 The problem is that when we design and  
25 develop a software system, it is designed for a

1 certain usage but as time goes on we all get  
2 smarter and we learn new things, and then we try to  
3 use our old tools in different ways and that gets  
4 us into trouble because software is designed and  
5 developed to work a certain way, and when you try  
6 to use it in a different way it creates problems  
7 for us. Software is not physics based. There is  
8 no physical boundary placed on software. For  
9 example, if I dig some carbon out of the ground, it  
10 has certain resistivity so when I have a certain  
11 volume I have a certain resistance. That is based  
12 on physicals. There are physical limitations for  
13 hardware. There are minimum or no physical  
14 limitations for software so we need to deal with  
15 that issue.

16 I think the number one thing that makes  
17 software different than hardware is that I used to  
18 design hardware systems and I had a prototype and I  
19 wanted to go build a prototype. I had to spend  
20 \$150,000, \$200,000, I would get to go to my boss  
21 and give him a voucher. We all know that any time  
22 you want to get your boss to sign to spend money,  
23 you have to prove that it is the right thing. So,  
24 traditionally in hardware engineering we would just  
25 have to show him that the design is going to work,

1 everything is going to work, it is going to be  
2 fine. But in software we don't operate that way.  
3 We have these systems and people are hacking away  
4 and chipping away all the time. They don't  
5 traditionally go through this well-defined, step by  
6 step engineering process.

7           The next two slides of my presentation are  
8 graphs. One is for software recalls from '92 to  
9 '98. Basically, it shows an ever-increasing list  
10 of software recalls. From about 3200 medical  
11 device recalls, 10 percent were related to  
12 software. The most interesting fact of that is  
13 that of those 320 software recalls, 90 percent of  
14 those recalls were on software that was a version  
15 beyond the originally approved version by the FDA.  
16 So, if the FDA approved version 1.0 via PMA and at  
17 some point later, probably the next day, you need  
18 to upgrade your software--most of the recalls occur  
19 on after market versions of software.

20           I have had many discussions about what  
21 that means. Does that mean that we really do a  
22 good job, a regulatory job when we do initial  
23 submissions but we make changes as we go along and  
24 relax ourselves? That is a really good question.  
25 I think we all agree that software is important. I

1 also believe that Congress believes software is  
2 important. The proof that I put forward is the  
3 fact that in our regulations there are very  
4 specific citing about software.

5           Number one, under design controls there  
6 are three medical classes, Class I, II and III, III  
7 being the highest risk. Design controls are  
8 required for Class II and Class III devices but  
9 they are not required for Class I devices unless  
10 those devices contain software.

11           So, the Congress of the United States  
12 decided that design controls will be required for  
13 all medical devices that contain software. So,  
14 that is number one.

15           Number two is that under the design  
16 control provisions there is a section on design  
17 validation, device validation. It specifically  
18 calls out the requirement to validate the software  
19 in a medical device. It doesn't specifically call  
20 out the requirement to validate the medical  
21 processors, the hydraulics, the electroshock  
22 therapy, but it calls out that the software has to  
23 be validated. So, that is another place where  
24 software is specifically cited in the regulation.

25           In the third instance, under the section

1 for manufacturing and quality systems controls,  
2 there is a requirement that all manufacturing  
3 processes or quality system processes that are  
4 automated by computer are required to be validated.  
5 That software must be validated.

6           So, that is three specific places where  
7 the device law culls out software as being special.  
8 One is that design controls apply all the time if  
9 you have software. Two, you have to validate your  
10 medical device software. Three, you have to  
11 validate your manufacturing or quality systems  
12 software.

13           The medical device law is pretty  
14 simplistic. It basically requires that all medical  
15 devices be reasonably safe and effective. From  
16 that, I construe that that means that the software  
17 contained in those devices must be reasonably safe  
18 and effective.

19           The problem is relatively safe and  
20 effective changes with each application and with  
21 each device. A relatively safe and effective  
22 digital thermometer is different than a relatively  
23 safe and effective implanted pacemaker. So, we  
24 have to have flexible rules and flexible logic here  
25 when we apply these regulations. One size does not

1 fit all. But I do believe that the model, which I  
2 hope to present to you eventually, will address  
3 that issue.

4 I wish I could invent what I call a safety  
5 and effectiveness meter. The FCC has a big room  
6 when they want to test electromagnetic  
7 interference. They will put a device in a room,  
8 they close it all up and they measure it. We don't  
9 have such a device. So, we need to go about the  
10 business of defining what we consider to be safe  
11 and effective software.

12 This is what we call the CDRH software  
13 message. It is not written in the regulations but  
14 people often ask us, "what do you mean? What do  
15 you want us to do?" We believe that to make safety  
16 and effective medical device software requires  
17 three components used in appropriate measures in  
18 the appropriate way.

19 The first one is that appropriate software  
20 engineering must be applied to the problem. Number  
21 two is appropriate risk management must be applied  
22 to the problem. Number three is that appropriate  
23 quality system measures must be applied to the  
24 problem. This is very similar to the slide that  
25 you showed up there. Standards and guidances and

1 regulations are written to be applied by properly  
2 trained professionals, whether they be regulatory  
3 affairs professionals, chemical engineers,  
4 electrical engineers, whatever. The idea is that  
5 you use the professional training and knowledge to  
6 apply these three concepts in the appropriate way  
7 to your device and your design and your risk  
8 management, and together to come up with a design  
9 that is relatively safe and effective, or  
10 reasonably safe and effective. Does that make  
11 sense to everybody?

12 I think people spend way too much time  
13 getting wound around specific words in the  
14 regulation or the guidance. The guidance is an  
15 attempt to explain what I try to explain when I  
16 talk to folks. You need to apply your best  
17 engineering judgment. You need to have the  
18 documentation to show that you did so. That is  
19 where people get into trouble. They do a lot of  
20 the work but they are not very good at taking  
21 credit for it. I like to compare lawyers and  
22 engineers in this case. I think engineers spend  
23 about 95 or 96 percent of our time working really,  
24 really hard and only three or four percent of our  
25 time taking credit for it. That may be the exact



1 opposite from lawyers.

2 [Laughter]

3 We have several guidance documents on our  
4 web site. The first one is a general principles of  
5 software validation, which was published in  
6 January, 2002. I take great note and pride that a  
7 lot of the material in the GAMP manual is the same  
8 as in the general principles of software  
9 validation. I think collectively the two groups  
10 together went back and forth over the last five  
11 years and came to this conclusion.

12 I think both groups also believe that  
13 software engineering is software engineering is  
14 software engineering. Whether you are making a  
15 medical device, a manufacturing system, a PAT  
16 system the same general principles apply. We went  
17 back to existing standards, IEEE standards, NIRCC  
18 standards, Department of Defense standards and  
19 extracted from those what we thought applied to our  
20 problem. What we discovered is all of the basic  
21 stuff was there but some specific things were  
22 missing. In the IEEE standards they don't address  
23 risk. That is not an element in there. So we  
24 added that to our guidance documents. They don't  
25 address quality systems. They think quality

1 systems are a separate entity, a separate thing,  
2 and that is oxymoronic in my mind. How can you  
3 have good software engineering without a quality  
4 system?

5 We also have a guidance out on what is  
6 required to be submitted in a premarket submission  
7 for a 510(k) to a PMA. We also have a document on  
8 the use of off-the-shelf software in a regulated  
9 environment. Much to my surprise, this is like one  
10 of the only documents in the whole world that  
11 existed because now the people from DOD are coming  
12 to us, well, can we read your document? Sure.  
13 Everybody is looking at this as a method. Really,  
14 the off-the-shelf software use guide is really a  
15 risk management model. It tells you what to do if  
16 you are going to use the stuff for a low risk  
17 application or high risk application. It gives you  
18 sort of a risk management model.

19 We have been at this for a long time. I  
20 guess the first document CDRH published was in  
21 1991. One of the slides in this documentation is a  
22 calendar that a consultant in our working groups  
23 has put together of all the events that have  
24 occurred in CDRH software over the last 12 or 13  
25 years.

1           We recently were able to work st AAMI and  
2 get the publication of our American National  
3 Standard on Medical Device Software Life Cycles,  
4 AAMI SW 68. AAMI SW68 I believe is consistent with  
5 GAMP, consistent with the general principles  
6 software validation. We are not all departing  
7 anymore. I think we are all converging to the same  
8 place and I think that is a good thing.

9           Now that that is a U.S. national standard  
10 there has been an international working group set  
11 up, joint working group number three, which is  
12 going to take SW 68 and make it an international  
13 standard because the idea is that we want to have  
14 one software standard worldwide. That standard  
15 addresses a lot of issues I talked about here  
16 today.

17           In addition to that, there are some very  
18 specific areas where the questions come up all the  
19 time. One is software hazard management. What  
20 does that mean? How do I deal with risk management  
21 related to software? We formed a working group at  
22 AAMI, and they are currently writing a TIR,  
23 technical information report, to report and gather  
24 information related to software hazard management.  
25 It should be very informative and very interesting,

1 and very helpful in trying to address some of the  
2 inconsistencies from inspectors, reviewers, and all  
3 that kind of stuff in industry.

4           We have another TIR being written on the  
5 validation of high risk software, and a third TIR  
6 is being written, it is just getting off the  
7 ground, on the validation of production software  
8 and quality systems software, which I think is  
9 going to be it because I think there is a distinct  
10 difference because I think the risk model is  
11 different for product software than it is for  
12 medical device software for a couple of reasons.  
13 One is a medical device you are going to give to a  
14 patient or someone who has much less training than  
15 a trained person who is running a system in  
16 manufacturing under quality system control, and all  
17 that kind of stuff. So, the risk is different and  
18 that needs to be incorporated in that.

19           So, we are working on a lot of documents.  
20 The next effort, that just got started on September  
21 1, is a training program. I have been trying to  
22 push for this for quite a while. We need one  
23 training program to teach all the compliance  
24 officers in CDRH. We will also make this available  
25 to all the companies out there so we teach everyone

1 the same thing all the time. There are no secrets  
2 here. Software safety is not a trade secret. That  
3 is very, very important.

4 So we are trying to initiate a software  
5 training program. We are working on the first  
6 module right now, and the first module is going to  
7 be a two-hour module and the title of it is the  
8 top ten things every compliance officer should know  
9 about software. We are trying to jam all of that  
10 in one package but that is becoming more difficult  
11 every day.

12 Once we get that done, then we can get  
13 into more details. Somebody talked about writing  
14 down the fundamentals and misunderstanding the  
15 wording. That is what our goal is. I think that  
16 is all I have. I will take any questions you have.

17 DR. LAYLOFF: Thank you, John. Are there  
18 any questions for John?

19 DR. HUSSAIN: John, actually at the very  
20 first meeting of the PAT it was mentioned that  
21 METLAB and other software very useful for  
22 chemometrics could not be validated. When I went  
23 to the CDRH workshop on software validation, I  
24 didn't see anything that stopped METLAB or any  
25 other software to be validated. Any thoughts on

1 that?

2 MR. MURRAY: There is no policy that  
3 prohibits the use of any off-the-shelf software,  
4 none. The question here would be you have to be  
5 able to clearly identify the risk related to using  
6 METLAB. If you use METLAB to calculate critical  
7 arterial pressure or diameter, then that  
8 immediately goes into the physician's surgical  
9 instrument and that is what happens. There is a  
10 huge risk there. It would not be acceptable to  
11 just say, well, I can't validate METLAB. You have  
12 to figure out some way to address that risk in an  
13 appropriate way, risk control, risk measure,  
14 whatever.

15 On the other hand, if you are using METLAB  
16 to do statistical analysis of some kind without a  
17 significant risk impact, that would be different.  
18 So, it is all about the risk.

19 DR. HUSSAIN: Exactly, and if you are  
20 developing a chemometric model, say, in R&D, and so  
21 forth, essentially the end-product is that that  
22 model then gets used in certain different ways.  
23 So, from that perspective, I mean there is nothing  
24 that hinders that process today but the perception  
25 out there, or at least what we heard at the first

1 meeting was that that is a problem. I wonder  
2 whether anybody from the panel could share some  
3 light on that.

4 MR. COOLEY: I have one comment on that,  
5 Ajaz. Within our company our regulatory groups,  
6 not necessarily with METLAB but with other common  
7 software, like Excel for example, they are  
8 requiring that if you use a spreadsheet to do any  
9 kind of calculation, then you have to validate the  
10 spreadsheet. But we are not going back and trying  
11 to validate the actual software itself.

12 MR. MURRAY: That is a good question and  
13 that is addressed in the general principles of  
14 software validation. You are only required to  
15 validate your software for its intended use. You  
16 get to define the intended use but you need to  
17 write down what that intended use is. The whole  
18 idea is that you have to define what the intended  
19 use is and validate that the software actually does  
20 that. For example, a company that makes collagen,  
21 a bone replacement material, in their process when  
22 the material comes out of the oven, it used to get  
23 inspected by inspection under a microscope. The  
24 concept there was that they had to verify that the  
25 triple helix configuration was maintained,

1 otherwise the product was no good.

2           So, they wanted to computerize this, to  
3 put in a computer, a microscope and all that stuff,  
4 and they sent in this 400-page validation. They  
5 validated everything in this microscope, and I  
6 said, "what's the intended use?" They were, like,  
7 "what do you mean?" They had validated every  
8 function of this system but they failed to validate  
9 the intended use. Why did you buy this thing?  
10 What are you doing with it? I think that is very  
11 important. You need to write down what the  
12 intended use is.

13           DR. LAYLOFF: Thank you very much, John.  
14 We will get a copy of your slides. Kimberly will  
15 make them and we will have them available here. It  
16 is time for a break now. We will reconvene in 15  
17 minutes. So, it is 10:33--10:48.

18           [Brief recess]

19           DR. LAYLOFF: Before we start our  
20 discussion, Eva came in late and did not introduce  
21 herself. Eva, will you please introduce yourself?

22           DR. SEVICK-MURACA: I am Eva Sevick, from  
23 Texas A&M Department of Chemistry and Chemical  
24 Engineering.

25           DR. LAYLOFF: All right. I guess we could



1 move on with our discussion, computer system  
2 validation Part 11 issues pertinent to PAT,  
3 subcommittee discussion. We will start with Judy.  
4 What are your thoughts?

5 [Laughter]

6 DR. BOEHLERT: I have to think for a  
7 minute and see if I have any. I think I want to  
8 hear some of the discussion that is going to occur  
9 later but, clearly, I think there have been a  
10 number of important issues raised here. Will Part  
11 11 be a deterrent to PAT, any more so than it  
12 already is a deterrent to any other part of  
13 manufacturing systems? It is there; it is a  
14 requirement. It is going to have an impact.

15 I think there are several issues that were  
16 clearly identified this morning that we need to  
17 focus on, that is identification of critical  
18 control points, and making sure that we implement  
19 requirements where they are really important, to  
20 the extent we can, identify those points where  
21 clearly the requirements may not be necessary, and  
22 that is going to be a challenge because you can't  
23 always predict in advance what is going to be  
24 important and what is not. You need to have  
25 sufficient data, as was pointed out this morning,

1 to conduct good manufacturing investigations when  
2 something goes wrong and you learn through those  
3 experiences.

4           You don't always anticipate up front what  
5 data you are going to need. I have looked at a lot  
6 of investigations over my career and been amazed  
7 sometimes with where the fault really was. You  
8 didn't anticipate it but you learn from those  
9 experiences. So, I think the identification of  
10 critical control points, and focusing the impact of  
11 those requirements on those points is going to be  
12 important.

13           DR. LAYLOFF: Thank you. Any comments?  
14 Questions?

15           DR. LANGE: Yes, I have a question  
16 regarding the electronics presentation and Joe's  
17 comments about electronic signatures. As I  
18 understand it, electronic signatures have to be  
19 equivalent to current handwritten signature and the  
20 way we handle those is we have a log of each  
21 person's significant and initials and how they are  
22 supposed to appear, but Joe had mentioned a  
23 company-wide electronic signature, kind of an  
24 umbrella type of thing. In that case it wouldn't  
25 be equivalent because once a person leaves a

1 company his signature, at least his handwritten  
2 signature disappears. Otherwise, if that were  
3 still around it could be used somehow. Someone  
4 else in the company could use it to falsify data,  
5 etc. So, I just wanted a little expansion on that.

6 MR. FAMULARE: I was just referring to  
7 Debbie's middle slide about registering with the  
8 agency the fact that your company is even going to  
9 use electronic signatures. It is in the preamble  
10 to the regulation. Basically, that is just a way  
11 of having the company as a whole, or all its  
12 facilities, send in a notification to FDA that they  
13 will use electronic signatures as a full equivalent  
14 of their handwritten signatures. It is by no means  
15 any sort of a record equating every signature of  
16 every person in the whole company to whatever  
17 identifications you are using. I just looked at  
18 that and I said I think that was somewhat of a  
19 misinterpretation of that requirement and that that  
20 was an easy one to solve. It is just one statement  
21 for the company, "we're using electronic records,"  
22 and you send it actually to our Division of Field  
23 Investigations of ORA. That is where I was going.

24 DR. LANGE: But your company would still  
25 have a record of individual electronic signatures

1 the way we do with handwritten signatures. Right?

2 MR. FAMULARE: That is right. You would  
3 have to have the proper user name, password or  
4 whatever other controls. Some examples are given  
5 in Part 11 to identify that individual in the  
6 company.

7 DR. LAYLOFF: Dr. Kibbe, we haven't heard  
8 much from you today.

9 DR. KIBBE: I already decided that we  
10 should send all the data to the FDA.

11 [Laughter]

12 I don't see where I could do much more  
13 damage!

14 DR. LAYLOFF: Mel?

15 DR. KOCH: I guess the comment that I  
16 would make is that the way I see it the problem  
17 isn't going to get any easier. The amount of data  
18 that is being generated with some of the new  
19 technologies is only going to increase what is  
20 coming at us. Even today's nominally acceptable  
21 chemometric approaches aren't going to be able to  
22 handle the massive amounts of data. There are a  
23 lot of demands in the development stage of getting  
24 more and more data from which to make decisions on  
25 the next experiment, etc. But the use of data

1 mining and genetic algorithms is something that is  
2 going to be improving in order to keep up time-wise  
3 but that is going to present still additional  
4 problems.

5           So, the topic is very appropriate but I  
6 think the sooner one gets down to finding methods  
7 to look at the data on which the decision was made  
8 or the critical points that we have been talking  
9 about, the quicker one can gear into that, I think  
10 the easier it is going to be to handle the  
11 increasing amount of data that is coming at us.

12           DR. MORRIS: Actually, part of what I was  
13 going to say is a little bit of a combination of  
14 what Judy and Mel said. Spending more time in  
15 development early on is going to be a critical part  
16 of this, and I am not sure that there isn't a  
17 significant energy barrier to that that has to be  
18 addressed somehow, maybe not by formal committee  
19 but maybe internally by companies. But along with  
20 the identification of the points sort of implicit  
21 is that you have identified the right eyeball to  
22 monitor the point. We have heard Steve and others  
23 talk about new types of sensors that are available  
24 which are more appropriate for monitoring different  
25 aspects of the processes. So, in addition to

1 generating more data during development, it means  
2 that we are going to have even different kinds of  
3 data to deal with. It may not just be  
4 spectroscopic data; it may be sonic and it may be  
5 thermal data. So, it is not just a question of the  
6 raw amount of data, but it is what are the  
7 appropriate data to collect as you change from  
8 technique to technique in addition to the magnitude  
9 of the data collection.

10 DR. HAMMOND: I would like to comment on  
11 that. In fact, it is interesting to hear people  
12 debating about how much data we should collect or  
13 what type of data. If we look at control over a  
14 blender and a tablet press in one plant that we are  
15 putting together now, every day is going to  
16 generate 20 megabytes of raw data. If you look at  
17 the peripheral data of tracking and things around  
18 that, it is probably less than five percent of that  
19 value. So, if you are going to keep the raw data,  
20 the rest of it just becomes not worth talking  
21 about; you might as well do it anyway.

22 DR. HUSSAIN: Just sort of a general  
23 statement to that effect that I tried to make in my  
24 presentation was that decisions often are not based  
25 on data; decisions are based on information. So,

1 essentially the raw data is processed into some  
2 information and that is where the decision-making  
3 point is. So, in terms of what is retained and  
4 what needs to be archived, I think from that  
5 perspective the manipulations that lead to the  
6 information content of that are probably what  
7 should be critical.

8 DR. DEAN: I would like to come back to a  
9 point that Bob Chisholm made early on in the day  
10 about execution systems when he was talking about  
11 the three-level model. I think that manufacturing  
12 executing systems will become the critical software  
13 in terms of how we apply process analytical  
14 technologies. Some of the original work that was  
15 done using these systems to assess the mix of  
16 resources that go into a processing step before the  
17 process actually runs and based on historical and  
18 empirical knowledge and know before the process  
19 executes with we are going to get a good result or  
20 not. This becomes absolutely critical to making  
21 sure that we have got designed in and built in  
22 quality.

23 But where that takes us then is to systems  
24 that are complex to a degree that is even an order  
25 of magnitude or more than what we currently are

1 faced with and, therefore, the validation issues  
2 become even more critical and more complex as well.  
3 I think what we need to do is take a step back  
4 here. We are talking about incremental changes in  
5 the approach we are taking with validation, but I  
6 think we really need to look at something that is  
7 maybe a little bit different. I don't know what  
8 the answer is here but I am not sure that  
9 incremental approaches are going to be sufficient  
10 when we are looking at step changes in the way that  
11 we are approaching building quality in here.

12 DR. HUSSAIN: So, one question that I  
13 think I am facing is in terms of the general draft  
14 guidance that we are planning, what level of detail  
15 would be needed in that? Because in many ways,  
16 especially with software validation, the desire  
17 right now is to rely on existing guidances,  
18 especially the CDRH. When I look at that from an  
19 engineering perspective, I found those extremely  
20 logical and they fit quite well in my way of  
21 thinking. So, instead of the draft guidance sort  
22 of defining of this, we simply refer to that and  
23 there are some Part 11 issues that I think we will  
24 have to address or at least clarify to sort of  
25 alleviate some of the fear that is out there.



1           MR. COOLEY: I would encourage that  
2 approach because those are standards where there  
3 has been a lot of input from many people, many  
4 organizations. As far as the Part 11 issue, their  
5 interpretation is if you generate an electronic  
6 record you have to maintain that electronic record  
7 for whatever number of years. That gets into the  
8 situation like Steve brought up of 20 megabytes of  
9 data. If you are going to go to the expense of  
10 putting in a \$150,000 Raman instrument on a  
11 reactor, it is there, available. You may only need  
12 to see that at the very end of that reaction to  
13 determine that you have met your processing  
14 criteria and move it on. But if you have that  
15 investment and you can get data out of it, people  
16 are going to turn it on and use it during the whole  
17 reaction. So, from a business standpoint, if  
18 something abnormal occurs you would know about it.  
19 The interpretation from our regulatory people would  
20 be that as long as you are generating those  
21 electronic records, those need to be maintained  
22 even though those are not really being used in the  
23 final decision. I think maybe if we could build  
24 kind of an analogy between PAT and the laboratory,  
25 if you have an analyzer on-line monitoring a

1 reaction, you know, prior to that you took one  
2 sample, you submitted it to the lab and you set  
3 processing criteria that is obviously a very small  
4 set of data. Just because you put an analyzer  
5 on-line, are you now going to be required to  
6 maintain all those megabytes of data because you  
7 are monitoring through the whole reaction? So, we  
8 are improving our process but in a way we may avoid  
9 implementing those improvements because we are  
10 concerned about all the other overhead that comes  
11 along with that.

12 DR. MORRIS: Could I just ask a question?  
13 Could that fall under the category of if you are  
14 collecting through the whole process because you  
15 want to be able to real-time see it, would that not  
16 fall into the category of retaining it for a  
17 shorter period of time, much shorter period of time  
18 versus the information content that Ajaz is  
19 speaking about?

20 MR. COOLEY: I think the issue becomes how  
21 the interpretation is going to be within our  
22 internal organizations. Obviously, because of  
23 concern over consistency and how regulations are  
24 interpreted during inspection, we take a more  
25 conservative approach than probably the agency even

1 intends. But we do that to make sure that we don't  
2 get into an issue.

3 DR. SHABUSHNIG: But I think Ajaz' point  
4 is a good one, and that is if there is a clear  
5 statement, a clear position from the agency that  
6 the focus is on information content and not raw  
7 data, that, to me, is a very significant step  
8 forward. In particular, I think the concern that  
9 keeps being raised is the issue around filtering,  
10 and are you filtering out information and,  
11 therefore, we take a very cautious stand where you  
12 end up keeping all of the raw data. If there were  
13 some clear guidance that at least opened up that  
14 door that recognized that it is appropriate,  
15 focusing on information content, to discard some  
16 data or not maintain it over as long a period of  
17 time, then that opens up the door to I think some  
18 good science and some good rational justification  
19 to support those kinds of decisions. I think right  
20 now, I agree with you, it is not strictly the  
21 agency's position but I think we, as individual  
22 companies, are taking are taking a very  
23 conservative view to that and, therefore, holding  
24 much more data than really is appropriate,  
25 particularly with the focus on information content.

1 Yet, there isn't a clear guidance, not necessarily  
2 a prescriptive rule that says here is what you have  
3 to keep; here is what you should throw away but,  
4 rather, an approach to making that decision that  
5 meets the agency's needs and also meets the  
6 industry's needs in order to move forward, again,  
7 with good scientific underpinnings and with the  
8 focus on information. I really think that that is  
9 a key distinction to distinguish data from  
10 information.

11 DR. DEAN: Just following on from that,  
12 part of the issue here is that we can all be very  
13 reasonable and we can talk about scientific bases  
14 but when lawyers get involved it is a little bit  
15 different. We are talking about risk. So, if  
16 there is risk lawyers will be involved because  
17 there is never a hundred percent certainty on this  
18 stuff. So, someone has to make a decision at some  
19 point that there is a cut-off and above that the  
20 risk does not justify further intervention or  
21 further investigation, whatever. We all know here  
22 that as soon as you draw the line for a risk and  
23 think that it is not going to happen, well, it will  
24 eventually.

25 DR. SHABUSHNIG: In the end, yes.

1 DR. DEAN: So, I think there is a very  
2 slippery slope here and I am not sure what the  
3 answer is but we are going to have to address it.

4 DR. KIBBE: In the absence of a direct  
5 directive that is very specific from the agency, I  
6 think your internal lawyers will say that we have  
7 to keep it because if the agency ever thinks that  
8 we were cheating we have to have it to show that we  
9 weren't. And, if we get rid of it, it leads them  
10 to suspect that we might be covering information up  
11 that we knew that we could get rid of. That whole  
12 quagmire has to be cleared up somehow, and not just  
13 because the people in this room would all be nice  
14 about it, but because there are lots of companies  
15 out there and lots of inspectors who aren't sitting  
16 in the room with us.

17 DR. DEAN: Let's just blame it all on the  
18 agency. You can just imagine a situation where, in  
19 spite of built-in quality, there is a problem;  
20 something goes horribly wrong. You can just hear  
21 the lawyers saying, "just a minute, you didn't  
22 actually test this product before it went out the  
23 door. You were relying on information of a  
24 process? What were you thinking about?"

25 DR. LAYLOFF: That is the case with

1 sterility. You know, they test every lot just  
2 because of that even though the data assures the  
3 sterility, not the testing.

4 DR. LACHMAN: Can you use some kind of  
5 quality certification of the data before discarding  
6 it to certify that the data met the critical  
7 control requirements for the process, and put that  
8 as part of the documentation? So, you do have a  
9 record but you don't have the raw data after that  
10 point.

11 DR. MORRIS: I think, Leon, I understand  
12 Rick's point and I think it is something you talked  
13 about earlier, in one of your earlier meetings, the  
14 data that approaches the data that you used to  
15 establish the endpoint may not fall into any  
16 specific model even though, hopefully, it would  
17 eventually. Maybe you just keep the data that you  
18 use for your decision-making for a period of time.  
19 It would serve the same purpose.

20 DR. LAYLOFF: Wouldn't you define it in  
21 SOP as to how you acquire data, how you compare the  
22 data, how you decide you reach the endpoint and  
23 what you store? And, you set up an SOP for each of  
24 them.

25 MR. COOLEY: I would agree with that, Tom.

1 I think the issue, again, as I said before, is the  
2 interpretation of what Part 11 says, and our  
3 interpretation is that if it is an electronic  
4 record you have to keep it. It doesn't matter  
5 whether it is the one you actually use for making  
6 that decision or not. You generated an electronic  
7 record and you must keep that electronic record. I  
8 didn't hear Joe comment on Ajaz' interpretation but  
9 my interpretation of Part 11 is that you have to  
10 keep the raw data, not the process data. So, that  
11 is kind of a different interpretation I think.

12 MR. FAMULARE: You know, there are two  
13 issues. What is required by the predicate rule,  
14 and I keep going back to that although Bob doesn't  
15 seem to think it offers a lot of help. Normally,  
16 when a paper record is generated, a paper batch  
17 record, you would record each critical step of the  
18 process and those critical steps that cause you to  
19 release the batch, and so forth. Now you are faced  
20 with continuous data coming out of a batch from a  
21 continuous on-line monitor and now we have to look  
22 at the predicate rule. I will go away from Part 11  
23 and decide, well, what are the critical steps and  
24 what are the critical data that cause me to go  
25 forward with this batch, and the question would be

1 is that every piece of data? I think that is what  
2 we have to answer in the GMP realm before we even  
3 get down to our thoughts and interpretations of  
4 Part 11.

5 DR. KIBBE: Would that imply that it would  
6 be better to go back to paper data so that you  
7 could say, well, I have recorded the key things on  
8 this. This is my documentation and the electronic  
9 stuff is--

10 MR. FAMULARE: In terms of looking at the  
11 practicality of what you save electronically, what  
12 did we require you to save on paper in the first  
13 place in the predicate rule? Maybe we could use  
14 that as a starting point in terms of putting sense  
15 into the process of what we record electronically.  
16 Because you can create all these electronic data  
17 points because the equipment allows you to, do we  
18 need to save them all? Are they all really part of  
19 the batch record?

20 DR. MORRIS: But I think the question is  
21 not so much whether it is part--I mean, even if  
22 everybody agrees that if you use a sensor for  
23 drying your endpoint is two percent or something,  
24 which is the predicate case. The question is what  
25 do you do with the data that you collected



1 approaching that? And, you are saying, well, don't  
2 turn on the sensor until you are there. That is  
3 the implication of what you are saying, in a sense.

4 MR. FAMULARE: No, the issue is what do  
5 you need to record out of that data and preserve.

6 DR. HUSSAIN: Let me sort of put an  
7 example on the table. Suppose you are doing blend  
8 uniformity as sort of a model process, and instead  
9 of taking samples at ten minutes, you monitor the  
10 blend for the entirety of the blend process so you  
11 have, say, a hundred thousand data points that you  
12 have collected. But in terms of a batch record you  
13 would have probably recorded the ten samples that  
14 you had collected for sampling and that is the  
15 analysis that you do. So, instead of those  
16 records, if you take the mean and average of some  
17 of the numbers that you collect on-line, would that  
18 be considered acceptable?

19 DR. KIBBE: Let's look at an example with  
20 HPLC analysis. When we do an HPLC analysis we  
21 really are interested in the amount of the  
22 ingredient we are analyzing but doesn't the agency  
23 ask us to keep all the tracings? So, now we are  
24 looking at blend uniformity using IR and we are  
25 watching the blend to the end, and do we need to

1 keep the entire tracing? Now that we have a  
2 different instrument and we are not doing  
3 gravimetric measurements anymore; we use HPLC; we  
4 are using blend uniformity instead of doing single  
5 analysis at the endpoint or 12 minutes. Now is the  
6 agency going to apply the same rule it did to this  
7 system? If it keeps going and going, and I think  
8 the companies are all thinking of how many tracings  
9 and how much storage of electronic data that  
10 tracing represents when it is not just a single  
11 line but it is the fingerprint that you get from  
12 the IR or the Raman, and how much of that are we  
13 going to do? Of course, the agency has in the past  
14 required tracings. So, can we throw the tracings  
15 away?

16 MR. FAMULARE: I think the issue is how  
17 specific does the agency need to get in guidance as  
18 we get to these more modern technologies in terms  
19 of what is practically needed to be recorded? I  
20 think we have to bring our discussion--at this  
21 juncture, if we implement this technology and we  
22 get all this data, how much do we practically need  
23 to record to meet the agency's needs for  
24 record-keeping in the GMPs? A mention was made of  
25 what lawyers and companies may require, and so

1 forth. What practically needs to be kept? If it  
2 is not feasible to save all of the data, then we  
3 have to come up with an approach that is based upon  
4 risk. You know, there has to be some practical  
5 answer because although storage and archiving  
6 capacity has increased with the advancement of  
7 technology, what I am hearing is that obviously  
8 there are still limitations of what you can keep  
9 and then move on to the next iteration of hardware  
10 or software that will support that as time goes on.

11 DR. LAYLOFF: Let's go down to the end of  
12 the table. I think two or three people wanted to  
13 make comments.

14 DR. CHISHOLM: There are a lot of things I  
15 was going to say. I think there is a danger, it  
16 seems, in confusing a number of different problems  
17 again. First of all, if you have an inter-stage  
18 process, if you have an endpoint determination,  
19 surely all you have to keep is that. I think once  
20 you get to statistical distributions, that is to  
21 say that you are going to do it for tablet  
22 parameters, or whatever, then really you have to  
23 give us some advice because to prove that is a  
24 statistical distribution we have to keep the data.  
25 But to release a batch, a qualified person only has

1 to see the data because, let's face it, we all  
2 believe we are honest at the end of the day. So,  
3 that is a decision area I think that you have to  
4 look at.

5 In terms of the question I posed earlier  
6 on, original data, when you actually create models,  
7 you don't have a lot of choice. You have to keep  
8 that because you are going to have to update and  
9 refresh these models and if you don't have a data  
10 bank you can't do it. The question there is do you  
11 have to keep it in such a way that you can recreate  
12 the algorithm so that an inspector can see that  
13 being done? I think that is the question that  
14 needs to be answered. But I don't think we should  
15 get too hung up on the vast quantities of data.  
16 You can keep a lot of data in the assessments.  
17 That is not a problem anymore. But if you are  
18 starting to get beyond things like five years, it  
19 is beginning to get a bit impossible. And, it is  
20 not the archiving of the data; that is simplistic.  
21 It is that with all the technology changes how do  
22 you get it back? That is the problem .

23 DR. RUDD: I think Bob said it very well,  
24 but maybe just to embellish that, I think we have  
25 to go back and remember why we are interested in

1 PAT-based measurements in the first place. I think  
2 you can almost reduce it down to two things. The  
3 first is, if you like, a development aid mechanism  
4 for process understanding, process optimization,  
5 development of the kind of models that are being  
6 talked about, and those models will need to be  
7 refined. So, that is there on the one level and  
8 you may use none of that on a routine basis for  
9 product sanctioning.

10           Conversely, the second principal reason  
11 for wanting to make PAT measurements is to, let's  
12 say, eliminate the end-product testing and,  
13 therefore, you have to keep whatever it is that  
14 allows you to sanction product quality.

15           I think the exercise we probably still  
16 haven't done in this group yet is the one that we  
17 tried to do with the attempts to release a  
18 parametric release guideline in Europe, and that is  
19 to take the classical end-product specification for  
20 whatever product type you might be talking about,  
21 take a tablet. The quality parameters that define  
22 tablet quality have been built up over the years.  
23 They are established--assay, content uniformity,  
24 dissolution etc., etc. They don't go away. Just  
25 because we stop making measurements differently,

1 they don't go away. What we have to do is work out  
2 what it is, what test or what combination of  
3 measurements we might make in the process that is  
4 predictive of those end-product quality attributes.  
5 So, if we are able to make a content uniformity  
6 prediction based on a real-time powder blending  
7 measurement, then that is the bit that we need to  
8 keep. Twenty megabytes of data could reduce down  
9 to one number, a blending time or a point at which  
10 an RSD replicate specter reaches a predetermined  
11 minimum. That is the bit that is predictive of  
12 finished product quality. So, let's just keep that  
13 in mind. Let's remember why we are interested in  
14 PAT. I think it gets down to those two things, and  
15 the bit that is missing is we haven't developed the  
16 relationship between the end-product quality  
17 attributes and the PAT measurements we might make.

18 MR. HAMMOND: Just to enlarge on that, one  
19 of the reasons that we want to collect the data and  
20 actually store all of the raw data on every batch  
21 is that we can go back and do historical trending.  
22 I mean, that really is information that for our use  
23 only but we do need to keep that otherwise we don't  
24 get the best benefit of PAT. Obviously, if we are  
25 going to keep that, then we have to abide by the

1 rules of keeping it but it is a huge amount of  
2 data, but it is worth keeping. Bob is absolutely  
3 right, with modern-day systems it is not that  
4 difficult.

5 DR. LAYLOFF: I wonder if you keep too  
6 many records if you confound inspections. You make  
7 a smoke screen. We haven't heard from Eva. Do you  
8 want to make a comment?

9 DR. SEVICK-MURACA: No, no.

10 DR. MORRIS: This is a question actually,  
11 what is the goal in terms of the guidance, I mean,  
12 what level of detail needs to be included for the  
13 guidance to address this? I guess that is an open  
14 question but I think that is really what we are  
15 trying to get at. Steve, you are saying we have 20  
16 megabytes a day and it would depend on the system  
17 you are looking at. On the other hand, you are  
18 saying it is not that hard to do that. On the  
19 other hand, you are saying--Bob is saying you can't  
20 retrieve it in five years, so what good is it?  
21 Then, some people are worried about whether or not  
22 it is going to be audited. I think we have to say  
23 what ought to be in the guidance in terms of  
24 direction so that the internal lawyers don't have  
25 hemorrhages.

1 DR. HAMMOND: I think a number of those  
2 general points are going to be discussed as general  
3 issues probably outside of this committee. There  
4 is one thing I would like to bring up, and I would  
5 certainly like Eva's opinion on this because it is  
6 an issue for us, as we are developing the systems  
7 we are actually start to install an on-line sensor  
8 in a commercial production area, and you almost  
9 have to be an oracle, predicting everything that is  
10 possibly going to want to be developed and known  
11 about the software before you actually ever get it  
12 in there. When you get it in and you suddenly  
13 decide, well, the communication routines with the  
14 plant DCS system isn't quite right, or we could  
15 actually get a better control if we had this extra  
16 bit of data manipulation here, or you find bugs in  
17 the software that have to be corrected, we find  
18 that we spend something like 80 percent of our time  
19 updating documentation to be allowed to change  
20 software. I mean, the FDA say this is not their  
21 fault. The agency is very quick to point this out.  
22 It is not their fault. The trouble is it is the  
23 perception of their internal regulatory groups, but  
24 if they don't get specific instruction from the FDA  
25 and they are allowed to make up their own mind



1 about these things, then installing a PAT effort  
2 can be like running in sticky toffee purely because  
3 of the perceptions of internal regulatory groups on  
4 what you have to do to change something, bearing in  
5 mind that you are not actually generating any  
6 information for release of a commercial batch but  
7 purely just developing the system. The one thing  
8 that this guidance must do is to slacken the reins  
9 on being able to change things easily while you are  
10 developing the system, otherwise applying PAT  
11 becomes like running in sticky toffee.

12 DR. SEVICK-MURACA: Now I have a comment.  
13 I am involved in two areas of technology  
14 development. One is in the blend content  
15 uniformity and also in medical device where I  
16 impact patient care. I think the speaker from the  
17 FDA gave an interesting comparison, but whenever I  
18 am developing new technologies that directly  
19 interface to a patient, as long as any of the  
20 information that I develop or any of the data that  
21 is generated in the development of that technology  
22 for that use, as long as that information is not  
23 used to make a clinical decision or a diagnosis,  
24 then it is a feasibility study and it is just data  
25 that is generated. It is separate from the

1 treatment of the patient.

2 I guess I see the same situation with PAT.

3 If you are generating data in a development phase  
4 where you are trying to get that technology  
5 on-line, learn some information about that process,  
6 is that information consistent, congruent with  
7 other information that you have about the process,  
8 that information shouldn't be used in deciding the  
9 outcome of that batch. So, it is off-line. It is  
10 not there. I wish I could convince the FDA that  
11 when we are developing technologies, we don't know  
12 the robustness of that information and that  
13 information can't necessarily be held against us.  
14 Am I getting my point across?

15 It is done in the medical device community  
16 where the risk to the patient is even  
17 greater--well, maybe not even greater but it is  
18 significant. You can't say that the risk of  
19 putting a PAT on blend content uniformity has a  
20 greater risk than my medical device that directly  
21 makes contact with the patient. So, why can't we  
22 have that same type of regulatory structure for the  
23 development of new technologies?

24 DR. LAYLOFF: Now we will have Joe tell  
25 you why.

1           MR. FAMULARE:  Actually, we are very open  
2 to the development of new technologies and we  
3 really would not want to in any way hinder or bar  
4 research data at all, or put any type of regulatory  
5 restriction on it.  In fact, I think Ajaz  
6 introduced that term in his presentation this  
7 morning of using it in a research way in terms of  
8 how to craft the safe harbor.

9           Certainly, if it is in the development  
10 phase, we certainly wouldn't want to have any  
11 hindrance on the ability to change it, develop it,  
12 etc.  So, I think we are already there where your  
13 concern is.  I think the real concern is that once  
14 you get to the operational level and are actually  
15 using this to make batch release decisions, how do  
16 you deal with the data and the electronic  
17 record-keeping requirements, and so forth?  But in  
18 terms of developmental, we are certainly open to  
19 the way you have expressed those ideas.

20          DR. SEVICK-MURACA:  I guess that in the  
21 process of taking your technology, once you have  
22 validated your technology so that now it can be  
23 used as criteria for releasing a batch, and in that  
24 process of validating that technology you identify  
25 the data that you keep, the endpoint, the

1 decisions, I guess I just don't see the difficulty  
2 here. Am I misinterpreting this?

3 DR. HAMMOND: I don't see that there is  
4 any problem at all in keeping the data. That is  
5 just my perception I suppose, as far as I can  
6 afford to do this. I am in a little bit different  
7 position. But coming back to Joe's point again,  
8 you can say, yes, we are in agreement and the safe  
9 harbor concept covers this, but you haven't really  
10 made that plain enough. There are validation  
11 groups in Pfizer plants where we are trying to go  
12 into GMP areas and distil this technology, who are  
13 almost tying their legs together and one hand  
14 behind their back because of your perception, or at  
15 least your inspectors, think of it. So, it is  
16 still a bit like muddy water out there.

17 MR. FAMULARE: And this is something that  
18 you want addressed in the guidance?

19 DR. HAMMOND: Absolutely.

20 MR. FAMULARE: In terms of being able to  
21 development existing processes and not take this  
22 research data, or whatever we end up calling it,  
23 and use it as a tool to penalize the existing  
24 process that already meets today's standards.

25 DR. SHABUSHNIG: To me, the emphasis there

1 should be on will the intervention that you are  
2 making, will installing this sensor, etc., have a  
3 negative impact on the product? There should be  
4 that sort of minimal level of documentation, but as  
5 far as how you use the data, recognizing that there  
6 is still a development activity that is going to be  
7 ongoing at that point as opposed to expecting the  
8 full level of validation and full level of  
9 documentation that would go with that. I think  
10 what we need to say is that there is that step that  
11 gets you at least into that commercial process, but  
12 then there is still a data gathering phase that can  
13 go on in that mode. But I agree, I think that  
14 having that stated more clearly in the guidance  
15 will help us both with our internal organizations  
16 as well as the general advancement of the  
17 implementation of PATs.

18 DR. LAYLOFF: Down at the end?

19 DR. RUDD: Thanks. Yes, just to endorse  
20 the comments that Steve and John have been making,  
21 so you know it is not just Pfizer but GSK as well,  
22 I think there is an extra dimension though. It  
23 isn't just about applying PAT technology to  
24 existing processes. I think it is about getting  
25 the message across and maybe it is an internal

1 validation group problem that we have.

2           Maybe I shouldn't say this but we are  
3 running into a problem at the moment with  
4 implementation of some new technology where we are  
5 getting close to the point of saying, well, let's  
6 just not bother doing this because our internal  
7 validation group is expecting us to do, you know, a  
8 perfect job on it. I think this message needs to  
9 come out. It is the product critical quality  
10 attributes, or the measurement and the judgments  
11 that are related to those where, clearly, nobody  
12 want to back off from a full validation program.  
13 That is entirely right, but to expect to cover  
14 absolutely everything to a gold standard could  
15 preclude the implementation of the technology and  
16 we mustn't get into that situation.

17           DR. LAYLOFF: Doug next.

18           DR. DEAN: Just a very quick one to Joe's  
19 comment about research data. One of the comments  
20 Ajaz made was on continuous improvement. So, there  
21 is an element of research on an ongoing basis.

22           DR. LAYLOFF: Leon?

23           DR. LACHMAN: Yes, If I recall, during the  
24 first subcommittee meeting we discussed that  
25 implementing this approach is going to involve a

1 more intensive or longer development phase or  
2 optimization phase, and I think that has to be  
3 considered here. As part of that, the optimization  
4 will be continued during the run of the process on  
5 a routine basis, but that should be a separate  
6 component from the release component.

7 DR. LAYLOFF: Ajaz?

8 DR. HUSSAIN: I just want to clarify. I  
9 think all the points made were excellent points.  
10 Just to go back to the point Steve made and I think  
11 David also made, what they are asking is that as a  
12 PAT process is being investigated or the  
13 suitability is being determined on an existing  
14 line, there are two issues there. One is that  
15 clearly from a regulatory risk perspective we would  
16 like some assurance that that does not have an  
17 adverse impact on the quality of the existing line.  
18 That is the bottom line. Everybody agrees with  
19 that.

20 The question I think Steve has posed is  
21 what sort of validation requirements should be  
22 placed on a research probe on an existing line, and  
23 what should the FDA position be? From my position,  
24 I think we summarized this at the end of the second  
25 meeting that when a company is doing suitability

1 evaluation or research, that is research data which  
2 sort of falls under the safe harbor concept and all  
3 the decisions for that product will be based on  
4 existing approved regulatory methods.

5           The challenge is that in a sense the  
6 internal regulatory affairs and validation groups  
7 require full validation on every research probe,  
8 and that is not what it should be. I think the  
9 research probe is to first investigate whether it  
10 is suitable or not before you plan to validate it.  
11 But that is an internal argument where I think you  
12 are seeking FDA help to address that.

13           I think we would be very clear in the  
14 guidance that we encourage continuous improvement,  
15 continuous optimization and, as part of that, you  
16 would need to do sort of when it does not adversely  
17 impact an existing product line; be flexible enough  
18 to do this; and we will not penalize you for that.  
19 The level of validation is sort of a graded level  
20 as the suitability is confirmed and then you  
21 proceed, not up front.

22           DR. LAYLOFF: We will take you two and  
23 then we will have our open hearing.

24           DR. HAMMOND: Just outcome make absolutely  
25 plain, I think the hardware of the sensor itself is



1 not really the issue because before we get into a  
2 manufacturing facility we have thoroughly  
3 established exactly what that is going to do. The  
4 issue is the software more than anything else.

5 I will give you an example. We had to  
6 have a new set of software written to be Part 11  
7 compliant. So, we did that. We installed it. It  
8 took almost a week to validate the installation of  
9 the software. We ran a couple of batches and  
10 realized there were a number of issues with the  
11 communication with the plant systems, also the data  
12 it was giving us we knew we could improve. So, we  
13 decided to go back to the software vendor and ask  
14 them to do what we were asking. It is a new  
15 version of software. So, we get the new CD and the  
16 first thing we have to do is spend a week  
17 revalidating the installation. That is the type of  
18 issue that really needs addressing in these  
19 guidelines.

20 DR. MILLER: Just a comment that the  
21 flavor and theme of what we are speaking of need to  
22 be reflected in the new GMP guidance that will come  
23 forward post or pre these regulations. It would  
24 also I think be valuable to push ahead these  
25 validation concepts from that GMP perspective

1 because essentially that is what these groups have  
2 as their bible, if you will. It is GMP first and  
3 it is the GMP pathway. So, the flavor and the  
4 pathway of that thinking could be embellished in  
5 that guidance also.

6 DR. LAYLOFF: I want to open the session  
7 for the open hearing. We have one individual who  
8 has requested time. Dr. Stanley A. Marash has  
9 requested ten minutes of our time so he can make  
10 his presentation now.

11 Open Public Hearing

12 DR. MARASH: Good morning, and thank you  
13 for the opportunity to share with you some thoughts  
14 and some practical applications of the  
15 inter-relationship between PAT and six sigma. I  
16 don't know how many of you are involved in six  
17 sigma programs in your organization, but we have  
18 found that there are kinds of things that I would  
19 like to share with you, and what I have done is  
20 borrow some of the transparencies that have been  
21 used in some previous meetings and tried to look at  
22 the relationship between those items.

23 I guess I should tell you that my  
24 organization is a non-profit organization that has  
25 been involved for many years in these relationship

1 kind of things. I personally was directly involved  
2 in helping to develop the medical device GMP and to  
3 provide training around the country for that. I  
4 also was involved as one of the co-authors of the  
5 early version of the Food and Drug law course that  
6 is being taught within the FDA.

7           In the aspects here where there is a need  
8 for improving the efficiencies of pharmaceutical  
9 manufacturing and regulatory processes, and there  
10 exists the capability of realizing this, and for  
11 the last 15, 20 minutes or half an hour you have  
12 been talking about what is the realization and how  
13 do we deal with this. Six sigma and PAT have a  
14 number of things in common. Both of them are  
15 process oriented. They are approaches to achieving  
16 efficiencies, reduce cycle time and improve  
17 quality.

18           PAT is trying to move the approach from  
19 testing to document to continuous quality  
20 assurance. Now, continuous quality assurance or  
21 continuous quality improvement are major components  
22 of what many people today are looking at in their  
23 organizations. It also talks here, and I have  
24 heard Ajaz a couple of times make the comment to  
25 ensure that the quality was built in or was there

1 by design. This is an important aspect in terms of  
2 all activities.

3           If you look at the six sigma process, it  
4 embraces both continuous improvement and  
5 breakthrough performance. The process includes  
6 models for manufacturing, administrative services  
7 and for design. There are two major models in  
8 here. One is referred to as DMAIC, defined measure  
9 analyzing improvement control. The other is  
10 referred to as design for six sigma, DFSS, which is  
11 the defined measure analyzed and verified. It  
12 really should say verify and validate. But most of  
13 the places where this comes from, this is where the  
14 focus is.

15           The key here is people will talk about  
16 analytical tools and I go into many organizations  
17 and they tell me, oh, yes, we do that all the time;  
18 we do those analyses; we use design of experiments;  
19 we use regression. We know all of that. We know  
20 all the manufacturing. When you get out and look  
21 at what is happening, it is not happening. There  
22 are places where it is being used. There are  
23 people who are using it, but when you look in the  
24 larger sense of what is really going on, it is not  
25 really happening.

1           What has happened in the last five years  
2 is this activity of six sigma. More and more  
3 companies are actively involved in looking at six  
4 sigma and trying to utilize it. They are training  
5 people of all kinds to utilize tools. Now, people  
6 come and say, well, we know those tools. We have  
7 used them all along. What is different about six  
8 sigma is its focus on a process that takes people  
9 through the use of a series of tools to be most  
10 effective in finding out what needs to be done and  
11 how to do it.

12           When I look at what is going on in the  
13 objectives for PAT, we are talking about a  
14 regulatory framework; we are talking about  
15 manufacturing technologies. But we get hung up  
16 about eliminating perceived or real regulatory  
17 hurdles. A lot of the discussion here is around  
18 how are we going to get over that hurdle? Why am I  
19 using paper when I could have used electronics and  
20 I was using electronics before? These kinds of  
21 things and these kinds of questions really raise  
22 the issue of can this be successful. Can PAT win  
23 industry's confidence or are the perceived or real  
24 regulatory hurdles too difficult to overcome? That  
25 is a question that needs to be answered.

1           On the other hand, does six sigma have the  
2 advantage of no or less regulatory constraints, at  
3 least built into them, and the perception of the  
4 industry is that it is an industry program, not an  
5 agency program, will then make a difference.

6           The next question is do I really need to  
7 decide between the two? One of the methodologies  
8 that we have been looking at is something that we  
9 call fusion management. What fusion management is  
10 about is taking many of the programs that are going  
11 on in companies and putting them together so if you  
12 see PAT here and you see six sigma, but you also  
13 see management systems, you see performance  
14 excellence which would be things like the Baldrige  
15 Award, you are looking at TQM or LEAN, many  
16 companies have used these and are using them today,  
17 what we are looking at is a structure to do all of  
18 that.

19           Visualize the following, visualize a  
20 four-phase set of activities. The first phase is a  
21 step that talks about the management system. What  
22 kind of management system do we talk about here?  
23 We talk about the GMP. The GMP is a management  
24 system that has associated with it a series of  
25 other activities, other requirements that are

1 specified, but it is still a management system. So  
2 the base of this thing is a management system to  
3 start with.

4           The second phase is process control. We  
5 must get our processes under control. We talk  
6 about validation; we talk about other things; but  
7 unless you have the basic processes under control  
8 things are not really going to happen. There is a  
9 lot of discussion about continuous improvement.  
10 Well, improvement is nice and part of that could go  
11 back to doing the process control activities or  
12 process capability activities or the process  
13 validation activities. Eventually you are going to  
14 get to continuous improvement and ultimately you  
15 are going to get to breakthrough methodologies.

16           DR. LAYLOFF: One minute.

17           DR. MARASH: Okay. I would like you to  
18 visit our web site, which is [statamarix.com/fda](http://statamarix.com/fda).  
19 In that web site you will find a copy of the  
20 slides. You will find a number of discussions of  
21 published papers around fusion management, around  
22 six sigma, around the tools. We put those together  
23 very specifically. We have taken them out of our  
24 main site, which you can go to also, but to make it  
25 simple this is where the material is and we invite

1 you to visit that site. Thank you.

2 DR. LAYLOFF: Thank you. Dr. Gary Ritchie  
3 has asked for an opportunity to present to the  
4 group.

5 DR. RITCHIE: Actually, I didn't formally  
6 but I got so passionate about the concept that Joe  
7 and Dave and others were bantering around about a  
8 specific concept or way to approach the language in  
9 the guideline. What dawned on me was a specific  
10 example that I was involved with. In going from  
11 measuring dissolution at a single endpoint where  
12 you are looking for a Q of 75 at 30 minutes, in  
13 validating that we changed from an endpoint  
14 measurement to continuous monitoring. I just saw  
15 very much similar issues that we dealt with when  
16 you talk about putting a probe in a dissolution  
17 bath and now documenting taking continuous  
18 measurements, and at what point do we say we have a  
19 process measurement, in the same respects as we are  
20 talking about putting in a process batch monitor or  
21 something?

22 What dawned on me was method equivalence  
23 was a point to say that we had the same method, and  
24 what were those things that we used to do that?  
25 Single-point spectra at the points where we said Q



1 was supposed to be, up to an after for instance.  
2 So, documenting the measurements in terms of the  
3 computer data coming from the UV became very easy  
4 because we took spectra, then we took computer data  
5 that was associated with that spectra for the  
6 continuous measurement. Then we put it side by  
7 side with what we were typically doing with the  
8 endpoint measurement. Now we have a package that  
9 says equivalence.

10 So, that was just a model. I think the  
11 chromatography idea was given as a model, but I  
12 think one that might be utilized that I think the  
13 FDA has some experience is with is to go back and  
14 look at the dissolution model going from a single  
15 endpoint measurement to continuous measurement.  
16 That might be a good place to start.

17 DR. LAYLOFF: Gary, did you identify  
18 yourself?

19 DR. RITCHIE: Gary Ritchie, Purdue, PhRMA.

20 DR. LAYLOFF: Is there anyone else in the  
21 who would like to have two minutes during the open  
22 hearing? If not, we will break for lunch. We are  
23 breaking early. We will get back at one o'clock.  
24 So, we will see you here at one o'clock.

25 [Whereupon, at 11:46 a.m., the proceedings

1 were recessed, to be resumed at 1:00 p.m.]

1           A F T E R N O O N   P R O C E E D I N G S

2           DR. LAYLOFF: I want to make a few  
3 remarks. I think our FDA colleagues and friends  
4 have heard the message about getting something in  
5 the guidance concerning research. So, we will put  
6 that to rest now. I think we have hammered that  
7 enough and they believe it, as they have for the  
8 past three times I think, but I think we are done  
9 with that so we are not going to bring that up  
10 again. We are going to have the presentations here  
11 so we can get started.

12           DR. HUSSAIN: If I may, to manage this  
13 sort of situation properly, what we have tried to  
14 do is have the presentations in the same format as  
15 we had this morning. That means presentations for  
16 both rapid micro and the BMS and Pfizer mock  
17 submissions will occur as this morning. It will  
18 sort of be shared between both rooms, and then we  
19 will sort of shut the audio-vision system and have  
20 the breakout discussion in two separate rooms.  
21 Sorry about the confusion. I think we didn't  
22 anticipate so many people showing up for this.

23           DR. LAYLOFF: I thought you were giving  
24 away free drug approvals or something!

25           [Laughter]

1           If we can get started this afternoon then,  
2 we will start with the PAT mock submissions, Ron  
3 Miller.

4                           PAT Mock Submissions

5           DR. MILLER: Just a few brief words.  
6 Bristol-Myers Squibb put together an eclectic team  
7 of individuals that we felt would foot the bill to  
8 handle this mock presentation, chiefly Dr. San  
9 Kiang will handle most of the technology elements  
10 in the presentation. Sathyanarayana Upadrashta  
11 will report from our regulatory viewpoint our  
12 concerns and issues and express them. Then, Glenn  
13 Thomson will handle our Part 11 compliance issues  
14 as he is our quality Part 11 compliance director in  
15 this area for the corporation. I will be on the  
16 supporting cast, promoting questions and trying to  
17 raise some provocative issues as we go along.  
18 Again, we will go through this roughly in about 30  
19 minutes and allow 15 minutes for questions in this  
20 part of the phase for additional questions and  
21 answers to move this forward. Thank you very much.  
22 With that note, San?

23           DR. KIANG: My name is San Kiang. I am  
24 the director of process validating in Bristol-Myers  
25 Squibb. I like the comment that John Murray made

1 this morning about engineers and lawyers. I am an  
2 engineer.

3 [Laughter]

4 I want to thank the agency and Ajaz in  
5 particular on behalf of BMS. To tell you a little  
6 bit about how, on the drug substance side, we are  
7 also able to use PAT during our process  
8 development, therefore, the title, PAT for drug  
9 substance. In this case we want to demonstrate how  
10 we use particle size monitoring during the  
11 development and scale-up of a process.

12 In drug substance development there are  
13 quite a number of PAT applications and this is just  
14 a table showing, at BMS, some of the common  
15 instruments, like NIR, Raman, FTIR. I will explain  
16 a little bit about FBRM and how, in different  
17 processing, they are used.

18 The outline of this case study--I am going  
19 to tell you a little bit about why we are doing  
20 this and the issues involved, and how we use PAT in  
21 monitoring--PSD stands for particle size  
22 distribution during crystallization--downstream  
23 processing multi crystals, which is filtration and  
24 drying, and how we use it in subsequent scale up.

25 Product A has issues during formulation.

1 It has dusting issues. It is a wet granulation  
2 operation and in the beginning of the development  
3 we found out that the performance of the drug  
4 product is very much dependent on the type of  
5 formulation equipment, especially the granulator we  
6 use. Also, the binder, in this case water--the  
7 amount of water needed during the operation also  
8 varied in quite a large range, and we are going to  
9 find out why at the end of this talk.

10 On the drug substance side there is also a  
11 curious effect. I am sure other companies have the  
12 same thing, when a drug substance was manufactured  
13 in different types of equipment, it also gives  
14 different performance in the formulation. As usual  
15 in our industry, at this juncture of the  
16 development there is no performance-indicating  
17 parameter of the drug substance that we can measure  
18 and, therefore, predict its performance in the  
19 formulation.

20 Again as usual, at the boundary between  
21 process and formulation the question is always is  
22 this unpredictable performance due to the drug  
23 substance itself? As you know, during the  
24 development stage and before routine  
25 manufacturing--actually, before filing--there are

1 changes in chemistry which affect impurity, and  
2 there are solvent changes which, again, affect the  
3 attributes of the crystals and even the  
4 crystallization protocol itself changes. Then,  
5 there is also equipment-dependency of the drug  
6 substance.

7           The question for formulation obviously is,  
8 is the formulation process itself robust. The  
9 question engineers usually ask is why can't we  
10 develop a robust formulation process that can  
11 handle a wide variety of solid state properties of  
12 the drug substance? Then there are some of the  
13 process issues, for example, understanding of the  
14 granulation; understanding of the binder effect on  
15 the formulation.

16           There are two reasons really to be able to  
17 follow the crystallization and, therefore, the  
18 crystals. One is it has a critical impact on how  
19 the drug substance is isolated. Obviously, the  
20 particle size distribution will have a large impact  
21 on the filtration characteristics, as an example.  
22 A poorly filtered cake also led to a poorly washed  
23 cake and, therefore, affected its quality. So,  
24 those are issues on the synthesis side and may or  
25 may not be related to the formulation issues.

1                   This is the investigation of the  
2 formulation problem. In the beginning what we  
3 found out is that some of the drug substance, in  
4 this case capsules, performed poorly in the  
5 dissolution test. This is the initial  
6 investigation. What we did is take some of the  
7 granules and took Raman pictures of the  
8 cross-section of the granules. You can see in the  
9 top picture that there is really a mixing or  
10 distribution problem with the API. The excipient  
11 is the green and the drug substance is the blue.  
12 You can see that the particle size distribution  
13 very much affects the dispersion of the excipients  
14 in the drug in a uniform way during granulation.

15                   Before I show you how we monitor this  
16 process, the crystallization procedure itself is  
17 very simple and straightforward in operation. You  
18 use five percent seed. The drug substance is a  
19 sulfate salt so the crystallization procedure is  
20 started by the addition of sulfuric acid at a  
21 controlled rate and the material crystallizes and  
22 precipitates out. So, it is a very simple  
23 procedure.

24                   This is a schematic of how this procedure  
25 is carried out. The reaction mixture comes in on



1 the left and then goes to the crystallizer and is  
2 crystallized as I described before by the addition  
3 of sulfuric acid. It is filtered and then dried.  
4 You can see that PAT is used in monitoring the  
5 crystallization procedure in the crystallizer, and  
6 it is also used, instead of on-line, at-line to  
7 monitor the effect of filtration and drying  
8 operations on the crystals.

9           Just a brief description of the particle  
10 size measurement method. The vendor is Lasentec.  
11 The technique is focused beam reflectance  
12 measurement. You can see that there is a laser  
13 beam that is focused at crystal slurry so it is in  
14 the crystallizer as the particles move across the  
15 beam. Down here is to show that as the particles  
16 move through the beam the edge to edge dimension is  
17 measured and recorded.

18           This is a record of doing this procedure  
19 at a 15 L scale in the laboratory. You can see the  
20 progress. The X axis is the dimension in microns.  
21 The Y axis is the number of the crystals per  
22 second. Stage 1, 2, 3, 4 and 5 means different  
23 rates of addition of sulfuric acid. That is really  
24 the control parameter in this case. It is  
25 important to point out that as we progress in time

1 you can see that the particles grow in numbers in  
2 all dimensions. You can follow the particle size  
3 over time using this technique.

4 This black background is supposed to show  
5 the same stage, but you can see we follow the same  
6 stages of crystallization over time. This is the  
7 seed again. The stage moves from this curve up to  
8 this curve in the black. But now we are doing the  
9 same operation at large pilot scale, at about  
10 100-fold the size.

11 In the crystallizer, as we mentioned, we  
12 use the in-line monitor. You can see this red  
13 arrow pointing to this shiny tip. That is where  
14 the tip of the FBRM is. Obviously, it is put in an  
15 area that is representative, that has sufficient  
16 mixing. This is one of the crystallizers in the  
17 pilot plant.

18 Now we took this one step further. We ran  
19 it in one of our manufacturing sites. Now we are  
20 at the 4000 L scale. Again, we use the same  
21 technique to follow the progress of the  
22 crystallization over time and over the addition  
23 rate of the sulfuric acid. Again, the same curves  
24 are traced to show us how we are doing on the  
25 scale.

1           This is the pay-off slide. This is the  
2 slide that superposes the final distribution of the  
3 crystals at the laboratory, at our pilot plant and  
4 manufacturing scale. You can see how closely the  
5 particles track each other.

6           As is often the case in our business, we  
7 spend a lot of time, at least we do at BMS, in  
8 designing the crystallization procedure, but  
9 oftentimes the crystals themselves are very much  
10 affected by downstream processing. In this case we  
11 took a look at how agitator drying--as most of you  
12 know, on a small scale the dryers are static. We  
13 put it on a tray, put in the oven and take it out.  
14 Really, the crystals do not see much stress. But  
15 as you scale up the dryer, because of practical  
16 reasons, has to be agitated. It usually has an  
17 effect on your particle size, obviously. In this  
18 case, using at-line FBRM--the red line shows the  
19 original--SQM stands for square mean radius; a  
20 mathematical expression of the measurement. Using  
21 different types of dryers, in this case these are  
22 common manufacturing scale dryers, filter dryers,  
23 tumble dryers and we also subjected a batch to  
24 milling. You can see that there is a shift to the  
25 left. The particles are getting smaller under

1 stress.

2           We also monitored the filtration  
3 operation, which obviously has an effect, again, on  
4 the crystallizer. Everything you do to the  
5 crystals in the crystallizer has an effect of  
6 changing them because a crystallizer is an API  
7 operation to fix the properties. In this case,  
8 using a centrifuge filter and looking at the blue  
9 line and the green line, they really trace the  
10 crystallizer distribution very well. So, we  
11 concluded from this that the centrifuge operation  
12 really did not have much effect on the particle  
13 size distribution.

14           Again, a more specific monitoring of a  
15 filter dryer at-line, this really shows how we  
16 control the operation of the dryer. If we  
17 continuously agitate it or we use intermittent  
18 agitation, which is a timed program operation, you  
19 can see if we do it without stress versus with  
20 stress, which is continuous agitation, again the  
21 continuous agitation leads to smaller particle  
22 size.

23           With another type of dryer we basically  
24 see the same effect, a continuous operation versus  
25 a more controlled, intermittent operation of the

1 dryer leads to a better preservation of the  
2 particle size.

3           This is microscopic evidence of what PAT  
4 sees in a more microscopic way with high shear  
5 drying. This is recorded with our FBRM technique  
6 and you can see that the particle size does get  
7 mowed down.

8           With a different type of shear you can see  
9 there are ways you can control the process using  
10 PAT to try to control the morphology of your  
11 crystals.

12           This leads basically to some theoretical  
13 thinking. For this product, when you apply shear  
14 to individual crystals it seems that they  
15 consistently shear in one plane of the crystals.  
16 With this molecular modeling, and based on the  
17 distribution of the chemical groups, we find out  
18 that the shear-exposed faces are the 1.0 phase  
19 which tends to be much more hydrophilic than the  
20 rest of the crystals.

21           So, this led to the explanation that the  
22 varying amount of binder you need because in  
23 different batches we are generating a different  
24 amount of fines by shear and create more  
25 hydrophobic surfaces, and explains the

1 non-uniformity because in the presence of water  
2 these faces tend to come together very rapidly and  
3 actually seize up the granulator. So, this  
4 explains some of the phenomena we see using PAT and  
5 also at investigation.

6 This is a summary page of the critical  
7 information we need. From this monitoring you can  
8 see how the mean particle size pans out with these  
9 operations.

10 In summary, I hope this little story  
11 demonstrates that using PAT, in this case the FBRM,  
12 to monitor crystallization--we can use PAT using  
13 process development and crystallization. As you  
14 can see, we have scaled this process up in three  
15 different sizes.

16 This kind of data gives us a lot of  
17 confidence in how we scaled up. We also  
18 demonstrated that the crystals are affected by  
19 downstream processing operations. In this case we  
20 monitored the filtration and the drying in  
21 different types of dryers, and showed that using  
22 PAT data we can monitor and, therefore, control our  
23 process operations.

24 Obviously, the PAT being able to be  
25 monitored, to use in a crystallizer, allows us

1 greater flexibility in controlling particle size,  
2 in this case the addition rate of sulfuric acid.

3 Finally, using PAT we are able to better  
4 control API attributes which leads to consistent  
5 performance of the formulation process as well as  
6 the drug product, which in this case is a capsule.

7 This ends this part of my talk. I think  
8 my cohorts in regulatory and quality will come up  
9 and tell the engineers how we can do better.

10 [Laughter]

11 DR. UPADRASHTA: Good afternoon. I am  
12 director for the global regulatory sciences,  
13 industry, manufacturing and control, the submission  
14 group.

15 There is really no substitute for science  
16 and engineering. San and his group, they always  
17 make my life easier because when you have a solid  
18 science for us life is really easy in terms of  
19 determining what package should be sent to the  
20 agency to get the approval.

21 With that, we have seen this slide from  
22 San on crystallization kinetics. He certainly  
23 demonstrated that a very good protocol, indeed, for  
24 the crystallization process was developed and  
25 designed. That is an illustration that the process

1 is under control and is reproducible and validated.

2           With that, I would say that that would  
3 provide us in regulatory submissions, or the CMC, a  
4 package to deal with the agency and negotiate.  
5 That gives me much better assurance of the API  
6 quality, the dosage form performance through  
7 improved control of the particle size and particle  
8 size distribution.

9           What I am trying to provide here right now  
10 is a regulatory overview. What do I look for when  
11 I put a submission together, the factors and the  
12 data that is given to us. Now, the particle size  
13 is scale and site dependent. It was studied at  
14 these scales and these sites and that was pretty  
15 scale and site dependent.

16           The question to keep in mind for later on  
17 in the discussion would be how we demonstrated  
18 adequate process validation. That is the key  
19 question there. This focused beam reflectance  
20 measurement technology, of the FBRM technology, may  
21 be applied now to other BMS products where particle  
22 size is a critical performance measure to provide  
23 any regulatory relief.

24           Now, in-process acceptance criteria is  
25 something that I would also look for. What kind of



1 in-process criteria is in place? We know that we  
2 have some confidence right now in the process. It  
3 was under control, well duplicated, maintained the  
4 same particle size during filtration and drying,  
5 downstream processing. What that tells me is that  
6 particle integrity is really intact and is  
7 maintained.

8           The question again that we should keep in  
9 mind at this time is could this replace the  
10 existing final release test, particle size release  
11 test, the routine particle size release test that  
12 we perform in a QC laboratory? Or, is it  
13 redundant? Is it really necessary? That is a  
14 question that we should ask based on science.

15           Validation of PAT--how do we validate this  
16 FBRM? We know that the process has been clearly  
17 demonstrated to yield us uniform particle size and  
18 particle size distribution, so that shows us the  
19 validation of the process capability in a way.

20           What else do I look for? Consistent  
21 impurity profiles and how we accomplish that is  
22 through better control of the filtration, washing  
23 and the other downstream operations; particle size  
24 or particle size distribution; consistent process  
25 of the API and the dosage form and this is via

1 crystallization; downstream processing; formulation  
2 and all those aspects of that.

3           Once we consider all these things we need  
4 to get a tangible benefit, and that is that  
5 actually you prepare a submission and submit it to  
6 the agency, and initiate negotiations with the  
7 agency in a favorable way. So, if it is a new  
8 molecular entity where we are trying to introduce  
9 process analytical technology, of course, that will  
10 be the NDA route. If it is a marketed product and  
11 you would like to introduce this technology, it  
12 will be the supplemental NDA or the SNDA route.  
13 But if we do have something pending, for example  
14 under review, of course, I don't like to see the  
15 review clock impacted so I would like to work with  
16 the agency and submit an amendment to the existing  
17 NDA, in consultation with the FDA.

18           Again, for requirements safety is always a  
19 concern. So, we need to make sure that using this  
20 technology or implementing this technology or  
21 converting to this technology, does this create any  
22 impurities in the process somehow? So, we verify  
23 the impurity profiles and I would like to have the  
24 physical characteristics compared, and would get  
25 some kind of assurance for myself as to validation

1 of the process itself and the particle size and  
2 particle size distribution acceptance criteria, and  
3 process description and demonstrate material  
4 equivalency for the SNDA. How we do that is by a  
5 side by side comparison.

6 Stability data--we all know that there is  
7 really no difficult from the current practice and  
8 for the supplemental NDA a stability commitment  
9 only would suffice and, therefore, the NDA on a  
10 commercial scale, a pilot or lab scale, whatever  
11 the ICH guidelines would require.

12 With that, I thank you for this  
13 opportunity and please keep those questions in mind  
14 as to have we demonstrated adequate validation, or  
15 how do we validate this technology and any of those  
16 things. We would like to get some input from the  
17 audience. Thank you.

18 MR. THOMSON: Thanks. Good afternoon. My  
19 name is Glenn Thomson. I am the associate director  
20 of quality for Bristol-Myers Squibb. I certainly  
21 appreciate the opportunity. I worked with Ron's  
22 team as we put together some of the PAT materials.

23 I think as we talk through this, we talked  
24 quite a bit this morning about Part 11 and what  
25 Part 11 represents. As we look at our mock

1 submission and those types of things, we have to  
2 start to address what the expectations are for Part  
3 11 in regards to those approval processes as well  
4 as we go forward.

5           What I did on this slide is basically  
6 highlighted some of those particular components,  
7 audit trails with date and time stamps; data  
8 available for review and copying, and we want  
9 accurate and complete data, those sorts of things;  
10 device checks and the ability to look at the  
11 devices that are actually accumulating the  
12 information, and those types of things which are  
13 very important relative to how we look at PAT.  
14 Obviously, security in the sense that we want  
15 trained and skilled operators, particularly in this  
16 type of environment to make sure that those people  
17 have access to it. The changes that they are  
18 making from a configuration standpoint is  
19 important, going back into the audit trail and date  
20 and time stamps.

21           We talked a lot this morning about  
22 computer validation, but it is interesting in the  
23 PAT world because what we see is kind of a marrying  
24 together of process validation, computer validation  
25 and even what is happening from the analytical side

1 for precision and accuracy as we talk about moving  
2 applications really from the laboratory down to the  
3 shop floor.

4           What is neat about this, this is really  
5 exciting stuff. You know, we talked about the  
6 large crowd that we have here today, and I think  
7 everyone is excited about doing this. I think in  
8 many respects this is what PAT and Part 11 is  
9 supposed to be all about. It is supposed to be a  
10 marriage that enables us to move forward. If it is  
11 not doing that, then obviously we have derailed  
12 somewhere and I think we need to figure that out.

13           We want to promote the use of technology  
14 as we go forward and be able to address that. I  
15 think the other thing with this is that in some  
16 respects, as I have worked with Part 11, it is much  
17 easier to build than it is to go back and rebuild.  
18 Some of the areas that we struggle with certainly,  
19 as I think we heard a little bit about this  
20 morning, is legacy systems and what that means.  
21 You know, it is kind of like who wants to go back  
22 and look at that stuff? The exciting part is we  
23 should, if we are doing things correctly, be able  
24 to utilize the Part 11 expectations towards making  
25 a robust and effective process that promotes

1 product quality, patient safety and all those kind  
2 of neat things. That is really what we are talking  
3 about here.

4 I guess from a risk standpoint--I think  
5 there was a question about risk earlier or, gee, if  
6 you had to do something different, what would it  
7 be, I kind of sort out new systems and legacies and  
8 say, you know, the legacies I have been using for  
9 thirty years, to go to Dr. Wingate's presentation  
10 this morning, this GMP thing has been around for a  
11 long time; it probably works okay. Let's focus  
12 attention on the new stuff and try and move  
13 forward. One suggestion is to look at it from that  
14 perspective as well to see what that might  
15 represent.

16 The other point here is that the GMPs were  
17 really developed in the sense of the paper world.  
18 What we are trying to do is look at that relative  
19 to how we implement electronic systems in that  
20 regard. So, it is very interesting because as I  
21 listen to this, we have PAT that is kind of this  
22 core thing and then we have, like, GMPs that are  
23 kind of wrapped around that, then there is the  
24 broader umbrella of Part 11. I am not sure if you  
25 will solve the problem going this way, kind of from

1 the outside in, or from the core, from PAT out.  
2 So, I think it is an interesting kind of an  
3 approach. It is something we have to figure out  
4 but, certainly, as well look at what we are going  
5 to do from a submission standpoint and the enabling  
6 opportunities we certainly need to sort those  
7 questions out.

8           Some of the area that we run into we heard  
9 a little bit about this morning. We talked about  
10 things like data requirements. In this example we  
11 are collecting relatively large amounts of data as  
12 we go through, and there is certainly expectation  
13 to not just hold it but to process it and to  
14 reprocess it over time. If we look at the current  
15 guidance document and those types of things that  
16 are out there--I think there is a draft guidance  
17 document out there from the FDA right now for  
18 comment, it certainly starts to enumerate those  
19 expectations around reprocess ability. The  
20 question is why would we want to do that?

21           If you look at this example, if you look  
22 at the particle size distribution, and the mean,  
23 and deviation, you could probably reprocess that  
24 until you are blue in the face and you are going to  
25 get the same answers. So, is there any basis for

1 having to maintain that data over a period of time?

2 So, those are some of the questions that  
3 we have from a Part 11 perspective, to try to put  
4 some framework around it and, hopefully, we can  
5 talk a bit more or have some questions.

6 DR. MILLER: Essentially that is the  
7 Bristol-Myers Squibb presentation.

8 DR. LAYLOFF: Thank you. Steve, are you  
9 presenting?

10 DR. HAMMOND: I guess so. I have a  
11 question. Bearing in mind your comments about not  
12 wanting to go over the safe harbor during research  
13 parts of it, shall I skip most of my talk and cut  
14 to the end?

15 DR. LAYLOFF: Just give your talk.

16 DR. HAMMOND: I am going to skip through  
17 the middle of my presentation because it does talk  
18 about the development effort and the effect of  
19 validation on that. Maybe I will emphasize at the  
20 end some other concerns that we have.

21 Essentially, I really want to talk about  
22 internal perceptions of validation and the problems  
23 that that might cause in terms of implementing PAT,  
24 either slamming it to the point where it becomes  
25 very difficult to do or even making it impossible



1 to do.

2           Some of my objectives here are to just  
3 look at this idea that PAT is going to take a lot  
4 of resources to implement and, if validation is not  
5 handled very carefully, it will slow it down and it  
6 will stop it.

7           This is the wonderful statement that we  
8 heard from the FDA, that enforcement policy is not  
9 to impede innovation or introduction of new  
10 manufacturing technologies. Great! Let's see that  
11 in writing.

12           [Laughter]

13           I want to describe just a few of these  
14 things. I may be going over a little bit of ground  
15 that we have already covered, but I also want to  
16 talk about the cart before the horse paradigm  
17 because that is one thing we suffer from a lot at  
18 Pfizer where our regulatory group wants us to  
19 almost have a crystal ball, and you can't possibly  
20 do that.

21           I am briefly going to describe the  
22 activities that we get involved in when developing  
23 PAT. I am going to skip very quickly through the  
24 software validation part of it and I am going to  
25 talk about instrument PQ tests and some of the

1 concerns we have for the future where we might see  
2 USP testing applied to on-line sensors which,  
3 again, will make it almost impossible to do what we  
4 need to do.

5           The implementation activities that we go  
6 through--hardware development. We identify an  
7 instrument and we very often can't accept the  
8 instrument as it is off-the-shelf. We have to  
9 persuade the vendors to actually change their  
10 instrument. That is actually the way but because  
11 it is all totally based on science. We can do that  
12 in our own labs and come up with what we need to  
13 do.

14           Software specification is very often easy  
15 to do as well because it can be based on the data  
16 we need to get. The validation issues, and we have  
17 already discussed this morning Part 11 compliance.  
18 The problem is that very often that means that we  
19 have to ask the instrument vendor to submit to an  
20 audit, and the audit very often reveals that they  
21 need to at least write a new version or very often  
22 write their software from scratch. That is a huge  
23 financial burden for some of these companies. At  
24 the moment we are looking at one on-line particle  
25 size technology, not FBRM, but the company says

1 that it is going to cost them a quarter of a  
2 million dollars to write the software that is Part  
3 11 compliant. Will it improve the measurements we  
4 do? No, but it has to be done.

5           There is also system validation during  
6 development where we have to plan and document what  
7 we are going to do. The perception always, within  
8 Pfizer plants, is that we need a full GMP  
9 validation protocol even if we are going to be  
10 developing a system. If it is in the GMP area,  
11 there are no exceptions; it must be the full  
12 protocol. What that does involve though, I have to  
13 say, does vary from plant to plant and country to  
14 country, and I have to say that in Europe they do  
15 tend to be more pragmatic about what that means.  
16 If the document has to be an inch thick in Europe,  
17 then it is almost invariably three inches thick in  
18 the U.S.A.

19           It is not just the software either; it is  
20 the instrument qualification protocols that we have  
21 to perform sometimes when developing this  
22 technology in a lab where we can't actually get at  
23 full scale production lots. Recently we have been  
24 developing these technologies, OEB-4 and OEB-5  
25 technologies where we are not even allowed to go

1 near the real stuff until we get to ICH batches.  
2 Yet, we have validation people asking us to predict  
3 exactly how we are going to manipulate the data  
4 before we have collected any. Well, this is a new  
5 concept for them, that using these modern  
6 chemometric techniques you cannot do that. You get  
7 some data; you look at it and then you decide how  
8 you are going to process it. It is sort of the way  
9 science is done. You collect something and then  
10 you decide what it means, not what it is going to  
11 mean before you collect it.

12 Very often our efforts are slowed or made  
13 a long process by continuing revising and updating  
14 documentation for a GMP area when the data we are  
15 collecting has nothing to do with product release  
16 at all; it is just for the development of a system  
17 that later on will go on to a full manufacturing  
18 facility or be used in a manufacturing facility for  
19 release of a product when we have finished. When  
20 we finish, yes, we undergo what should be our final  
21 validation.

22 I guess this repeats what I have said  
23 before. We are forcing small instrument vendors to  
24 produce software they can't really afford to  
25 produce, which often means they don't do it very

1 well. We go round and round in circles with them  
2 with our auditors going back again and again to  
3 look at what they have done.

4           One of the side effects of Part 11  
5 compliance is these new versions of software, and  
6 it has happened to us that we have a perfectly good  
7 software that runs an instrument and we collect  
8 really good data on it, and then we say, well, no,  
9 you have to go to GMP compliance; we need a new  
10 version of this. We get the new version and then  
11 for six months it is a nightmare running it because  
12 it is full of bugs. Even the best software writing  
13 programs in the world will produce software that  
14 has bugs. So, moving to Part 11 compliance  
15 software can often be a nightmare.

16           I guess the issue with Part 11 compliance  
17 is that it is only temporary. In a few years time  
18 probably it will just become a way of life and then  
19 will not be an issue, but at this point in time,  
20 and I would say for the next two to three years, it  
21 is a serious anchor that we are dragging along in  
22 the development of PAT.

23           Again, often these things are a real issue  
24 not because of the FDA--well, I will come back to  
25 that. They are a problem because of the internal

1 perceptions of our regulatory groups. They have a  
2 fixed idea about what these things mean,  
3 particularly things like qualification of software.  
4 Again to repeat what we talked about this morning,  
5 but a small bug fix in a software can cause a  
6 change control document. Someone has to write it;  
7 someone has to approve it, and so on. Large bug  
8 fixes generally have validation groups say, well,  
9 that is a new version and then they expect us to do  
10 a requalification. Sometimes qualification of  
11 these complex software packages can involve a  
12 week's worth of work, just testing the functions  
13 that you want to use. So, you get a big bug fix  
14 and you are looking at a week for requalifying  
15 these things.

16           Again, the internal regulatory groups want  
17 us to predict data processing protocols. My  
18 colleagues for the last month have been sending me  
19 almost nasty e-mails about when are you going to  
20 tell us what you are going to do with the data. I  
21 write back and say when I get some, I will tell  
22 you.

23           [Laughter]

24           So, this is a "Mission Impossible." They  
25 really looking to have the cart pulling the horse

1 rather than the horse pulling the cart.

2           To summarize, the development of an  
3 on-line blender system in a GMP facility in our  
4 Brookland plant involved about 15 man-weeks in  
5 terms of development of the hardware. We specified  
6 what we needed in the software. It took about a  
7 man week to do that because what we needed was just  
8 additions to existing software. But system  
9 validation protocols, something that we were just  
10 purely developing, took about 101 man-weeks, almost  
11 two man-years. That is really because at every  
12 stage along the way, when we discovered something  
13 and needed to change something we were confronted  
14 with huge amounts of documentation to update, go  
15 before a validation committee and get approved.

16           That is all I want to say about that. I  
17 want to now talk about instrument performance tests  
18 because generally if you use an instrument in your  
19 laboratory there is a defined monograph on how you  
20 prove it is working correctly. For near-infrared  
21 instruments there is a monograph in the USP and in  
22 the AP. They both tell you how to test your  
23 instrument to make sure it is fit for the purpose.

24           These tests generally involve the use of  
25 NIST traceable samples which you present fairly

1 easily to the instrument that is in the lab. But  
2 if you start putting probes and sensors into  
3 reactors, into crystallizers you can't do those  
4 sorts of tests.

5 I have to say that I think that the number  
6 of the tests that are in the USP are based purely  
7 on being able to test the lowest common denominator  
8 of an instrument. They really are based on just  
9 having documentation, a box you can tick; they are  
10 not based on the scientific logic of what the  
11 sensors are going to do. It really frightens me  
12 that we will find the USP type standards being  
13 applied to on-line instruments because in a lot of  
14 cases it is just impossible to do it.

15 We can ask the vendors to come up with  
16 ways of checking their instruments work correctly.  
17 In fact, most of them can do this in an automated  
18 fashion. That would essentially be based on the  
19 scientific principles that we need to prove that  
20 that sensor would work with the samples that we are  
21 going to be looking at, not an arbitrary thing that  
22 is based on availability of standards from NIST or  
23 from other recognized suppliers or standards.

24 The problem is you talk about a  
25 near-infrared instrument nowadays and there are



1 almost ten different varieties and they are very,  
2 very different in their performance, everything  
3 from an FT instrument with really high resolution  
4 to instruments that, again, you couldn't test using  
5 the USP system because they just don't have the  
6 right sort of output for testing using those  
7 standards. So, it is something that really needs  
8 addressing in any guidelines, the wide variety of  
9 instruments that we are going to have out there;  
10 the fact that you are going to have permanent  
11 installations of sensors in processes where you  
12 can't take out the probe every day and look at it  
13 or you are probably in danger of blowing up the  
14 plant.

15 I want to give one example of this. The  
16 USP states that you must test the wave length  
17 accuracy of a near-infrared instrument using a NIST  
18 1920 standard. That is the rate curve, at the top  
19 there. For our processes we are going to use an FT  
20 instrument because we need pretty good resolution.  
21 What we are actually interested in is the distance  
22 between that fine structure in that plot at the  
23 bottom. So, internally at Pfizer, we want to know  
24 about the high resolution of that instrument and  
25 the USP says all we need to do is to test and find

1 the top of the peak on the rate tracks. This is a  
2 very good example of a documentation-based system  
3 that really has nothing to do with science.

4           The interesting thing is that if we stuck  
5 to the USP we would not be allowed to use the  
6 standard that we used for the bottom there, which  
7 is actually water vapor. We must somehow try and  
8 get that NIST traceable 1920 into our instrument,  
9 which is actually contained in a containment  
10 enclosure, to try and tick a box. It tells us  
11 nothing about the performance of the instrument; it  
12 is just a regulatory requirement.

13           We can just about do this for this system  
14 because it is an on-line system but the sample  
15 interface is fairly simple. But when we start to  
16 get into other things in crystallizers, in reactors  
17 we just can't do this and there needs to be some  
18 science brought to bear on instrument performance  
19 tests.

20           Just in conclusion, proper validation of  
21 PAT systems must, of course, be performed. But it  
22 should be done after development is finished, and  
23 information gathered during the various development  
24 stages needs to be considered. The safe harbor  
25 approach, I guess, is one way of looking at that,

1 although the safe harbor approach we generally  
2 thought meant data you collected on a process, not  
3 development data during the development of a  
4 system. 1

5 If we don't take that view, we are going  
6 to drastically slow the progress or the development  
7 of PAT that is actually used in GMP facilities.  
8 What we need is to have the horse pulling the cart  
9 and not the other way round, and be flexible in the  
10 approach to validation development.

11 One thing we need to be very, very careful  
12 of in the future is how we test the performance of  
13 instruments that are inserted into processes. That  
14 really must be left to individual companies,  
15 individual vendors to work out how you do that. We  
16 don't want the documentation-based restrictions of  
17 USP tests. Thank you very much for your attention.

18 DR. LAYLOFF: Thank you very much, Steve.  
19 We will move on now to the next set of  
20 presentations on microbiology. We are going to  
21 split now. Micro people, go across the hall but we  
22 will take a 15-minute break now. It is 1:57 and we  
23 will reconvene at 2:15. Rapid micro across the  
24 hall, PAT people here.

25 [Brief recess]

1 PAT Discussion

2 DR. LAYLOFF: I would like to get started  
3 now. I would like to get started now. One  
4 comment, the USP NIR chapter is number 1119, which  
5 means it is an information chapter. It is not used  
6 in any monograph; it is strictly an information  
7 chapter. On to discussion.

8 DR. MORRIS: One thing that sort of seems  
9 to have been a theme throughout the meetings we  
10 have had is that there are the technical issues to  
11 be dealt with, and we heard a fair amount about  
12 that. I think there are a lot of examples that  
13 companies which have been proactive, like San had  
14 described during his talk for the technical  
15 side--it seems like there is this internal  
16 regulatory group barrier that essentially is going  
17 to, in some way, the customer for the guidance.

18 That is the sort of feel I get. I don't  
19 know if this is my imagination or if I am just too  
20 literal, as they always tell me. It makes me  
21 wonder if the guidance has to be more specific with  
22 respect to some of these issues that Steve, Dave  
23 and Bob have talked about earlier, and Rick alluded  
24 to earlier.

25 DR. LAYLOFF: I don't know, we talked

1 about safe harbor. We talked about the guidance  
2 and, you know, putting the research box in a  
3 different position. But I am not sure what that is  
4 going to mean to the people in regulatory affairs.

5 DR. MORRIS: That is the question, yes.

6 DR. LAYLOFF: I mean, the question is why  
7 are they interpreting that way if the guidance  
8 doesn't require it?

9 MR. FAMULARE: Actually, I think it is  
10 even beyond PAT. Even if you were going to do any  
11 type of development work on an existing process,  
12 just listening to Steve's presentation, you would  
13 face this issue. It is not a PAT issue; it is a  
14 larger issue.

15 DR. KIBBE: It is an issue of culture that  
16 has been developed since 19--whenever the agency  
17 started inspecting. The benefits of being  
18 extremely conservative to the bottom line of the  
19 company have been well documented, and the benefits  
20 to being inventive when it comes to things that are  
21 regulated haven't been shown and what we are going  
22 to end up having to do is, first, the people who  
23 come here and who actually believe the agency  
24 really wants you to do this--and that isn't saying  
25 that the agency is just saying that because

1 Congress is in favor of the agency saying it and  
2 when the Congress changes the agency will go back  
3 to the way it is doing it. We have to go back and  
4 sell it. Then, when the rubber meets the road, if  
5 you will, when the agency actually goes to a  
6 company that has done it, they have to go there as  
7 a partner in the process and walk away saying,  
8 "well, you made some mistakes but we are not going  
9 to stop you because they are not critical. Let's  
10 just keep rolling." Then word gets out and it is  
11 going to take a while. It is just going to take  
12 time.

13 DR. LAYLOFF: We have run into this in  
14 another area, which is on laboratory equipment  
15 validation where you might buy a piece of  
16 laboratory equipment and the validation group may  
17 keep it held up for a year making sure it meets  
18 some specifications. I think part of that is  
19 "blah-blah" from the instrument manufacturers which  
20 infringes on good science. Anyhow, that is another  
21 issue; that is another story.

22 DR. CHIU: I think maybe there are  
23 actually two aspects of this. If you look at the  
24 IND and then go into the NDA, it doesn't seem to be  
25 a problem because you do developing work; you do

1 validation later. Even the three production  
2 batches are validated after the NDA is approved.  
3 So, you know, the reservation that we have to do  
4 validation before we do development--however, it  
5 appears to me that when you make changes  
6 post-approval, you become very conservative and you  
7 have to do validation before you do development. I  
8 don't think that is correct because why can't we  
9 just follow, you know, the IND philosophy for the  
10 post-approval changes? If you have this  
11 misconception, the agency can definitely clarify  
12 this through the guidance and the issue in writing.  
13 So, you always can do development before  
14 validation.

15 DR. LAYLOFF: I think also it is a control  
16 mentality. As you move closer and closer to the  
17 production facility you start moving a culture of  
18 locking everything in place so it will always  
19 behave properly all the time, and that clobbers  
20 you. Like, if you go to McDonald's, they are going  
21 to cook the hamburgers at a certain temperature,  
22 fry them a certain way. If you want to be  
23 innovative and say we are going to drop the  
24 temperature on hamburgers down by ten degrees on  
25 the cooking they will throw you out the door.

1 DR. MORRIS: Just one point, not to be too  
2 critical of the internal regulatory folks because,  
3 for those of you who were at the meeting on Monday,  
4 I mean the reason that, in part, you can have  
5 relatively small statistics sampling result in a  
6 very high quality product, which we have over all  
7 these years, as Art pointed out, means that  
8 conservative approaches have their place.

9 I think the difference that I sort of hear  
10 and sort of intuit is that if you are going to use  
11 a chemometric approach for the ultimate validation  
12 of a process, then by the time you have enough  
13 data, because you have to collect it over a large  
14 number of batches, you may already be a lot further  
15 down the line when you have to make the changes,  
16 and then it is a question--I think this is what you  
17 are saying, Steve--then it is a question of being  
18 able to make those changes more facilely. Is that  
19 correct? Whether it ends up being in the software  
20 or just in the algorithm training.

21 DR. HAMMOND: No, it is before you  
22 actually have to collect any data you have to  
23 forecast what data you are going to collect and how  
24 you are going to manipulate it, and not being  
25 allowed to change anything. It is when you get



1 into a GMP area that is the problem. When you do  
2 research, no, there is no problem. Well, in one  
3 case we have when we were doing ICH batches of a  
4 new product, even then this GMP lead weight fell on  
5 us.

6 DR. MORRIS: But isn't that the same thing  
7 though? I mean, if you are saying that you have to  
8 have--I don't know, a hundred batches before you  
9 really have your chemometrics in hand that you are  
10 being asked to forecast before you have those data?  
11 I think it is really the same issue, and it is one  
12 that I think scientifically is addressed relatively  
13 facilely. I am just not sure that it is internally  
14 viewed--it is obviously not viewed as an easy  
15 process.

16 DR. LAYLOFF: One mike on at a time, and  
17 Steve is going to finish and then Ajaz is going to  
18 comment and then we will go back over here to Joe.

19 DR. HAMMOND: Well, the biggest problem  
20 really is that within the regulatory groups there  
21 isn't an understanding of what we are trying to do.  
22 They look at an on-line analyzer as no different  
23 than an HPLC system which is, of course, not true.  
24 They also don't understand that you need to develop  
25 this actually on a commercial process in the

1 commercial facility. You know, we do that in the  
2 lab and that is another issue. It is just a mind  
3 set.

4 DR. LAYLOFF: Ajaz?

5 DR. HUSSAIN: I think we have understood  
6 the challenge, and I think the guidance will  
7 address this issue. I think we tried to summarize  
8 that at the end of the second meeting. So, I think  
9 the safe harbor, the research exemption, I think  
10 will really alleviate that and then make sure that  
11 that is there. I think the guidance can only do  
12 that much and then I think it will be up to the  
13 company itself to make the case. So, we can't go  
14 beyond that. Those are the limitations I am sort  
15 of expressing.

16 But I just want to go back to the  
17 Bristol-Myers PAT team. I think it is a wonderful  
18 example and I again want to thank them for making  
19 that effort to present that. But if I sort of pose  
20 the question to that team now, you have a  
21 regulatory affairs person; you have the technology  
22 group and you have the entire team together. How  
23 or what did it take to sort of work on some of the  
24 challenges, or was it a challenge at all, at least  
25 internally, for BMS? If they could share that with

1 us.

2 DR. KIANG: The product that we  
3 showed--all I can say is it is a late stage  
4 product. We are doing this because we have some  
5 issue with formulation as well as the isolation of  
6 the product. So, we have a real issue to deal  
7 with. I think we solved the problem to our  
8 satisfaction. So, when we were doing that I think  
9 there was very little concern about regulatory at  
10 that point but, you know, the motivation is to  
11 solve a real problem. I think the consideration of  
12 regulatory and data management comes second. So,  
13 that is how it was. I think it is a very healthy  
14 evolution.

15 DR. LAYLOFF: So, if you have a crisis,  
16 any paddle works.

17 DR. MILLER: That being said, I would like  
18 to add as part of this comment that in regards to  
19 the preparation the backgrounds of the individuals  
20 that are participating are leaders in their regular  
21 work areas and have been sensitized to the PAT  
22 publicity, of course, and where this is going to  
23 go, and it is pretty much a good spirit, we feel,  
24 within the corporation across quality lines,  
25 develop lines, research lines, technology lines,

1 regulatory lines, and there is anticipation, high  
2 anticipation throughout, we can say, the corporate  
3 world but down to the manager levels across these  
4 groups and that takes some persuasion and it takes  
5 internal and external presentations and publication  
6 to get the word out.

7           Then, you know, there is the hope to also  
8 benefit the corporation in each one of these  
9 disciplines and technical endeavors to take  
10 advantage of this opportunity. Very clearly, that  
11 is how we view it, and we are viewing it across the  
12 board. I can say that I don't sense we have any  
13 fiefdoms with regard to this whatsoever at  
14 Bristol-Myers Squibb. In fact, we have a loose  
15 group representing roughly 25 segments of the  
16 corporation, crossing all kinds of disciplines  
17 within the U.S. at this time. They will be tied  
18 together in a group that will be, on a routine  
19 basis, working together in some parallel, some  
20 non-parallel activities but San and I are  
21 responsible to move this group ahead in a  
22 leadership way to manage these resources and  
23 thinking of where and how we can employ our skills  
24 and talents for the benefit of the corporation.

25           I think it has to be that kind of spirit

1 which is internal and external. Without that kind  
2 of big point of view and smaller points of view,  
3 this could get lost and not be acted upon in an  
4 efficient and effective way. So, we try to resolve  
5 some of these questions that have been posed as  
6 road blocks and stumbling blocks by this means of  
7 communication.

8 I think that is a key summary. We are  
9 looking for some challenges from this committee and  
10 anyone with regard to some specific questions that  
11 were posed during that presentation. We have away  
12 to that question period this morning was to  
13 facilitate the other speakers. So we want to get  
14 some feedback, if not at this very moment, within  
15 the next few moments so that we can respond.

16 DR. LAYLOFF: Leon, then Gloria.

17 DR. LACHMAN: Can I ask Ron, this was an  
18 excellent presentation from an R&D development  
19 point of view. Now, do you plan to extend this to  
20 routine in-process quality control?

21 DR. KIANG: What we show is an approach  
22 to understanding crystallization and the control of  
23 the physical attributes of the API or drug  
24 substance. So, I think the approach is a general  
25 one. I think it is not specific to this product.

1 Some of the other things we do, like molecular  
2 modeling and microscopic examination, may go  
3 further than we usually would do, but I think the  
4 PAT application allows you to understand the  
5 process but it is general for other types of  
6 similar processes.

7 DR. LACHMAN: Do you use this for routine  
8 API production to monitor the crystallization in  
9 the process?

10 DR. KIANG: Personally, I am not sure if  
11 this needs to be routine.

12 DR. LACHMAN: Okay.

13 DR. KIANG: It opens the question of what  
14 is process validation. Do I need to monitor after  
15 I, you know, do certain things to show I can  
16 reproduce it? So, it is a question that I am not  
17 sure I know the answer to.

18 DR. LACHMAN: I think if you use it up to  
19 that point there is less of a regulatory problem.  
20 It is a problem when you start using it on routine  
21 in-process quality control and optimizing the  
22 process even further as you get more batches made,  
23 and you may have to do tweaking later on. That is  
24 where I see the difficulties coming in and  
25 regulatory change in thinking that is needed.

1 DR. LAYLOFF: Gloria?

2 DR. ANDERSON: That was impressive data  
3 that you gave on the crystallization and all of the  
4 other things. That was a salt that you were using.  
5 Have you done any similar work on non-ionic  
6 compounds? If you have, how do they behave?

7 DR. KIANG: I think most of the drug  
8 substance we work with usually, for practical  
9 purposes, are some kind of a salt. So, I have no  
10 personal experience in scaling up a non-salt.

11 DR. ANDERSON: So, if someone wanted to  
12 adopt the procedure, they would have to work out  
13 the details of the non-ionic?

14 DR. KIANG: It would be only different in  
15 the way you introduce crystallization, but I think  
16 the approach of using FBRM to monitor particle size  
17 would be the same because you crystallize by  
18 changing the solubility. You increase the  
19 solubility and the material comes out of solution.  
20 It is must thermodynamics.

21 DR. ANDERSON: The problem is the  
22 attractive forces are different when you get those  
23 other kinds of molecules. I have another question.  
24 On your first page, I guess it was slide one, you  
25 list some PAT applications, and you have reaction

1 monitoring. I think I understand what endpoint  
2 determination is and kinetics and mechanism. What  
3 is control of selectivity?

4 DR. KIANG: This refers to monitoring of  
5 reactions. Using some of the PAT instruments, for  
6 example FTIR, you can monitor the reaction itself.  
7 But in the process of monitoring the main  
8 reaction--not all reactions go 100 percent to one  
9 compound. There are many times when side reactions  
10 can happen. So, by using in-line monitors such as  
11 FTIR, you control the reaction profile, hopefully,  
12 to minimize the formation of byproducts.

13 DR. ANDERSON: Well, how does that differ  
14 from endpoint determination?

15 DR. KIANG: Endpoint determination is a  
16 single point indication that your reaction is  
17 finished. It doesn't mean that you have a  
18 distribution profile.

19 DR. ANDERSON: When we finish can I talk  
20 to you about this control selectivity?

21 DR. KIANG: Yes.

22 DR. ANDERSON: Because I don't understand  
23 how FTIR could do this.

24 DR. KIANG: No problem.

25 DR. LAYLOFF: Ajaz?



1 DR. HUSSAIN: I have sort of two  
2 questions, and that question is directed at the BMS  
3 PAT team and also, in some ways, to Steve Hammond  
4 because I have seen some very similar data from  
5 Steve on crystallization monitoring and sort of  
6 endpoint and actually targeting that to get a  
7 desired particle size range.

8 The two questions I have are--and I will  
9 just state the questions so you can answer them one  
10 at a time--the issue of a representative sample.  
11 When you do this on a routine basis what are the  
12 challenges in sort of justifying or making sure  
13 that what the probe is seeing on a routine basis is  
14 reflective of the process?

15 The second is sort of a question as to how  
16 does this on-line add value, especially in terms of  
17 building confidence in the process, compared to an  
18 off-line test where the sample size is a few grams  
19 or a few milligrams, and from a large bulk how do  
20 those few milligrams represent the entire batch?  
21 So.

22 DR. HAMMOND: Well, in terms of the FBRM  
23 system it actually depends on the suspension that  
24 you are measuring moving. So, you are actually  
25 agitating it and over a period of just a few

1 seconds you probably see several million particles.  
2 So, in terms of getting a good representative  
3 measurement, FBRM is actually hugely better than  
4 doing an off-line test. I don't think there is any  
5 doubt about that at all.

6 One of the problems with FBRM is when you  
7 do it in a pilot scale reactor it is very easy.  
8 You can put that probe straight into the tank, but  
9 you when get a 2000 gallon reactor and you get the  
10 probe into the reaction mixture, that is a big  
11 issue and that is something that we are working on  
12 at the moment. How do you get a representative  
13 sample if you start to put it in the recirculation  
14 loop, that is an issue. But generally those  
15 measurements are much, much better in terms of  
16 their representative sample.

17 DR. KIANG: In order to put one of these  
18 FBRM probes into a vessel of any size, I think it  
19 requires some understanding of the mechanism of  
20 mixing in the vessel of your size. So, that really  
21 requires some engineering work and thinking. You  
22 can do it theoretically, but I think with  
23 experience--for example, I showed a picture of one  
24 of these probes in a 2000 L vessel, and we have an  
25 idea where the area of good mixing is.

1           Also, we do two other things. One is,  
2 obviously, we calibrate the probe before we stick  
3 it in. But in the vessel, and knowing the process,  
4 you know many things. You know, for example, the  
5 number of particles that you should be counting in  
6 any given stage of crystallization, and we do  
7 compare that with actual expert database in a  
8 sense. We also vary the positioning of the probe  
9 within the vessel to show that you get a  
10 reproducible and consistent result.

11           So, all those are done during the stage of  
12 implementation in any of these scales. In this  
13 particular case, there happens to be a story at the  
14 end and we show that there is no difference between  
15 15 L and up to manufacturing of 4000 L. In many  
16 cases that may not be the same. So, we deal with  
17 it case by case.

18           DR. HUSSAIN: Just to sort of follow-up on  
19 that, when I talk about PAT, for instance, I always  
20 have to keep reminding myself that it is part of a  
21 system. I think what I want to express to you is  
22 sort of the concept that PAT in a vessel and  
23 representative sample collection process depends  
24 not only on the position but also on the flow and  
25 other parameters of that process. The reason I am

1 saying that is because if you had a range of  
2 particle sizes and particle densities, what comes  
3 before the probe will depend on the flow and the  
4 mixing process. So, we cannot look at the PAT in  
5 isolation from the rest of the process.

6           That actually challenges us to think in a  
7 systematic way, in a systems-based approach way,  
8 and I think that is the good part of it. But it  
9 also poses challenges for validation. Validation  
10 of that measurement itself, from that perspective  
11 there are two challenges. One is if we propose  
12 that validation would be comparison of samples  
13 collected and microscopic examination, is that a  
14 valid comparison to start with?

15           The second question is shouldn't the  
16 validation be then based on how does that  
17 measurement relate to the performance of that  
18 material? Wouldn't that be a better way of  
19 validating that measurement? Because the challenge  
20 would be validation from sort of that perspective.

21           Then the second question is when you start  
22 to set specifications, our specifications then tend  
23 to assume more often absolute specifications. When  
24 you have particle size you have this method, so  
25 this is the particle size. Here, the measurement

1 may be related to that but may not be absolutely  
2 due to that. So, could you comment on that?

3 DR. KIANG: Yes, I think it is, you know,  
4 just simplistic to assume that sticking a probe in  
5 a vessel is going to give you representative  
6 samples all the time. I think, like Steve  
7 suggested, you might try, especially in the  
8 beginning of implementation, different  
9 configurations. You can put three probes in at  
10 different depths. One common technique obviously,  
11 which we learned from gaining a representative pH  
12 from a vessel, is to design a circulation loop.  
13 So, most of the time that gives it to you a little  
14 bit better. But, you know, what we do in this  
15 process is that we continuously take microscopic  
16 pictures of the slurry where we actually measure.  
17 You know, the principle of working with FBRM is  
18 pretty much shake dependent, as you noticed. So, a  
19 needle might have bimodal distribution just because  
20 of the narrow end and the long end.

21 So, the measurement of particle size is a  
22 controversial one and you can bias it one way or  
23 the other by massaging the data or using the kind  
24 of technique you use. You can use sieves which  
25 pharmacists like. You can use FBRM. You can use a

1 lot of other techniques. So, I think it goes back  
2 to your original question, it is what is being  
3 used. I think it very much depends on how you  
4 calibrate. Some crystals are heavier and they will  
5 settle and there is no way you can have an accurate  
6 measurement.

7 DR. HUSSAIN: No, I think the point is  
8 well taken, but the other aspect is with respect to  
9 particle size analysis. We don't have good ways of  
10 comparing particle size by sieving, and so forth,  
11 today because the methods themselves can create  
12 artifacts to start with, at the same time, I think  
13 how we count the particles and how we calculate the  
14 diameters, and so forth, are subjective. So, we  
15 have to recognize the limitations of what we think  
16 are gold standards and sort of keep that in mind as  
17 we look at the new technologies.

18 DR. LAYLOFF: Leon?

19 DR. LACHMAN: Can I ask the BMS group, in  
20 your studies did you use multi probes in the  
21 scale-up tanks to see which area of the tank takes  
22 the longest to get the distribution or the particle  
23 size uniformity?

24 DR. KIANG: We did not use particle probes  
25 at the same time. We varied the location of the

1 probe at different parts of the vessel.

2 DR. LACHMAN: You weren't able to  
3 determine, from a time point of view, how much time  
4 it would take to get the distribution to be  
5 consistent through the tank?

6 DR. KIANG: The process is a transient one  
7 because we are trying to monitor a kinetic process.  
8 We are trying to measure both the number and size  
9 of particles. So, there is an endpoint but the  
10 system is changing all the time so there cannot be  
11 a consistency check. Only by comparing with  
12 laboratory data do you have some indication that  
13 you are doing the right thing and the same thing at  
14 different scales.

15 DR. LACHMAN: But isn't there an  
16 equilibrium point after a time period where the  
17 particle size will not change?

18 DR. KIANG: That is right. That is the  
19 end of crystallization, and we did show that in one  
20 of the slides. You know, at all scales they call  
21 come to the same endpoint.

22 DR. LACHMAN: But could you say that for  
23 the entire tank distribution?

24 DR. KIANG: We are inferring that. We are  
25 saying that the location that we put the probe in

1 is representative of the entire vessel.

2 DR. LACHMAN: You have done some initial  
3 work to demonstrate that that is the case?

4 DR. KIANG: Yes, we did that by varying  
5 the location of the probe, and also from our own  
6 experience during process development we know, for  
7 example, the number of counts we will have at every  
8 stage, and it has to match that.

9 DR. COHEN: I have a question regarding  
10 the actual data part of it. Let's assume that we  
11 actually went ahead and validated the scientific  
12 basis for your method and we are now in production.  
13 You actually did talk about the production scale  
14 results of a vat of 4000 L. You go ahead and  
15 generate the data, and you have the graphs at the  
16 endpoint and now you determine, based on those,  
17 that the product quality is appropriate for  
18 release. What do you do with all the data that you  
19 generated to get these graphs, and how much of it  
20 do you have to keep and how long do you have to  
21 keep it? The question would be posed, first of all  
22 to Glenn as far as what do you intend to do and  
23 what did you do? But also maybe for Ajaz to point  
24 to some of the people on the FDA side as far as  
25 what is your thinking that we should do?



1           MR. THOMSON: I think as far as the amount  
2 of data to keep, we keep it all. There are reports  
3 that go out, those types of things but essentially  
4 that is part of the question. You know, we are  
5 keeping it all but the question that we have is  
6 why. Is it really necessary that we keep it all  
7 from a scientific basis, particularly because if  
8 you go back and reprocess it you essentially have  
9 the same results? So, those are the questions.  
10 But right now we keep it and that is part of the  
11 expectation. But that is one of the things that I  
12 think we are hoping for some feedback on as to what  
13 we should do in the longer term and how we might  
14 want to address that.

15           MR. FAMULARE: That is the question that  
16 we keep circulating around. At one point I thought  
17 that Steve had proposed it and actually answered it  
18 for himself, and I guess Bob a little bit as well,  
19 that the capacity is there to keep the data in  
20 terms of technology. Storage space isn't really  
21 the problem.

22           But what happens over time when the data  
23 has to migrate? I don't have a magic answer for  
24 that. I know that what I called reformed and John  
25 Murray corrected me and it is reformulated--I

1 certainly didn't mean to reform John, but the group  
2 in existence before Part 11 actually attempted an  
3 archiving called maintenance of records document.  
4 Again, it goes through some proposals in terms of  
5 different options of storing data, including  
6 migration and so forth, and it doesn't sound like  
7 that provided all the answers that folks needed.

8           Actually, we just had a short discussion  
9 off-line, and the way I was trying to go this  
10 morning is that what would we normally expect to be  
11 kept as a record in terms of a GMP record, the  
12 predicate rule? I think we always have to keep  
13 that in focus. There is a little bit different  
14 problem when you really want to preserve the data  
15 for a long period of time because of your  
16 development work and, you know, what will happen if  
17 the system that brought that data up twenty years  
18 from now doesn't exist. I don't know that I have  
19 that answer, but at least in a routine GMP type of  
20 basis I think we have to really--and I have said  
21 this earlier--establish what you need in a batch  
22 record; what are the critical steps that you need  
23 to record. Once you set that down, pretty much as  
24 John discussed, if you set your parameters first,  
25 then I think you could answer those questions

1 logically for risk-based, criticality and those  
2 other factors there. I think FDA needs to look at  
3 that too from a reasonable standpoint, with it is  
4 the overall application of GMPs or the underlying  
5 Part 11. It is something, quite frankly, we are  
6 struggling with ourselves in terms of what to give  
7 you back as a clear message. That is something  
8 that a larger group, in terms of Part 11, is, of  
9 course, tackling under the GMP initiative.

10 DR. LAYLOFF: We will have Eva first.

11 DR. SEVICK-MURACA: I have a question for  
12 you. You utilized this PAT just to get an  
13 understanding of your manufacturing process.  
14 Right? It was not to dictate the quality of a  
15 batch, or did not impact the decision to release  
16 drug? Right?

17 DR. KIANG: Absolutely. This is pre-NDA.

18 DR. SEVICK-MURACA: Therefore, you were  
19 not necessarily concerned with the regulatory  
20 aspects of this instrument, other than it did not  
21 impact the process itself on a commercial line? Is  
22 that correct?

23 DR. KIANG: Right.

24 DR. SEVICK-MURACA: And that is consistent  
25 with FDA? That is fine. So, how is it that we

1 can't get internal regulatory groups to understand  
2 that this is something that the FDA encourages? Is  
3 there any possibility that, as with other  
4 regulatory agencies, we have a facilitator at the  
5 FDA that helps us so that we can overcome some of  
6 these internal--

7 [Laughter]

8 You are the facilitator? So, if I come  
9 with a new technology and a number of companies are  
10 interested but say that they would like to solve  
11 some of their problems under the GMP environment  
12 but they can't do it, you would be able to help me  
13 out so that I might be able to help them? Who is  
14 the facilitator?

15 DR. HUSSAIN: We just keep going back to  
16 this and I just want to get over this and move on.  
17 Well, I think FDA has done probably all it can.  
18 Let me just sort of reiterate in essence what we  
19 have done. We said, all right, there are perceived  
20 and real regulatory hurdles. We wanted to examine  
21 that and we did that in the first two meetings.  
22 Based on that, we said I think that the real  
23 hurdle, if any, is the concern that we do not have  
24 the right amount of training. So, we focused on  
25 the training for our internal folks.

1           So, training is a key. Because of that, I  
2 think we have created a team approach so that the  
3 review and the inspection side are on the same  
4 page. We also have a situation where you have a  
5 focal point for PAT so at least the agency is  
6 speaking with one voice and you are not going to  
7 get different signals.

8           Beyond that, I think the guidance will  
9 outline the research exemption, safe harbor  
10 concept. I think what BMS has done had no  
11 regulatory impact on that at all, but the  
12 regulatory impact will only come if they were  
13 trying to collect data on an existing line, which  
14 is a commercial line, and they wanted to optimize  
15 that. We will work with them to make sure that  
16 that is consistent.

17           The only concern I think we may have in  
18 the first meeting discussion point would be does  
19 that adversely impact the ongoing process. If it  
20 does not, then I think the protocol essentially  
21 would define that they will be collecting the data  
22 as research data and all regulatory decisions will  
23 be made only on the established regulatory method  
24 so they don't have an adverse impact of that. When  
25 they do validation on their research, that is their

1 business, and so forth. So, I think we have done  
2 all we can. Anything beyond that, I don't see what  
3 we can do.

4 DR. MORRIS: Just a comment, I think we  
5 have sort of been posing the question of how do you  
6 engage the internal regulatory group but I think  
7 you guys already have. I think it has been done at  
8 least once. So, this sort of springs hopeful.

9 The other point I just wanted to touch on  
10 was that similarly, I think with respect to data  
11 retention, I mean if you really have concerns that  
12 your software is going to be out of data in five  
13 years, which it undoubtedly will be, if not less  
14 than that, this is where the spectroscopists for  
15 years have been saving ASCII files so that they are  
16 sort of independent. Then you have to make sure  
17 you don't change the level of magnetization or  
18 something, but other than that.

19 DR. HUSSAIN: I think this is not a unique  
20 problem to PAT. This is a common problem. In  
21 fact, there is an ASTM standard being developed for  
22 that. There is a whole group development for  
23 archiving chemical structures, XML and so forth.  
24 There are all sort of activities. You are not  
25 unique in that.

1           I think what is unique to PAT for data  
2    archiving purposes is what do we keep? Because we  
3    are collecting a lot of information. So, the  
4    question becomes what is, from a regulatory sense,  
5    not from a business and R&D sense, is to keep for  
6    regulatory purposes? I think the discussion this  
7    morning sort of evolved, at least in my mind, was  
8    that the predicate rule I think is the defining  
9    criteria in terms of not only the time for keeping  
10   something as well as what to keep.

11           At the same time, I think the complication  
12   is the definition of an electronic record and the  
13   definition of a paper record. There are  
14   differences. In fact, we don't have a definition  
15   of a paper record. So, that is where the problem  
16   starts.

17           [Laughter]

18           I think as we start working towards this,  
19   and I think Joe and his group will sort of be  
20   working on that for all aspects, not just PAT so  
21   that will happen in parallel, but I think a  
22   risk-based approach to what we keep and what we  
23   keep for the purposes of making decisions as well  
24   as for archiving--somebody mentioned this morning I  
25   think we need to look at risk base from a recall

1 perspective. What information would be necessary  
2 at the time of recall if, unfortunately, we have a  
3 recall? So, that would be sort of one way of  
4 thinking about the long-term storage of this data,  
5 long-term in the sense of shelf life or whatever.

6 At the same time, I did make the proposal,  
7 which is not totally consistent with Part 11 but I  
8 think we need to look at that, and that is what  
9 information is being used to release a batch? I  
10 think it may not be consistent with what we might  
11 perceive but I think if it makes logical sense we  
12 will pursue that and then sort of see what needs to  
13 be done to make it consistent.

14 The aspect is this, in a sense you have  
15 data streams that come through and that could be  
16 saved in some form, but what becomes a batch  
17 record, at the time of release of a batch what does  
18 the QC department need to make that decision to  
19 release that batch? What is the summary  
20 information that will be recorded as a batch  
21 record? It could be something that we also look  
22 at, and how long should that be saved, and what do  
23 we do with the rest of the data? So, we will sort  
24 of look through this.

25 DR. LAYLOFF: A couple of things. I think



1 the PAT has the paradigm shift which says you look  
2 at consistency and uniformity tied to product  
3 performance rather than using univariate snapshots.  
4 I think also the records required to release the  
5 product are one aspect. The other one is what do  
6 you require for a kappa if there is a problem? How  
7 do you reach back into the data system and pull a  
8 corrective action to adjust it? Because you do  
9 have all that power on the data stream that should  
10 allow you to reach back very far into the system.  
11 So, I think you may want to keep it, not so much  
12 for FDA use, but for your own use. If you look at  
13 the process as a system, you set out the system to  
14 yield a product and then you accumulate data on it  
15 and you then want to have enough data to be able to  
16 pull a kappa. If you dump too much data you can't  
17 catch it.

18 MR. FAMULARE: The GMP has the annual  
19 record review requirement. Of course, all this was  
20 written in the paper world but it was not written  
21 in the sense to require you to keep additional  
22 records beyond the GMP records in order to do that  
23 evaluation. You know, it is under records and  
24 reports, a review to determine trends,  
25 problems--that is not the exact wording--etc.

1 Under PAT or any of these other paradigms it should  
2 also be doable, but it doesn't have to be doable  
3 from the sense that you have to keep records  
4 required beyond what is required for a batch  
5 record. I think we have said that now about four  
6 different ways. It is just that the implementation  
7 I think is troublesome.

8 DR. KIANG: I have another suggestion for  
9 data management. It seems like if you use PAT to  
10 understand your process you have a lot of data to  
11 collect. Let's get back to engineering science.  
12 You know, when use PAT you are measuring kinetics,  
13 rate of changes whether you are changing crystal  
14 size, you are changing the blending, the profile is  
15 changing. You can store all the raw data you want.  
16 Eva, you are an engineer. You understand that the  
17 ultimate understanding of a process goes back to  
18 the ability to model and simulate the phenomena.

19 With our presentation, it is possible with  
20 the data we monitor to construct a simulation to  
21 predict what will happen. That is the ultimate  
22 information. Right? We are going to separate data  
23 versus information. So, you can store all the raw  
24 data you want and keep it for as many years as you  
25 want but if you are able to distil that into a

1 simulation model I think we have achieved the goal  
2 of understanding the process.

3 DR. UPADRASHTA: As I saw San's  
4 presentation develop I kept wondering if we were  
5 going to do a disservice to PAT by showing you the  
6 presentation we showed you today because, frankly,  
7 it all worked great. So, I have basically two  
8 aspects of the same question. What if, as we went  
9 from the lab to pilot scale to commercial scale  
10 those lines didn't align the way they did? And,  
11 how would we treat that?

12 Number two, what if we were releasing it,  
13 say, based on the percentage below 25 microns, or  
14 whatever and we see variations from batch to batch  
15 that we didn't know were there? Have we shot  
16 ourselves in the foot here? I think this is one  
17 place where if I were looking for guidance from the  
18 FDA, this goes beyond research exemption. You  
19 know, how are we going to handle that situation?

20 DR. LAYLOFF: Thank you. Eva?

21 DR. SEVICK-MURACA: That is the question  
22 that I was having. It is great that you got the  
23 results that you got, but what if you did not get  
24 the results? Excuse me, you and I know there is no  
25 such thing as that perfect model and there are

1 always going to be errors; it is just a matter of  
2 how closely you look. So, now we are starting to  
3 use these new technologies and we are gaining more  
4 information. So, how does this impact? If you put  
5 this on a product line and you get something you  
6 didn't know, what does the FDA say about that? It  
7 is still safe harbor; I understand that.

8 DR. HUSSAIN: The issue is simply this, in  
9 a sense what do we want to do? I think we all want  
10 to do the right thing. If you find some problem  
11 that exists because of new technology, we have  
12 dealt with that and I think Yuan-yuan Chiu would  
13 like to say something about that and Joe also.

14 I think we have to reflect back. We know  
15 there is a problem. We don't want to know there is  
16 a problem because the product is working. Keep  
17 that in mind. At the time of approval, the current  
18 product was fit for intended use so we have defined  
19 that. Any other variability that we find, I think  
20 it is best to sort of improve on that but not  
21 penalize that. So, the safe harbor concept  
22 essentially says as part of the approval process,  
23 yes, this is what was fit for intended use; this is  
24 what the clinical trials were based on; this is  
25 what the approval decision was based on. Now we

1 find that we have an opportunity to improve it  
2 further, so why not? But to improve it further you  
3 don't have to penalize.

4 DR. CHIU: I thought that the PAT has two  
5 purposes. One is in-process control so you sort of  
6 like have feedback. So, if you see something like  
7 blending that is not going in the right direction,  
8 during the process you may be able to adjust  
9 certain parameters. The second purpose is to make  
10 sure the end product, the blend is uniform or the  
11 crystals have the same particle size in the range  
12 you are looking for.

13 So, for regulatory purposes we are more  
14 interested in the end part because we are  
15 interested in the performance of the final product.  
16 But from a manufacturing perspective, you are also  
17 interested in the process so, therefore, you can  
18 adjust. You have feedback so, therefore, you will  
19 reach the endpoint.

20 So, in terms of what data you need to  
21 choose to keep, I think the data for the end  
22 product and intermediate is proper. I think that  
23 is absolutely essential. But in terms of  
24 in-process and what data you need to keep will  
25 depend on what the process is and what the product

1 is. So, you have to identify the critical endpoint  
2 and you will need to know certain data in order to  
3 trace back in the future, to look at the trend and  
4 to look which direction will give you the best end  
5 product. So, from a regulatory point of view I  
6 think the most important part is the end product,  
7 data to support the end product or the  
8 intermediate.

9 DR. LAYLOFF: Art?

10 DR. KIBBE: Let measure just say, first,  
11 we can bias the way we look at information by  
12 giving it a label. If we put a new system in place  
13 or one that seems to be working well enough to get  
14 a quality product and we find variation we didn't  
15 see before and we call it a problem, it is a  
16 problem. If we call it a variation that was  
17 undetected, it is not a problem. I mean,  
18 Heisenberg told us we can't know anything  
19 absolutely so we have to get over that.

20 [Laughter]

21 And PAT is a way of getting us closer to  
22 six sigma because what we are doing is looking more  
23 closely at the variability. We are getting a  
24 better statistical handle on variability. We are  
25 getting better confidence in the output and we

1 don't need to change to goal posts that the output  
2 has to go through. We just use this to the best  
3 benefit of the manufacturer in terms of making sure  
4 that they have less batch failures; they have less  
5 problems meeting their end goal. So, when we apply  
6 PAT we are not looking for new problems to uncover.  
7 We are looking for improvements in the system to  
8 get to the same goal post. Okay?

9 DR. LAYLOFF: We will go with Joe and then  
10 Ken.

11 MR. FAMULARE: I think my FDA colleagues  
12 already reflected much of what I had to say. It is  
13 just that we should realize that, as Ajaz said, we  
14 have already established that the current paradigm  
15 is suitable for its intended use. So, if a company  
16 is to bring on PAT on an existing process the idea  
17 would be, if it came out as the Bristol-Myers  
18 example with everything overlapping; everything  
19 consistent, that is good. You know you are headed  
20 in the right direction. But if it doesn't overlap  
21 like that, how can you optimize that process? How  
22 can you better improve your process?

23 This brings things to bear or to light  
24 beyond what you have traditionally been doing for  
25 process validation. It brings to bear on how to

1 deal with root causes when you expend so many hours  
2 on out of specification results, recalls, recalls  
3 based on dissolution. We heard what the number one  
4 reason was yesterday and now I am bringing up the  
5 number two reason, dissolution failures.

6           So, I think you have to look at it from  
7 positive motivation as opposed to what will happen  
8 if we do it and FDA sees that there is a variation.  
9 You know, there is variation. Now you are going to  
10 be able to quantify and identify it and, if  
11 possible, at least to have an explanation for root  
12 cause failures that are unexplained or to put  
13 things in place that can better control the process  
14 or the cost to manufacturing.

15           DR. MORRIS: That was sort of my point  
16 too. To San's point, buried in the signature of  
17 the data you collect are the elements that need to  
18 be addressed and if you can tie those back to  
19 specification properties--sort of what Joe and you  
20 were saying, Art, then this gives you the ability  
21 to not only refine your model but to go back and  
22 look at what really are process critical control  
23 points because at the end of the day if you don't  
24 have those you are not going to improve it anyway.

25           MR. COOLEY: One of the questions, and



1 maybe I missed it during your presentation and  
2 maybe you could expand a little bit on it, you  
3 obviously went through and tried to identify what  
4 were the critical attributes that affected particle  
5 size and particle size distribution, but in the  
6 presentation I didn't see if you are proposing a  
7 new control scheme at production scale that will  
8 address and provide a feedback means of controlling  
9 within that distribution to make sure you have a  
10 good product, or what was the plan once you go into  
11 manufacturing?

12 DR. KIANG: We have demonstrated this  
13 process at a manufacturing scale. In this  
14 particular case the process critical control  
15 parameter is the additional rate of the acid which  
16 induces the crystallization. Now, in the event, if  
17 we actually implement this consistently in  
18 manufacturing and if during crystallization you do  
19 see a variation, then there is a control of the  
20 acid rate. So, there is the link there but it is  
21 not necessary in our case. It is kind of  
22 internally taken care of by designing the five  
23 stages of acid addition. But, you know, not all  
24 processes work like that. In some other  
25 crystallization procedure that may be the case.

1 You might take the feedback loop to control heating  
2 and cooling of the solution, or that kind of stuff.

3 MR. COOLEY: You have determined what the  
4 optimum rate is to get to that particle size, but  
5 is there a plan that if you start seeing your  
6 particle size distribution shift one way or the  
7 other that you would modify on the fly what that  
8 addition rate is to try and bring it back into your  
9 gold standard?

10 DR. KIANG: That is one of the things you  
11 can do, yes. The addition rate, in crystallization  
12 jargon is a cubic addition. It is actually  
13 designed to minimize the effect on agitation. It  
14 is a classical way to seed and, therefore, allow  
15 the initial crystallization to be in the growth  
16 mode rather than the nucleation mode. In a sense,  
17 that minimizes a lot of scale issues. But you are  
18 absolutely correct, if there are deviations from  
19 the desired outcome, changing the addition rate of  
20 the acid is one way to do it. There are other  
21 techniques but, you know, I don't want to get into  
22 that now.

23 DR. LAYLOFF: Ajaz?

24 DR. HUSSAIN: To sort of work off that  
25 example, if the critical control point here is the

1 rate of addition of acid to initiate the  
2 crystallization process, the rate is controlled by  
3 the flow, or whatever. So, you already have a  
4 controller on the rate of addition of that acid.  
5 So, having an on-line monitor for a crystallization  
6 process would be a redundant system but that is the  
7 redundancy that you sort of build on that.

8           The second redundant system could then  
9 rely and provide information to do two things. One  
10 is to make sure that the process worked. Also, you  
11 may not have to do an end product release test for  
12 that if you can correlate it to that.

13           The second aspect is if, for example, as a  
14 redundant system if there was a kappa or there was  
15 an event that led to certain changes in the rate of  
16 addition of acid, suppose there was a failure  
17 there, the redundant system would be sort of a  
18 backup system to sort of recognize that and correct  
19 for that failure. For example, if I now add  
20 another variable to it, or if I work on Steve's  
21 example that he shared with us before, if now you  
22 are aiming for minimizing fine particles in your  
23 vessel, one of the techniques that Steve showed was  
24 to reheat to make sure that the small particles are  
25 gone.

1           So, now you can sort of have the second  
2 redundant system doing much more than that. It is  
3 not only a redundant system but it also brings into  
4 play a second level mechanism, or whatever, a  
5 control mechanism to make sure the particles were  
6 what we wanted. So, there are many different  
7 variations of what this could do. I think what is  
8 appropriate for a given system would depend on what  
9 the system is. It will be a case by case decision  
10 whether to do this in what range of applications.  
11 The validation then would sort of vary with the  
12 application.

13           DR. KIANG: If we did not have this  
14 monitor, what we would have done is tell the plant  
15 these are the five addition rates you have to use  
16 during these five hours, or whatever. If anything  
17 goes wrong and, say, the particle size is too  
18 small, then you cannot release the batch. But with  
19 PAT in place you can actually do something about  
20 it. That is key. You know, at the end of the  
21 batch there is nothing you can do other than rework  
22 the batch. In this case we can do something about  
23 it and very likely we can save the batch from being  
24 rejected.

25           DR. RUDD: Could I just have a go at

1 creating a "what if" scenario, and it is a bit  
2 stronger than "what if." It is actually a scenario  
3 that I believe we are in within GSK at the minute,  
4 kind of on the theme that I have been hearing. It  
5 goes back to some of the work that we have been  
6 doing using acoustic monitoring for tablet  
7 granulation processes.

8           The way it goes for most of the products  
9 we have been looking at, this idea of scale-up, we  
10 have to kind of move away from our idea of  
11 overlaying traces. If you look at the typical  
12 acoustics signature that you get at a given scale  
13 for most tablet formulation processes, it is a wavy  
14 line. It is kind of like a spectrum but it is not  
15 a spectrum. It is just a signal against time.

16           This will be heresy, I know, but if you  
17 run that process under so-called identical  
18 conditions you do not get lines that overlay. It  
19 is just a feature of the signal and, dare I say,  
20 pardon the pun, the noise in the signal. But what  
21 you do see are repeatable and reproducible  
22 features. Imagine lines that don't overlay but  
23 certain characteristics, points of inflection,  
24 these things turn up reproducibly.

25           What we have found is that if during the

1 development you establish the kind of features, the  
2 signature which is the fingerprint, the term we  
3 have been using, if you can establish that during  
4 development you can use that as your endpoint  
5 determinant. So, if you then change scale once  
6 again you will get a line and it will not overlay  
7 with the line you got on the previous scale but  
8 there are regular and consistent features that will  
9 appear. So, you have the model, the endpoint to  
10 work towards.

11           What we have been finding, and I will be  
12 talking about this at the IVT conference on Friday,  
13 is that granulation processes are critically  
14 dependent on the quality of input raw materials.  
15 It is kind of obvious really; let's kind of accept  
16 that. The signal you get will depend on the  
17 quality of the raw materials. The salient features  
18 will consistently reappear. So, what you have with  
19 that particular PAT is a brand-new application that  
20 says if I can modify my process, and it could be  
21 addition rates, binder rates or whatever you wanted  
22 to do, if I can recreate the profile to compensate  
23 for the variable quality of the raw material, then  
24 I am guarantying product quality and it will allow  
25 me to say, having reached the endpoint, that this

1 material is now suitable for further processing.

2 A big buildup but here is the question.

3 What do you think the regulatory expectation of a  
4 piece of work like that might be? I would imagine  
5 not doing this in routine manufacturing--I am going  
6 to apply this acoustic monitoring to my granulation  
7 process. I am going to vary the granulation  
8 process to compensate for variable quality of raw  
9 materials, and I am going to guaranty quality of  
10 output by getting a defined endpoint. The reason I  
11 am guarantying the quality is that I am going to  
12 reproduce the signature that I know I have to get.

13 The benefit to all of this is nothing more  
14 than the successful processibility of my granule.  
15 It is not the final product. It is not what the  
16 patient sees, but I am doing all of this so I know  
17 that when my granule is of defined quality it will  
18 compress; it will give me good tablets. Like I was  
19 saying this morning, it is the table end product  
20 specification that never goes away. All of this is  
21 a buildup to final quality of the tablet. What  
22 would be the regulatory expectation of the data  
23 that I would need to show on a routine basis for  
24 the quality control of that granule, which at the  
25 moment is not currently specified? Sorry, it is a

1 long question. I hope the answer is short.

2 MR. FAMULARE: Can you repeat the  
3 question?

4 [Laughter]

5 DR. CHIU: I don't think this is anything  
6 new that we have faced because what you are saying  
7 is you can have ranges of your processing  
8 parameters. You have different time or different  
9 speed. One example where we have faced this  
10 before--you know, we are here to talk about  
11 chemical substances, that the drug substance is  
12 well defined, the right potency, however, when we  
13 deal with biological drugs they are not because  
14 each batch may have slightly different specific  
15 activity. So, in order to get the final potency  
16 right we label that as units per milliliter and you  
17 fill with different amounts for each batch, and we  
18 just establish a range and that is what, you know,  
19 you do. So, I think this is very similar,  
20 analogous. I do not see this as anything  
21 revolutionary.

22 DR. RUDD: The bits I wanted to bring out,  
23 and this is probably the underlying point that I  
24 want to make, is about changing the mind set. We  
25 have talked about overlapping. We have talked



1 about validating processes and measurements and  
2 being able to overlay and compare data. I think we  
3 have to move away from that. We are looking at  
4 measurement technologies that take us into a  
5 different realm and this idea of--I will call it  
6 feature detection when you get a signal, when you  
7 get a signal against time, the idea of being able  
8 to identify distinct features in there is a  
9 chemometric, or maybe an eyeball-metric approach, I  
10 don't know, but the ability to say, okay, these two  
11 lines are not the same but they are telling me the  
12 same thing.

13 I just want to kind of bring that in  
14 because my feeling throughout the day has been that  
15 we are a little bit locked into--and it is  
16 understandable; we have all been in this industry a  
17 long time and we have all developed sort of a mind  
18 set. We are tending to think in traditional ways  
19 for what is a very novel approach and we have to be  
20 careful that we don't minimize the potential of the  
21 approach because we are not broad enough in our  
22 thinking.

23 DR. LAYLOFF: Ajaz and then Ken.

24 DR. HUSSAIN: David, I think the concept  
25 that we outline sort of incorporates that thinking

1 already in a sense, and I think the question in my  
2 mind is, in a sense, if you have features in a  
3 fingerprint that are reliable indicators of certain  
4 attributes of an in-process material, which are  
5 important attributes for the next step, I think the  
6 question that comes is how do you build confidence  
7 in those features rather than the entire  
8 fingerprint?

9           Once you are able to do that and  
10 demonstrate that this really is predictive of the  
11 end product or that material property that comes  
12 out of that process, then I think that is what we  
13 would like and it is perfectly compatible with our  
14 thinking that, yes, the raw material variability  
15 can be addressed by having a process which is  
16 flexible enough to produce a material at the end of  
17 the process which actually is more consistent now.  
18 So.

19           DR. MORRIS: Yes, just a brief follow-up  
20 on that, not to fly in the face of your conclusion  
21 but it is sort of not that different than some  
22 precedents because if you look at powder  
23 diffraction, if you look at the way powder  
24 diffraction reflects crystalline material we are  
25 used to thinking of it as being a monotypical

1 system and that you are looking at the D spacings  
2 but, in fact, there is intensity and everything  
3 else. We focus on D spacing. If form is your  
4 goal, if you are doing on-line monitoring of  
5 diffraction and if your peaks are in the same  
6 place, the intensities and widths can vary all over  
7 the place and we say it is the same form. And,  
8 that is already an approved process. Vice versa,  
9 of course, if shape is the issue.

10 DR. HUSSAIN: We didn't talk about Steve's  
11 case study, if you want to do that, but what I  
12 would appreciate since this is the last meeting and  
13 this is the opportunity to really sort of give us  
14 in a nutshell what the key salient features are  
15 that you want to see in the guidance and sort of  
16 give us a summary of what the committee feels needs  
17 to be done, that would be really helpful as we sort  
18 of encapsulate the thought process and make sure we  
19 capture that.

20 DR. LAYLOFF: We have an e-mail address  
21 for PAT, don't we?

22 DR. HUSSAIN: We do. We also have a  
23 docket. The e-mail address is simple,  
24 PAT@CDER.FDA.gov.

25 DR. LAYLOFF: So, anyone, if they think

1 about something later on, can send it on in. When  
2 will the guidance be appearing?

3 [Laughter]

4 Sorry I said that.

5 DR. HUSSAIN: What we actually did was we  
6 drafted something and we actually put it on hold  
7 because our thought process had not crystallized at  
8 that point, and I think the conceptual framework  
9 has come together now. We are not thinking of this  
10 being an extensive guidance. This is a general  
11 guidance, maybe five, six pages at the most. So,  
12 what we will do after this meeting is regroup and  
13 rethink and sort of start working towards that. I  
14 cannot promise a date for the guidance.  
15 Unfortunately, I cannot do that but we will do our  
16 best to get it out as soon as possible.

17 DR. LAYLOFF: I agree, the committee's  
18 views have matured and we are seeing some  
19 repetition on it. I think Joe's comments that the  
20 product was approved; it is not a hazard to health  
21 out there and it is consistent; and if it had a  
22 pimple on it when it was approved, that pimple is  
23 still there even if you find it now.

24 MR. FAMULARE: I think you just wrote the  
25 whole guidance.

1 DR. RITCHIE: Gary Ritchie again, Purdue  
2 PhRMA. Ajaz, one of the questions I have in  
3 general with regard to the guidance is about this  
4 schizophrenia that kind of exists. Some of the  
5 leaders in the industry and the companies are  
6 providing a lot of data, a lot of material and how  
7 we should be proceeding on this. On the other side  
8 of the coin are companies that have investigated  
9 the use of it but don't quite know how to proceed  
10 and are waiting for the FDA to provide the  
11 guidance.

12 Then the question comes up, well, you  
13 know, who goes first? Do we provide data and then  
14 see what you think about it? Or, do you provide  
15 the guidance and the internal argument that you get  
16 is, well, there is no business incentive for us to  
17 proceed unless the regulators provide us a reason  
18 to do so. How do you think that is going to  
19 resolve, or is there any reason, do you think, that  
20 anything in the guidance should appear to help  
21 resolve that problem?

22 DR. HUSSAIN: I think the BMS team will  
23 lead the way! No, I think from a regulatory  
24 perspective what our goal was, and we are trying to  
25 reach that goal very quickly, is to make sure the

1 perceptions that we are the hurdle are removed very  
2 quickly and effectively. So, when the blame comes  
3 down, we are not to be blamed.

4 No, on the serious side, it is simply that  
5 in a sense we cannot be innovative. That is not  
6 our role. Innovation is your responsibility. I  
7 think, as has been pointed out many times, this is  
8 an innovative industry when it comes to new  
9 products. When it comes to manufacturing it does  
10 not innovate, and that was a concern and that is  
11 what we are trying to do. I think the innovation  
12 will come. I think people around this table from  
13 industry are the leaders and we are very fortunate  
14 to attract them. These are the leaders. If they  
15 do it, the rest will follow.

16 DR. LAYLOFF: The last comment is going to  
17 be from Mel.

18 DR. KOCH: I just wanted to inject  
19 something here that kind of fills in some of the  
20 comments during the day and also maybe builds on  
21 some of the stuff that David was talking about. We  
22 are finding, not only the pharmaceutical industry  
23 but other industries, that there is far more  
24 interest nowadays in performance measurement and  
25 developing technologies that measure that

1 performance than there has been in the traditional  
2 analytical profile. The analytical profile still  
3 has its characterization value but in terms of  
4 product-related things these inferential  
5 measurements and other product performance things  
6 are becoming more and more important.

7           As with the acoustical example, there are  
8 a number of technologies that are jumping out that  
9 are indicative of those final product predictions.  
10 So, we are going to find newer technologies coming  
11 in and we are also going to find that many of the  
12 technologies that we are introducing, like the  
13 acoustics, we are finding more and more that it is  
14 wave phenomena and the interferences or the  
15 different things that make up the acoustical signal  
16 are very similar, I think, to what is happening in  
17 the light scattering. We are just talking about  
18 photons or the sound waves.

19           So, we see things coming back at us in  
20 terms of how do we interpret those signals, and  
21 then we get back into this morning's discussion in  
22 terms of the amount of data that is being  
23 generated. Then, one other thing that is going to  
24 jump right on top of both of these is that the  
25 sensors and measurement techniques are going to

1 become smart. They are going to start to do remote  
2 transmission. They are going to start to do  
3 self-diagnostics. That goes back to some of the  
4 probes we talked about before because it is one  
5 thing to see a variation in the size that could be  
6 either the reagent that is being added to cause it,  
7 or it is a failing of the probe. So, you are going  
8 to have different data entering into the mix and  
9 there is going to be need for clarification.  
10 Although it is possible to store all the data  
11 today, I wouldn't necessarily step away from the  
12 issue and say so long as we can store it all today,  
13 let's keep it all because it is going to overwhelm  
14 you at some point.

15 DR. LAYLOFF: Thank you very much. We are  
16 going to adjourn now until 3:45. It is 3:34 so you  
17 have an 11-minute break.

18 [Brief recess]

19 DR. LAYLOFF: We should have some breakout  
20 session summaries. Who is going to give a summary  
21 on this?

22 DR. HUSSAIN: Mike is.

23 DR. LAYLOFF: We have a summary from the  
24 rapid micro group.

25 Rapid Microbiology Testing Summary



1 DR. KORCZYNSKI: I think the consensus  
2 probably is that the industry perception is that  
3 the greatest force to implementation of rapid  
4 microbiology methods is acceptance by the  
5 regulators and the complexities and uncertainties  
6 associated with validation of these methodologies.

7 Now, we think there must be, and I spoke  
8 briefly at the PAT in April so I am repeating  
9 myself in certain cases but, for sure, there must  
10 be an impetus behind this. The FDA, and we feel  
11 the USP, must be advocates of these new  
12 technologies and also many of the companies. It  
13 takes a bold and risk-taking company to approach  
14 the FDA and say I have this new method and  
15 basically I want to review it and implement it.

16 Another thing to keep in mind, if you look  
17 at in-process both chemical and micro testing  
18 between conventional classical products and  
19 biotech-derived products, it is more likely that  
20 your in-process assays will increase six- to  
21 eight-fold for the biotech-derived products. So,  
22 as we look out there and start manufacturing more  
23 biologically-derived products, it is going to make  
24 sense to move forward in rapid methods at the  
25 end-process stages.

1           Again, there are two categories of rapid  
2 methods. This would make more sense if we had time  
3 to show slides, but we have qualitative methods,  
4 are the microbes present or are they absent? That  
5 is, for example, sterility testing. And, the  
6 quantitative methods provides the most likely  
7 number of microorganisms present, and that could be  
8 widely used in the microbial limit tests that I  
9 just described.

10           Then, there are three basic areas of  
11 microbial determinations. So, you could have  
12 qualitative testing for the presence or absence.  
13 You could have quantitative testing for microbial  
14 enumeration and then, three, microbial  
15 identification.

16           So, I would say that, by far, microbial  
17 identification probably has the most rapid method  
18 systems out there and quantitative testing for  
19 microbes probably has the least detection systems.

20           Now, what would you say would be the ideal  
21 attributes of a rapid quantitative test for  
22 microbial count? It should be able to process  
23 variable sample volumes. It should detect more  
24 microbes than plate counting. It should detect low  
25 numbers, and we are seeing methodologies that will

1 detect one cell, one or two cells. We are down in  
2 that level. Detect non-culturable cells. There is  
3 a discussion sometimes that current culture media  
4 doesn't detect everything that is present, and  
5 maybe some of these new methods, where you  
6 basically filter and then through laser scanning  
7 look at the surface of the filter, you might be  
8 able to discern a wider number of microorganisms.  
9 The system should be portable. Definitely, data  
10 should be corroborated by or compared to a  
11 conventional or compendial method. I will get into  
12 that when we talk about validation. I would rather  
13 use the word comparability testing. Of course, I  
14 think there ought to be a reasonable return on the  
15 capital equipment investment.

16           Again, I said the use of a qualitative  
17 rapid test to replace the compendial sterility test  
18 is a contentious issue at this time. I do sit on a  
19 USP microbiology committee and I know that this  
20 issue is going to be discussed and I can already  
21 see among colleagues varying opinions, pros and  
22 cons, at this time to permit some alternative  
23 method to the classical sterility testing method,  
24 but we won't get involved in that at the moment.

25           But the only thing I can say is that, you

1 know, we know statistically, at least  
2 microbiologists know and they have heard this many  
3 times, that there are certainly limitations in the  
4 current test. In the current test, based on the  
5 sample size you take, one could have a five percent  
6 contamination rate and you would only detect it 64  
7 percent of the time. Now, certainly a rapid method  
8 that could detect the presence of one cell or less  
9 is an improvement over that methodology.

10 Then, the gentleman from Smith Glaxo--

11 [Laughter]

12 I can't keep up. All I know is that about  
13 six, seven years ago I read a book by a USP  
14 think-tank group on vision 20/20 and they talked  
15 about the consolidated number of pharmaceutical  
16 companies by 1210, and I will tell you, that was a  
17 futuristic book!

18 Cultural and organizational  
19 constraints--convincing executive technical  
20 management and regulatory management that this is a  
21 good thing to do, and they are not going to see it  
22 as a good thing to do unless they have a feeling  
23 that there is a vote of confidence in some manner  
24 from the regulators regarding the technology.

25 There may be some increased resources

1 initially to develop and implement. Right now it  
2 is unclear relative to what the regulatory  
3 attitudes toward acceptance might be but,  
4 certainly, I have heard very encouraging words at  
5 this meeting regarding the ability to go in and  
6 talk to a division about your methodologies, and  
7 hearing more of that is going to bolster the  
8 confidence in industry.

9           There will only be a partial benefit--I  
10 said that before, if the chemical methods of  
11 measurement are implemented and not the microbial.

12           Now, a problem that we all have, if one  
13 works for a pharmaceutical company, is sometimes  
14 the interpretation by the field inspector versus  
15 perhaps a more scientific interpretation by the  
16 reviewers in Rockville. I think it is going to be  
17 very important, and I hope it emanates from our  
18 group, that we can develop a guidance for field  
19 inspectors. There is a series of questions that  
20 one could ask regarding the new method and the  
21 conventional method and, you know, the  
22 comparability of the methods to give that field  
23 inspector some confidence that data is in place. I  
24 would hope that we could develop a guideline such  
25 as that and share it with industry so industry, in

1 advance, could make sure that they have the  
2 so-called punch list or check list satisfied and  
3 they have done some of these technical things that  
4 they should do for implementing the method.

5           There are questions and I won't go through  
6 them all but some thoughts are will the firm adjust  
7 their action levels as a result of this new  
8 technology? Because these new technologies in many  
9 cases are going to be a little bit problematic for  
10 some people because they are going to give you a  
11 better data yield than the conventional methods.

12           What is the firm's justification for  
13 maintaining or adjusting the action level? Of  
14 course, very key, which would be part of the  
15 so-called validation, does the new method generate  
16 data equal to or better than the conventional  
17 method or compendial method?

18           I would say that the interpretation of the  
19 compendium, USP EP and JP is that they have been  
20 slow or non-existent relative to information  
21 concerning these conventional methods. At least at  
22 the USP level, that is starting to change. We  
23 heard from Jeanne Moldenhauer. Dr. Moldenhauer  
24 talked about the different validation documents  
25 that are out there and USP has a draft, 1223

1 validation of alternative microbial methods.

2           Now, one thing that that document, the  
3 draft, in our discussion initially included were  
4 many of the attributes that you would look at if  
5 you were looking at equipment per se or a chemical  
6 method--you know, robustness, precision, a number  
7 of things that to a microbiologist are sort of  
8 words and don't carry so much of a meaning. You  
9 know, what is the end result?

10           So, some of us microbiologists believe  
11 what we need is comparability testing. If I had a  
12 lab running a test I would want to know does this  
13 new method give me a data yield as good as or  
14 better than the conventional method, and run enough  
15 replicates under enough conditions that I can see,  
16 indeed, that that is the fact. So, we are  
17 modifying the USP draft to talk about that testing.  
18 I think when you hear words of "equivalent" I think  
19 that is misused. What it means is, is the new  
20 method giving you data that is equal to, and it is  
21 silent on the part that it could give you data  
22 better than, and that is what you need to know.

23           I would think that we need--and we talked  
24 about this briefly at the meeting--a vehicle for  
25 seeking perhaps approval of these methods. So, I

1 would hope that we could develop a scenario. One  
2 of the FDA delegates indicated that his division  
3 would be open and receptive to having people coming  
4 in and reviewing the methodology. That is good.  
5 If a company had the data they felt confident  
6 enough to go in, they could review it. They we  
7 receive some confidence from the FDA that the  
8 method looked good. Maybe the next step is to  
9 write a stimuli article to the USP. At least that  
10 would force attention, you know, provide  
11 information for one of the expert groups to review  
12 and consider that methodology. So, I think  
13 somewhere along the line we should provide a  
14 guideline for basically acceptance of a specific  
15 new method.

16 I know that this is sort of the old apple  
17 pie statement but we think that the technical  
18 transfer of valid rapid methods to the  
19 pharmaceutical industry will result in the use of  
20 consistent and accurate assay methods that will  
21 expedite corrective action. That is important.  
22 Reduce manufacturing time; increase productivity;  
23 and reduce expenses. And, we hope that that can be  
24 passed along in some manner to the consumer. Thank  
25 you.



1 DR. LAYLOFF: Any questions for Mike?

2 DR. CHIU: I would like to make a comment  
3 about adoption of the new microbiological methods  
4 by USP. We do not need to implement any  
5 methodologies if it is not in the USP [sic]. So,  
6 if anything is new and is properly validated, then  
7 we would be able to permit the firms to use the  
8 methodologies, the new ones, before USP has adopted  
9 them.

10 DR. LAYLOFF: Any other comments for Mike?

11 DR. HUSSAIN: In terms of the draft  
12 guidance, at least my thoughts are that we include  
13 a paragraph on rapid microbiology methods and how  
14 they may be different from the chemical methods,  
15 and how they should be handled differently,  
16 especially in the context of safe harbor which  
17 would be sort of I think different for micro than  
18 chemical methods. Could you share some thoughts on  
19 what you would like to see in the draft guidance,  
20 if anything, in terms of promoting adoption of  
21 these methodologies?

22 DR. KORCZYNSKI: You mentioned the safe  
23 harbor concept. Do you want a little elaboration  
24 on that?

25 DR. HUSSAIN: In terms of chemical

1 methodologies or PAT methodologies, what we are  
2 saying is if, for example, you start looking at  
3 these for an existing product and you find  
4 variability which is not visible or not apparent  
5 with the current methodologies, you would still  
6 consider it research data and sort of work toward  
7 that. I think Dr. Kibbe mentioned that we don't  
8 want to call that a problem because this is fit for  
9 intended use. So, I think in a chemical sense I  
10 have an understanding of how to handle that. In  
11 microbiological sense, I was hoping to get some  
12 feedback.

13 DR. KORCZYNSKI: Well, I would say if  
14 something is going to develop that would be part of  
15 the PAT system, first of all, relative to  
16 microbiology I would like to end this confusion  
17 over just perhaps rapid methods being used for  
18 sterility testing. So, somewhere in the document  
19 we have to delineate quantitative testing,  
20 qualitative testing and maybe, as a separate  
21 category, qualitative for sterility testing, and  
22 also list--I know we may not be able to use  
23 commercial names, but at least the technology that  
24 could be applicable under each of those and even  
25 maybe the sensitivity levels.

1           Within that document one thing that is  
2 going to be disconcerting to people is that they  
3 might find higher numbers than their specifications  
4 currently include and one has to deal with that.  
5 As a group, we are going to have to talk through  
6 that. I think most of the scientific individuals  
7 would feel science is true data, real data and you  
8 have to in some manner address and deal with that  
9 if the numbers are higher.

10           Now, just because you have higher numbers  
11 doesn't necessarily mean that it impacts the  
12 product negatively. All of that would have to be  
13 considered. So, I see that document sort of  
14 undertaking that scenario.

15           I would certainly like to see, because  
16 people are asking this question, how do we  
17 validate? How do we gain acceptance of a method?  
18 Some guidance, you know, even a suggestion that  
19 they go into an FDA division and review it if they  
20 wish. They may not get a positive or negative  
21 answer but they would generally know technically  
22 whether it is sound from that viewpoint, and then  
23 maybe giving them some encouraging advice to take  
24 it through the USP.

25           Then, I think it is important to document,

1 contained in one of the last elements I talked  
2 about, guidance to the field inspectors because  
3 that is where it is going to get tacky.

4 DR. HUSSAIN: I think sort of in the PAT  
5 world, the chemical physical world we have sort of  
6 moved to the PAT concept, and I think we have some  
7 thoughts on adopting something similar. We haven't  
8 sort of taken the next step to building that  
9 concept in the micro world and I think we will  
10 start moving in that direction. I have actually  
11 talked to PDA in terms of training with rapid micro  
12 methods and for the PAT chemistry world we have  
13 already identified a training program. For rapid  
14 micro, PDA has expressed an interest in sort of  
15 working with us to put together a training program  
16 also. So, we will in some ways have a parallel  
17 process to that although we are starting late on  
18 that.

19 DR. LAYLOFF: I think one of the problems  
20 is going to be that the microbial counts are going  
21 to be higher, consistently higher, and the question  
22 is does that pose a pathogenic risk. I don't know  
23 how you fish that out of there. But I think these  
24 issues have been aired by Mike and I think people  
25 should go home and contemplate them now and send

1 e-mails in to PAT@CDER.FDA.gov. I have asked Ken  
2 to give some closing remarks. Sorry?

3 MR. FAMULARE: I think with the  
4 application of the rapid micro to PAT is going to  
5 be very important that the field be part of that  
6 process, as they are now, because a lot of that is  
7 actually going to take place on site and the  
8 investigators will have to be trained. I don't  
9 think we can start with the assumption that they  
10 are automatically going to look at it and look at  
11 it in a negative light. Just as we have, you know,  
12 addressed it through PAT and the safe harbor  
13 concept, investigators will have to be on board and  
14 the person that we send out in the field  
15 organization has to be versed and trained as to  
16 what the consequences are of this type of  
17 methodology.

18 DR. LAYLOFF: Thank you. Ken?

19 PAT Summary

20 DR. MORRIS: I have put together,  
21 hopefully, a little summary of what we have done  
22 today, but sort of in the light of what we have  
23 done over the three meetings.

24 If you look at the genesis of this, you  
25 have the FDA initiative which is the attempt to

1 continue to improve quality and help healthcare get  
2 cheaper, which would be nice, without in any way  
3 influencing its quality, and the industrial  
4 recognition by the scientists and the industrial  
5 community at large of the need for these techniques  
6 and the business cases that all have to be made.  
7 So, we are charged with helping to formulate enough  
8 of a consensus to be able to put it into a guidance  
9 that would be a guidance for industry in a general  
10 sense on PAT.

11 In that light, one of the things that came  
12 out of this was the proposal from the agency for  
13 training. In this sense, we were just talking  
14 about training of teams of investigators and  
15 reviewers in order to make sure that the consensus,  
16 the learning and the general knowledge that exists  
17 on the committee is transmitted faithfully to the  
18 field as well as the reviewers.

19 So, if we just look at some specific  
20 topics that we have summarized today, the research  
21 exemption or safe harbor--I have started calling it  
22 research exemption but I think it will forever have  
23 the moniker of safe harbor--is the idea that you  
24 are not to be penalized for processes or products  
25 that are under compendial approval already, and the

1 compendial tests always have the ultimate say when  
2 there is an issue. Particularly this is important  
3 when we are developing these tools.

4 I think one of the things that has come  
5 out of these meetings is that certainly I have got  
6 a better appreciation for the idea that by the time  
7 you have enough data to actually use your  
8 chemometrics, you may be a lot further down the  
9 line. As we heard earlier today, this means that  
10 you have to be able to facilitate changes in  
11 software without building a new plant every time  
12 this occurs. Which means that there has to be an  
13 awareness within the internal regulatory groups,  
14 more or less along the BMS model, so that they  
15 understand what the limits are and what the  
16 liabilities are so that they don't over-regulate  
17 themselves.

18 We also talked today about the data  
19 storage and retention issues. I am not sure that  
20 there was a clear consensus on that. I thought we  
21 had it pretty well defined but Mel just gave us  
22 this caveat to be careful because in the future you  
23 could have more data than you can store. I think  
24 that is a well-advised caveat.

25 The alternate side of that is that we all

1 feel I think that one of the highest and best uses  
2 of the data that you can generate and that you can  
3 archive and mine is for looking at trends that you  
4 might not have identified from the outset. This is  
5 sort of the source of the dilemma. But, certainly,  
6 the predicate testing is the ultimate winner in  
7 cases of a tie.

8           The other issue that has come up, and  
9 David talked about this earlier, and this actually  
10 was raised at the first or second meeting, is the  
11 idea that we are not looking at univariate  
12 signatures here or univariate variables. We are  
13 looking at signatures of the whole system. This,  
14 while creating some additional challenges with  
15 respect to analysis, is a much richer way of  
16 understanding processes as well as controlling  
17 them. I think we have heard that in the spirit of  
18 the guidance and perhaps in a letter this will be  
19 acknowledged.

20           The other similar point that Art raised  
21 was sort of a warts and hair approach, that is, if  
22 there are variances that you observe in your data,  
23 given the research exemption and the fact that  
24 there are compendial tests on which to release it,  
25 we should embrace these variances as other methods



1 of getting to the information that we really would  
2 like to have in order to control the processes.  
3 Certainly, with the chemometrics there is the  
4 opportunity to mine those signatures to get at the  
5 root causes for the changes, as we saw in the BMS  
6 presentation with the addition of their  
7 precipitant.

8           What underlies all of this, and I think  
9 was actually the first comment that was made at the  
10 first meeting, is that in the development stage or  
11 at least at some point the PCCPs, process critical  
12 control points, have to be identified as well as  
13 how you are going to monitor those PCCPs. I guess  
14 the strength or the whole process rises or falls  
15 based on whether or not you have accurately  
16 identified those critical process control points.  
17 The example that we saw today, where the PCCP was  
18 actually identified by looking at the final  
19 product, or in this case the crystallized size  
20 distribution as the measure, becomes a redundant  
21 test, yet, may in itself offer opportunities for  
22 control.

23           Another topic that we hit this morning a  
24 lot and we talked about in the breakouts before,  
25 that I think is a summary of what has come out of

1 the three meetings, is this clarification of the  
2 Part 11 issues. I think clearly the consensus was  
3 that we should draw on existing guidances for Part  
4 11. I think that is well-founded and well-accepted  
5 criteria. But we still have to couch this in terms  
6 of the research exemption, and we still have to  
7 team with the internal regulatory groups within the  
8 companies in order to get them to accept this so we  
9 don't reinvent this wheel or force our vendors to  
10 rewrite their software every time we make minor  
11 changes.

12           The vendor certification and the vendor  
13 involvement is another issue that was raised, and  
14 it has come up several times. They have to be  
15 aware enough of what is going to be required not  
16 only by Part 11 but by general knowledge about IQ  
17 and OQ for their instruments. I can't remember who  
18 but somebody said probably in a few years time this  
19 is going to be a routine activity for vendors  
20 anyway, but in the transition period this can be an  
21 issue that adds a lot of resource and when you are  
22 trying to make the business case, which ultimately  
23 all of this rises or falls on, you have to include  
24 that.

25           I think we got a tacit commitment from FDA

1 for involvement on a case by case basis with  
2 respect to advice during resolution of questions  
3 that come up during implementation of PAT. Is that  
4 a fair statement, Ajaz?

5 DR. HUSSAIN: I am not sure I understand.

6 DR. MORRIS: Well, the question came up.  
7 I think Eva raised the question. If she has a  
8 question that comes up during the course of looking  
9 at a process, can she call and ask for advice--

10 [Laughter]

11 Am I mistaken? Was I sleeping then or  
12 something? All of this culminates, hopefully, in  
13 a guidance which will be out sometime. We  
14 have--what?--at least a month, I would say to send  
15 additional comments. Just to recap though, the  
16 guidance should be, or is intended to be a  
17 concept-based guidance in a very general sense but  
18 should, I think, for everybody represent what is  
19 clearly a good faith effect on the part of both  
20 industry and the agency to further the use of PAT  
21 and the implementation of PAT.

22 That is basically what I have. Did I miss  
23 something, Art?

24 DR. LAYLOFF: Any questions or comments?

25 DR. KIBBE: I really felt like the three

1 meetings we had on this were really productive.  
2 First, I would like to compliment the three-letter  
3 companies, GSK and BMS, for all of their input. It  
4 shows, to me, that clearly there are real and  
5 perceived barriers to implementing PAT at various  
6 companies. When it happens, it is one of the old  
7 90-10 rules. That means that 90 percent of the  
8 progress is made by ten percent of the people. The  
9 company needs an internal champion or it will go  
10 nowhere and I encourage all of you here who  
11 represent your company to put on the cloak of  
12 championship and move it forward.

13 I would suggest that we have agreed that  
14 science should predominate over tradition; that we  
15 are recognizing that we are using either  
16 fingerprints or signatures in a lot of different  
17 technology and we should be able to change the way  
18 we evaluate the endpoints for those technologies  
19 and match those technologies. We have done it  
20 before when we went from gravimetric to  
21 chromatographic analysis; we can do it again. It  
22 really shouldn't be a terrible barrier to us moving  
23 forward.

24 I love the opportunity here to replace  
25 statistically unreliable end-stage testing with

1 robust process control technology. I think that we  
2 should think that would be a boon during the next  
3 century for our industry.

4 I believe that the guidance should include  
5 within it an ombudsman at the FDA, someone in the  
6 field office who would take the responsibility of  
7 being an interface with the companies, that would  
8 accept the responsibility in helping them feel  
9 comfortable about the next time an inspector shows  
10 up because they have gone somewhere where they  
11 haven't gone before.

12 I think that the manufacturing  
13 subcommittee, as it looks at the new cGMP, is going  
14 to have to reflect in those new cGMP guidelines the  
15 PAT efforts that we put together, including of  
16 course the rapid micro, and include in it on some  
17 process engineers on its committee, something that  
18 we don't use often enough and what we need to have.

19 I think field inspectors, and I can't  
20 emphasize enough, over all the years that I have  
21 been involved with the agency, either running  
22 around irritating them during a generic thing or  
23 afterwards, how important it is to cross-train  
24 between internal review staff and external  
25 inspectors so when the people write guidances the

1 inspectors know what they have written about and  
2 what they intend, and when inspectors see things  
3 that the internal reviewers know what they have  
4 seen and can understand where that issue is so that  
5 they are not at cross purposes.

6           There are those that are concerned that if  
7 they go directly to the FDA, within the FDA they  
8 will have a reputation and if they have what they  
9 think might be tough questions to get asked--let me  
10 offer myself, as a tenured full professor who can't  
11 be fired, that I would be happy to ask any really  
12 hard question. All right? Now, whether I will get  
13 the answer you want or not, I will be happy to do  
14 that. If you will just e-mail me the hard question  
15 to Kibbe@wilkes.edu, then we will formulate it into  
16 a question and try to get a decent answer. Okay?

17           DR. MORRIS: If you have questions for me,  
18 you can e-mail Art too.

19           [Laughter]

20           DR. LAYLOFF: Any other questions or  
21 comments? Okay, Ajaz?

22           DR. HUSSAIN: I think one other concept  
23 that the microbiology group proposed, and I think  
24 we probably could also think about that from  
25 chemical and physical, was the comparability. So,

1 as we sort of think of validation of the new method  
2 compared to the old, comparability might be better  
3 terminology there. Any thoughts on that?

4 DR. LAYLOFF: That is the terminology used  
5 in biotech a lot for process changes, comparability  
6 criteria. For complex systems that is not an  
7 unusual statement.

8 DR. RUDD: If I can just add to that, we  
9 have certainly thought about the implications of  
10 blindly applying ICH method validation guidelines  
11 to process measurement methods. I think the  
12 conclusion we have come to is that while you can do  
13 that, you are certainly making not exactly a square  
14 peg for the round hole but the match is not as good  
15 as you would like it to be. So, I think the  
16 compromise ought to be, yes, the comparability idea  
17 is a very good one but I wouldn't want to lose the  
18 essence of the micro assay philosophy as far as  
19 method validation is concerned. That whole set of  
20 guidelines are based around good science and that  
21 is the principle we need to use. So, however you  
22 do it, however you dress it up, we need to keep  
23 that principle I think.

24 DR. LAYLOFF: I agree. I agree with that.  
25 That is a good concept. I think the problem, of

1 course, with ICH is that it is wrapped around HPLC  
2 but the scientific concepts are good.

3 DR. HUSSAIN: Two other sort of comments.  
4 One of the thought processes was, from a software  
5 validation perspective, I think the CDRH  
6 off-the-shelf software validation, as well as other  
7 software validation guidances that are out there  
8 plus GAMP-4, I think we have to work with ISBE and  
9 see how we can use that.

10 In addition, with respect to validation,  
11 Rick Cooley sent me some information. I think the  
12 ASTM standards on validation for petroleum on-line  
13 measurement, I think we can learn a lot from some  
14 of those.

15 So, our thoughts are that with this  
16 guidance we are not going to reinvent the wheel but  
17 essentially highlight some of the aspects which  
18 have already been established and how they may  
19 apply to pharmaceuticals and sort of build on to  
20 some of the existing principles rather than  
21 reinvent the wheel. So, that is what we also plan  
22 to do. I believe there was consensus that that is  
23 a good thing to do. So.

24 DR. LAYLOFF: Ajaz, would you like to make  
25 some concluding remarks?



1 DR. HUSSAIN: Yes, I will and I think I  
2 would like to give Doug a chance to say a few words  
3 too.

4 DR. ELLSWORTH: I guess having been to  
5 several of these subcommittee meetings and I guess  
6 being one of the field representatives, I have  
7 heard a number of concerns about investigators and  
8 how they will apply standards with this new  
9 technology.

10 One thing I would say is that our  
11 investigators are charged with enforcing and  
12 applying public standards. I think it is obvious  
13 that they can do a better job the more precise we  
14 are in terms of what those public standards are,  
15 which is one of the reasons I think--a multitude of  
16 reasons, but one of the reasons why we are  
17 undertaking guidance develop. But I think we all  
18 recognize that some of this technology we are going  
19 to begin to learn as we begin to see it and begin  
20 to apply it. So, both CDER and ORA have agreed and  
21 set up a specific team that will be especially  
22 trained and be able to initially focus on some of  
23 these new PAT technologies so we will have  
24 consistent application of the standards.

25 DR. HUSSAIN: I prepared my closing

1 remarks before the meeting--

2 [Laughter]

3 I do want to emphasize and I do want to  
4 thank the PAT team. I just want to remind us--I  
5 don't think we have ever had a chance to work with  
6 Doug and Joe. I think they are working closely and  
7 the team concept is really working so I really  
8 thank them for their cooperation. Just to remind  
9 everybody on the PAT team, we won that game. So.

10 Let me sort of summarize. At the end of  
11 the second meeting I had to sort of come back and  
12 sort of hammer it in that the quality of products  
13 today is good because the sense I received is that  
14 everybody was expressing concern on the quality.  
15 Keep in mind, with the current state what we are  
16 saying is that product quality is not in issue. In  
17 fact, I had sort of alluded to that at the end of  
18 the second meeting. Based to the small number of  
19 recalls due to product quality, we are probably  
20 already close to six sigma level from a quality  
21 perspective, although six sigma from a patient  
22 perspective--that is what I want to emphasize.  
23 From a patient perspective the quality is at six  
24 sigma. But how do we get to that? I think the  
25 processes are not efficient. Our processes are at

1 a very low sigma level, and if I look at what the  
2 GMP requires, I think GMP requires the minimum  
3 standard less than 2 six sigma based on 10 percent  
4 failure rate or rejection rate.

5           So, I think what PAT is all about is  
6 improving further the efficiency of the system.  
7 The process quality, on the other hand, ranges from  
8 poor to good, and we have one size that fits all  
9 system. I have a difficult time distinguishing bet  
10 poor and good, and poor process quality can have a  
11 catastrophic effect on the reputation and economic  
12 health of a company, and I have seen that more so  
13 in the last decade than ever before. Poor process  
14 quality can lead to drug shortages, and so forth.  
15 So, there is a public health reason. There is a  
16 business reason and there is a scientific reason  
17 for the PAT concept.

18           It is the right time to focus on process  
19 quality because you don't have to be reactive.  
20 That is what is different here, we are not in a  
21 reactive mode; we are in a proactive mode. And,  
22 high level process quality is desirable from both  
23 public health and business perspective. Reducing  
24 risk of releasing poor quality product is  
25 definitely a public health objective.

1           Keep in mind that, in a sense, as we get  
2 to more complex drugs, more complex products the  
3 current system I think can be stretched to its  
4 limit and we really need to understand our  
5 processes better. I think we ought to take that as  
6 a blessing, that we are not in a reactive mode. By  
7 improving the processes, we are not only reducing  
8 regulatory risk and cost, and this is where I think  
9 the six sigma concept also comes in. The  
10 risk-based approach idea has adopted the classical  
11 definition of quality in modern thinking. There  
12 are essentially two levels. Level one is meeting  
13 the specifications. Level two is customer  
14 satisfaction. With six sigma, if you think of FDA  
15 as a surrogate customer, because we are essentially  
16 responsible for pharmaceuticals we are not able to  
17 judge the quality in the clinical setting and it is  
18 too late to judge the quality. So, FDA essentially  
19 becomes a surrogate customer and the risk-based  
20 approach allows us to move in that direction.  
21 Reducing regulatory risk or concern gives you  
22 benefits.

23           Reduced time to market, I really think  
24 this will have an impact on time to market although  
25 I think people have a hard time seeing that right

1 now, but it will happen. But it will reduce stress  
2 and frustration because we are spending so much  
3 time, we are spinning our wheels trying to get the  
4 product out with deviations, exceptions, long cycle  
5 times, QC, and so forth. I think we need to  
6 improve our quality of life, on both the FDA and  
7 industry side, and today we can be proactive.

8           The road ahead is not simple. The road  
9 ahead is not easy, but if it was easy then somebody  
10 would have done it. Let's put it that way. Keep  
11 in mind, most pharmaceuticals are complex,  
12 multivariate physical chemical systems. We have to  
13 rely on iterative empirical development approach,  
14 guided by experience. In some meetings--I am a  
15 pharmacist by training--it is hard for me to sort  
16 of go to some meetings where this is black art, and  
17 people have said that to me to my face. I say,  
18 wait a minute. But in reality it is empirical. I  
19 think we have the time and the opportunity to take  
20 it away from empiricism to science based.

21           I actually look at the Handbook of  
22 Pharmacy and Handbook of Chemical Engineering and  
23 that is the ground I want to cover. I think Dr.  
24 Lachman's book on theory and practice of industrial  
25 pharmacy is where I learned industrial pharmacy. I

1 think that is the trend in the sense that you can  
2 see, in his book, that you go from art to  
3 science--practice to theory. I think that is what  
4 we are trying to do here and get to that in a more  
5 effective way.

6           There are challenges here. We have  
7 subjective measurements of material functionality.  
8 One of my first projects was computer-related  
9 formulation design and when you start to develop an  
10 expert system you have to think of lactose, how do  
11 you define lactose for a formulation system because  
12 we don't have measures of functionality. That is  
13 difficult. That inhibits learning because it is  
14 subjective. There are many variables and long  
15 waiting periods for lab data to do this. What we  
16 have learned from MIT data is that with on-line,  
17 and Ken Morris' publication, is that we can  
18 actually do kinetics of complex processes and  
19 gather information in a fraction of a second so we  
20 learn more.

21           There has been no regulatory incentive for  
22 formulation process and optimization. Validation  
23 is a minimum standard. Now we can think of an  
24 optimization which is not a requirement but an  
25 opportunity, and all the regulatory incentives are

1 coming together I think like never before.

2 So, those are sort of my closing thoughts.

3 I want to reflect back on 16 or 18 months of this  
4 effort and I want to thank Steve Hammond for being  
5 brave enough to come to our FDA science board.

6 G.K. is not here but I think that was a starting  
7 point for some of the discussion. You can see what  
8 has happened at FDA.

9 I got this from a book. I forgot to  
10 reference it, and I also got it from a presentation  
11 by Lee Pecan. I am not sure who the author is, but  
12 these are not my words, author unknown: Why  
13 transforming efforts fail? Not establishing a  
14 great enough sense of urgency. I think we have  
15 done that with PAT at a time when we didn't have a  
16 reason to do that. FDA tends to be reactive but we  
17 try to be proactive and, yet, I think we have  
18 created a sense of urgency for this.

19 Not creating a powerful enough guiding  
20 coalition. I think more and more PAT--I don't have  
21 to go and speak about PAT; you guys are doing that.  
22 Everybody is doing that now. Lacking a vision, I  
23 think we have created a shared vision for the  
24 future for this. Under-communicating the vision by  
25 a factor of ten. I have to look at Helen, she is

1 going to stop me any moment. But I think we have  
2 communicated a factor of 100.

3           Not removing obstacles for the new vision.  
4 I think we have removed, at least from an FDA  
5 perspective, all the obstacles we could find and we  
6 are working as a team. Not systematically planning  
7 for and creating short-term events. I think we  
8 have the short-term events coming with the general  
9 guidance and other steps, and so forth. Declaring  
10 victory too soon. In a sense, we are not going to  
11 declare a victory at all here; this is an ongoing  
12 process. Not anchoring changes in the  
13 corporation's culture. Just imagine, we have an  
14 FDA-wide initiative on cGMP. How much more could  
15 you ask for? This is at the highest level of the  
16 agency.

17           So, I think from an FDA perspective we  
18 have looked at these efforts that are challenging  
19 and have addressed them in many ways, and I think  
20 you will be doing the same thing in your  
21 corporations too.

22           Thank you, and I really think these three  
23 meetings have been very valuable and I cannot thank  
24 you enough. We will sort of miss the PAT meetings.  
25 I got addicted to those already. So. But many of



1 you will sort of join us on the manufacturing  
2 subcommittee and I think we will continue the  
3 process. So, your involvement will continue  
4 although the PAT meetings will not. We have other  
5 fora to sort of do the communication and we will do  
6 that.

7 Just to alert you, we have three workshops  
8 planned. There is Arden House U.S., Arden House  
9 U.K. and IFPAC. These are all upcoming meetings  
10 and I hope to see some of you or all of you there,  
11 especially the Arden House and IFPAC in the U.S.  
12 So, thank you again.

13 DR. LAYLOFF: Thank you, Ajaz. This is  
14 our sunset meeting, the PAT committee is going to  
15 sunset after three sessions. I think it has been  
16 an extraordinary effort. What has come forth I  
17 think is a coming together of academics, industry  
18 and FDA in an open dialogue to try and deal with  
19 these issues.

20 I think the only time you really get  
21 something successful to happen you have to have a  
22 champion, and the champion for all this has been  
23 Ajaz. He has done a fantastic job of going out and  
24 looking at the GMPs, looking at Part 11, looking at  
25 the training, and always open to doing new things.

1 So, I think the whole PAT is Ajaz' shadow and I  
2 would like to give him a hand and then we will  
3 adjourn. We will stand with the hand.

4 [Applause]

5 [Whereupon at 4:35 p.m. the proceedings  
6 were adjourned.]

7 - - -