

mc

1

TCB

DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BIOLOGICAL RESPONSE MODIFIERS ADVISORY COMMITTEE

Twenty-eighth Meeting

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

Friday, November 17, 2000

8:34 a.m.

Holiday Inn
Bethesda, Maryland

P A R T I C I P A N T S

Committee Members:

Daniel R. Salomon, M.D., Chairman
Gail Dapolito, Executive Secretary

Richard E. Champlin, M.D.
Richard C. Mulligan, Ph.D.
W. Michael O'Fallon, Ph.D.
Edward A. Sausville, M.D., Ph.D.
Esperanza B. Papadopoulous, M.D.

Consultants

W. French Anderson, M.D.
Xandra O. Breakefield, Ph.D.
Jeffrey S. Chamberlain, Ph.D.
Jon W. Gordon, M.D., Ph.D.
Carole B. Miller, M.D.
Bruce E. Torbett, Ph.D.

Guests/Guest Speakers:

Dale G. Ando, M.D.
Katherine A. High, M.D.
Donald Gay
Deborah Hurst, M.D.
John Levy
Louis Zumstein, Ph.D.
Richard J. Whitley, M.D.
Amy Patterson, M.D., NIH Participant

FDA Participants:

Kathryn C. Zoon, Ph.D.
Philip D. Noguchi, M.D.
Steven Bauer, Ph.D.
Carolyn Wilson, Ph.D.
Jay P. Siegel, M.D.
Karen D. Weiss, M.D.
Philippe Bishop, M.D.
Anne Pilaro, Ph.D.
Estella Z. Jones, D.V.M.
Mercedes Serabian, M.S.

C O N T E N T S

<u>AGENDA ITEM</u>	<u>PAGE</u>
Call to Order - Daniel Salomon, M.D., Chair	4
Open Public Hearing	4
Session III	
FDA Introduction - Vector Classes with Potential for Long-Term Risks - Carolyn Wilson, Ph.D., CBER	15
Long-Term Follow-up of Subjects in Gene Transfer Clinical Trials - Philippe Bishop, M.D., CBER	24
Committee Discussion of FDA Questions	35
Session IV	
FDA Introduction - Preclinical Considerations for Gene Transfer Clinical Trials: Vector Biodistribution - Mercedes Serabian, M.S., CBER	xx
Risk of Germ Line Transduction After Direct Injection of Retroviral Vectors - Deborah Hurst, M.D., Chiron Corporation	xx
Questions from the Committee	xx
Committee Discussion of FDA Questions	xx
Adjournment	xx

P R O C E E D I N G S

1
2 CHAIRMAN SALOMON: Karen, is Jay here? We can't
3 start if Jay's not sitting down, by the usual rules of
4 order. If Phil is sitting down, we can do it. Phil's
5 surrogate for Jay. Phil, I said the usual rules of order is
6 I have to get Jay to sit down before we can start the
7 meeting. No, I'm teasing.

8 Okay. Well, welcome to the second day of the
9 BRMAC Advisory Committee. I think today is very interesting
10 since some of these issues now of long-term follow-up that
11 we're going to deal with I think have potentially very
12 important implications for the design of trials and their
13 conduct, and I think it's a potentially extremely
14 interesting set of issues to deal with.

15 I have two things I want to do this morning. The
16 first is our consumer representative, Abbey Meyers, who also
17 has an organization called the National Organization for
18 Rare Disorders, Inc., she was unable to attend the meeting
19 today because of a previous commitment. But she feels very,
20 very strongly about the issues of long-term follow-up, which
21 is Session III's main topic.

22 So as there were no official public speakers that
23 stepped forward, the first of two things I'd like to do is
24 read just sort of an excerpt or two from the letter she sent
25 as a way of showing respect for Abbey's position and at

1 least allowing her in some way to have contributed to the
2 meeting today.

3 The second thing I'd like to do while I'm reading
4 that is if there's anyone in the public who would like to
5 step up and address the committee this morning before we get
6 started, please, if you would come up and identify yourself
7 when I'm done with the letter, you're more than welcome to
8 contribute now before we get started. And as I said
9 yesterday, let me reiterate you're more than welcome to
10 participate at any stage along the way. Just come to the
11 microphone, identify yourself, and help out.

12 So to do the first of the two things, in sum,
13 Abbey writes: I want to explain that my involvement in the
14 emergence of gene therapy has spanned more than a decade.

15 And she then goes on to point out that she's
16 served on the NIH Human Gene Therapy Subcommittee from 1989
17 to 1992, and then the NIH Recombinant DNA Advisory Committee
18 from '93 to '96 as a consumer representative. She has
19 advocated from the beginning, her point is, that there
20 should require--and she underlines the word "require"--long-
21 term follow-up of research subjects. Unfortunately, neither
22 the government nor sponsors have done this, so we still have
23 many unanswered scientific questions.

24 NIH is not a regulatory agency and, therefore,
25 could not and did not enforce the monitoring of patients.

1 NIH gene therapy rules in the points to consider required
2 long-term follow-up. But investigators didn't submit data,
3 and the NIH did nothing about it.

4 Therefore, my first recommendation is that the FDA
5 and NIH formulate a joint task force to develop uniform
6 rules and regulations that both agencies will abide by and
7 monitor and enforce, and they should share data with each
8 other and with the scientific community so that precious
9 resources will not be wasted.

10 One of the greatest flaws of the current system is
11 that once sponsor information reached the FDA, it
12 automatically becomes a trade secret and cannot be shared
13 with other scientists who need this information to formulate
14 their own scientific decisions. In fact, in the case of the
15 tragic OTC experiment in Philadelphia, the protocol was
16 changed after it left the RAC and the RAC was never told.
17 That at least is her writing.

18 I believe that gene therapy technology will not
19 mature towards commercialization unless there's a
20 determination to share information quickly, completely, et
21 cetera.

22 Secondly, she's absolutely convinced that long-
23 term follow-up of research subjects is critically important,
24 that testing for gonadal dissemination, annual physical
25 evaluations, and particularly autopsies are essential if

1 we're to avert possible future public health calamities.
2 And she says this is why the patient community lobbied for
3 gene therapy database which was supposed to track gene
4 therapy research subjects throughout their lives.

5 Of course, she points out then in the following
6 paragraphs that these were not instituted. There is no gene
7 therapy database at the current time.

8 Finally, she's convinced that the most important
9 element of long-term follow-up is the gene therapy database
10 which Congress directed FDA to develop several years ago.
11 It's my understanding that the FDA ceded responsibility for
12 the database to the NIH and the Office of Biotechnology.
13 She feels that one of the things that we ought to come up
14 with today is some sort of recommendation that this whole
15 issue be revisited in a practical way to see whether or not
16 a database can't be instituted and brought forward
17 efficiently.

18 I think I've given a general sense of where Abbey
19 was coming from, and I'm going to stop reading from her
20 letter.

21 But, again, you know, I promised her that I would
22 represent her general sense that her feeling as consumer
23 representative is that there is an assumption with the
24 American public that, in going forward with these new
25 technologies, we are saying, okay, fine, the public's giving

1 us some flexibility to go forward, but the quid pro quo, if
2 you will, is that we are responsible about the potential
3 long-term risks of this that might in the end affect the
4 public, and that in the absence of really demonstrating
5 honestly an acceptance of that responsibility, I think
6 Abbey--and I'm raising the point now for the whole committee
7 to consider this morning, you know, at what point are we
8 actually not doing the right thing by the public. If we're
9 really, you know, saying give us a break here, we're going
10 forward with this new technology, but we really aren't doing
11 the job that we've committed to following what the impact is
12 on the public and on the long-term health of the patients.
13 So I think that covers that.

14 The second thing, is there any public discussion?
15 Is there anyone from the public who wanted to join in the
16 discussion at the beginning that was not allowed to
17 yesterday? No. Okay.

18 Yes, I'm sorry Please, Amy?

19 DR. PATTERSON: Yes, I thought it was important to
20 respond to some of Ms. Meyers' very important concerns.

21 First of all, I think I'd like to note for the
22 record that NIH feels that long-term follow-up is extremely
23 important. We also feel, however, that it's important that
24 long-term follow-up be done in a manner that generates data
25 that is both scientifically and medically useful and valid.

1 And we also feel that long-term follow-up does impose a
2 burden on patients to bring them back at regular intervals.
3 And so the design of these studies, both from a patient's
4 perspective, a caregiver's perspective, and the field's
5 perspective, needs to be given a lot of thought. And we are
6 planning a policy conference on this in the upcoming year
7 about how to best design these studies.

8 At the upcoming safety conference on
9 cardiovascular gene transfer research in December, on
10 December 14th, we'll begin to explore long-term follow-up
11 for both cardiovascular safety sequelae as well as non-
12 cardiovascular safety sequelae in that particular context.

13 I'd also like to mention that we are also going
14 forward with a database and we'll have a Web presence on--
15 December 20th is the target date this year. This is purely
16 a pilot. It's a beta type but we invite public comment on
17 it.

18 We are going also toward working with FDA in these
19 months to develop a more fully fleshed out Web presence with
20 the database next year that will use the controlled medical
21 vocabulary and allow comparisons across trials of clinical
22 outcomes and adverse events.

23 I just wanted to go on the record that we endorse
24 Ms. Meyers' concerns. We also recognize, however, that
25 long-term follow-up can't be done randomly. It needs to be

1 done thoughtfully to generate useful data, and we also
2 recognize that there may be some regulatory constraints for
3 how long one can compel long-term follow-up and what is the
4 authority at the hands of the Federal Government to compel
5 long-term follow-up. These are very practical and real
6 issues.

7 Thank you.

8 CHAIRMAN SALOMON: Yes, thank you, Amy. It's
9 obvious that some of those issues we're going to kick around
10 the table in a few minutes, but that was great.

11 French?

12 DR. ANDERSON: Yes, I just wanted to make a
13 comment after Amy's. I guess it was Fred Lederle (ph) and I
14 who were the first to push for a database back about 1990, I
15 guess, and, in fact, I maintained a database in human gene
16 therapy for a number of years, and then it got to be too big
17 for one person to handle.

18 And one can be critical, as Abbey quite correctly
19 is, about the fact it still doesn't exist. But let me say
20 on behalf of both NIH RAC and the FDA that there has been a
21 real effort to do this and to do it properly, both on the
22 part of Amy Patterson at the RAC and Phil Noguchi at the
23 FDA.

24 It hasn't been forgotten, but there are real
25 logistic problems. There are real budget problems. Within

1 the constraints, I think they have done as superb a job as
2 possible, and as sort of the originator of this idea, I
3 appreciate the fact you two are still slugging away at this.

4 CHAIRMAN SALOMON: I guess if--I'm trying to think
5 what Abbey would say right now.

6 DR. ANDERSON: She'd say, Why isn't it here?

7 CHAIRMAN SALOMON: I think what Abbey would--Abbey
8 would start with that. Thank you. That was good. And I
9 think what everyone should understand is that, you know,
10 Abbey has served for many years as a conscience to all the
11 medical and other expertise on this panel, and, therefore, I
12 hope that no one's misinterpreting the seriousness with
13 which I'm trying to represent Abbey's viewpoint here,
14 because it's not just a personal thing of Abbey, who I have
15 a lot of respect and personal care for, but it really is her
16 role on this committee. And I feel like it's just not here
17 today, and this is probably the one thing that she would
18 really be the person to be passionate about.

19 So I think one of the things she would say is that
20 it's not--it's rather--we talked about what was great about
21 government working yesterday. This is actually what's bad
22 about government working yesterday, where there are, you
23 know, public concerns, congressional mandates, no funding,
24 frustrated federal workers at the FDA and the NIH who--let's
25 face it, you know, it's not rocket science to make a

1 database and, to be honest, there's really very little else
2 to say about that.

3 Okay. So I'd like to introduce--is there further
4 discussion? Please.

5 DR. GORDON: I just wanted to make a comment. I
6 think, first of all, I understand her position as saying
7 that she wants a database from the point of view of the
8 well-being of those who participate in the study. But there
9 are other good reasons as well for a usable database, not
10 the least of which is identifying promising trends in the
11 area of gene therapy. That's a scientific reason as well as
12 a patient protection reason.

13 I disagree, respectfully, that it is not a rocket
14 scientist's job, unless the rocket scientists have an easy
15 job, because this is, in my view, a very challenging job to
16 develop a database that can be accessed easily, where
17 correlative data can be obtained with facility. And I've
18 pushed as a member of the RAC, which I'm not here as at the
19 moment, for very great care to be taken as this database is
20 designed in terms of those issues.

21 There's another point and, that is, of course,
22 I've never seen a research study involving human subjects
23 where the human subject was not told you may withdraw from
24 the study at any time. If a person is undergoing long-term
25 follow-up, having received gene transfer at the age of

1 eight, they may choose at the time they get married at the
2 age of 38, or whatever, to withdraw from the study. There's
3 not much one can do about that. It would not surprise me if
4 a significant number of people did that. And so there are
5 these human issues, and I must say I have a lot of sympathy
6 for those feelings, not that I necessarily would do the same
7 myself, but I can certainly understand them.

8 CHAIRMAN SALOMON: I appreciate the comment. Part
9 of what we'll do is return to all of this and those kind of
10 discussions I think should probably be better saved for a
11 few minutes. But I think the comments stand well, and there
12 are a lot of different things that we need to talk about.
13 For example, it will be a good debate about what's so hard
14 about making a database.

15 DR. SIEGEL: It's easy to make a database. It's
16 just extremely difficult to make a useful database.

17 [Laughter.]

18 CHAIRMAN SALOMON: Amy?

19 DR. PATTERSON: You have the Chairman's
20 prerogative to tell me if this point needs to wait until
21 later, but I just wanted to also note that in designing a
22 database, as we are doing, it's important to keep in mind
23 who the users are. And in the context of a gene transfer
24 database, the users are quite a heterogeneous group.
25 They're patients, patients' families, groups like Abbey's

1 National Organization for Rare Diseases. There's certainly
2 the media and the press. But there are also investigators,
3 there are federal advisory committees, there are colleagues
4 at FDA, and there are NIH investigators and the RAC.

5 And all of those groups have very different
6 informational needs, and one of the things that we're going
7 to be doing over the next several months is setting up user
8 groups with representatives from those various communities
9 to tell us what is it--when you sit in front of your
10 computer, what is the type of query you would like to be
11 able to do with this data. That's an important issue to
12 keep in mind. Unlike many databases, this is a database
13 with a very heterogeneous user group.

14 The second and last point that I'll raise, it's
15 also very important to consider whether the data that is put
16 up is validated or not. And I think that NIH is very
17 sensitive about putting up data from investigators and how
18 does one express amply the caveat if this has not been peer-
19 reviewed data and outcomes.

20 These are very sensitive and important issues.

21 CHAIRMAN SALOMON: Well, as I've pushed the
22 Chairman's prerogative this morning quite beyond the usual
23 pale, I'm not going to interrupt anyone else who wants to
24 comment now. But I think we will get back to some of these
25 things.

1 Okay. Well, it's my pleasure to ask Carolyn
2 Wilson to give us an FDA introduction to vector classes with
3 potential long-term risks. Carolyn?

4 DR. WILSON: As has already been introduced quite
5 well, there is concern in the community that at least
6 certain types, if not many types, of clinical trials in the
7 gene transfer field carry with them the potential for long-
8 term risks to patients participating in those trials.

9 What I'd like to do this morning is outline in
10 part some of the scientific rationale for why we believe
11 that there are long-term risks in gene transfer clinical
12 trials. I'd like to distinguish a little bit between
13 patient follow-up in that some of these long-term risks may
14 require long-term patient follow-up; others may be able to
15 be determined through short-term patient follow-up, and I'll
16 explain that a little bit more later.

17 I'll be discussing what properties of gene
18 transfer vectors are most likely to carry long-term risks
19 and determine which of those vectors and methods that are
20 currently in use share these properties.

21 I've focused on three main areas that are likely
22 to cause concern about long-term risks, and the first is
23 integration into host genomic DNA and somatic cells. I'll
24 be discussing that in more detail in the next few minutes.
25 Then I'll do in the second half of my talk integration into

1 host genomic DNA in germ cells, and actually, Dr. Philippe
2 Bishop of our Division of Clinical Trial Design and Analysis
3 will be discussing in a talk to follow mine the third risk,
4 which is if a gene transfer vector is contaminated with a
5 replication-competent virus that is capable also of
6 integrating, that this would add an additional long-term
7 risk.

8 When we talk about integration into host genomic
9 DNA and somatic cells, the biological effects that could
10 result from that are a spectrum. The hoped-for effect, of
11 course, is expression of the transgene product with no other
12 genetic alterations. And this in and of itself hopefully
13 would not have a long-term effect, but as we heard about,
14 for example, yesterday in hemophilia, you could develop
15 antibodies and have, you know, with other diseases,
16 autoimmune responses and so on. So even that in and of
17 itself isn't trivial.

18 In addition, upon integration, depending upon the
19 site and how it's done, a gene transfer vector may cause
20 chromosomal rearrangement, such as translocations. If it
21 carries strong viral promoters or enhancer elements, there's
22 the possibility to activate gene expression at quite distal
23 sites, up to 100 kilobases. And, again, depending on the
24 site of integration, you could also disrupt the
25 transcriptional or translational control agents of cellular

1 genes. And so these last three, in particular, the outcome
2 could be just regulated gene expression.

3 Now, this in and of itself may have no clinical
4 consequence, and as I'm sure many of you know, we have a
5 diploid genome, there are two copies. So, in particular, if
6 you're silencing a gene, hopefully the other allele could
7 kick in and compensate for that.

8 Alternatively, you could also have a phenotypic
9 effect in the individual cell that has the dysregulated gene
10 expression without necessarily having a pathogenic effect on
11 the organism.

12 However, in the case where you're activating a
13 gene that may be controlled in regulation of cell cycle,
14 this is an area that would cause--has the potential to cause
15 tumor formation. And to try to give you some sense of what
16 is the likelihood of that event, it's now considered that
17 there are approximately 80,000 genes in the human genome.
18 Of those, at least as of last week, on the Cancer Genome
19 Anatomy Project website, approximately 130 loci have been
20 identified as oncogenes or proto-oncogenes, those genes that
21 would cause dysregulation of cell cycle.

22 But even should a gene transfer vector integrate
23 into one of these types of loci, the risk of tumor formation
24 still is not absolute in that tumorigenesis is still going
25 to be a multi-step process with insertional mutagenesis only

1 being the first. And we can make that statement based on
2 data that has come out of really two to three decades' worth
3 of study on murine retroviruses, a particular type of murine
4 retrovirus that is actually very closely related to those
5 retroviruses that are used in clinical trials today, where
6 it's known from those studies that in mice tumorigenesis is
7 associated with high levels of virus replication, and that
8 even past the provirus insertion event, additional steps,
9 such as recombination with endogenous retroviral sequences
10 in the genome, are also involved, and that because of these
11 two points, tumor formation really only occurs after
12 relatively long latencies. So we feel that this data allows
13 us to suggest that in gene transfer vector integration that
14 the risk of tumorigenesis is most likely low and that the
15 effect wouldn't manifest itself until sometime after the
16 treatment period.

17 This is the same graph that was shown yesterday by
18 Dr. Bauer, just a breakdown of what gene transfer INDs we
19 currently have, again, most of them being retrovirus,
20 adenovirus, and plasmid, but we also have poxvirus, adeno-
21 associated virus, and herpes.

22 One point I want to make on this slide is you can
23 see there's a breakdown also of ex vivo versus in vivo, and
24 I think it's important to realize this risk of somatic cell
25 integration and potential long-term risks of tumorigenesis

1 would be the same, regardless of whether it's ex vivo or in
2 vivo.

3 In terms of the potential for integration,
4 retroviral vectors, of course, have high rates of
5 integration. This is one of the reasons that they're so
6 attractive for gene transfer clinical trials, is that they
7 do allow for long-term expression because they do integrate
8 into the genome.

9 With the other vector classes, it's not so clear-
10 cut. Adeno-associated virus vector, while the wild-type
11 virus from which that vector is derived certainly is known
12 to integrate and, in fact, does so in a very site-specific
13 fashion on chromosome 19, the properties of the vectors seem
14 to be a little different in that it may depend upon the
15 tissue type that you inject it in and so on. It doesn't
16 seem to reliably integrate the way the parent virus does.

17 Plasmid DNA, at least in vitro, is known to
18 integrate at much lower rates, certainly, than something
19 like a retroviral vector, and also from in vitro data, we
20 know that you can manipulate the method of introduction.
21 For example, a report using an inhibitor of topoisomerase
22 has shown that you can get about a 30-fold increase in
23 integration frequency.

24 Adenovirus vector is traditionally considered a
25 non-integrating vector, but, again, in vitro it's been

1 measured that these vectors can integrate themselves about 1
2 in 1,000, 1 in 100,000 is the rate, so it's very low. A
3 recent report in Nature Biotechnology demonstrated that
4 genetic modification, introduction of actually retroviral
5 sequences, increased this integration, so that now 10 to 15
6 percent of the cells exposed to that vector had integrated
7 sequences.

8 Herpes and poxvirus vectors don't carry--or at
9 least data to date doesn't suggest that these integrate.
10 But they may have other long-term effects, for example,
11 concerns about latency with herpesvirus could not--may not
12 manifest itself for decades.

13 So based on data that we have about the vector
14 classes that are currently in use and thinking forward about
15 modifications that are certainly going to happen in the
16 future, we can recognize that there's a range of integration
17 frequencies and that depending on what modifications or
18 methods of introduction are used, even for one particular
19 vector class, integration frequency may vary.

20 And so that really sets up the questions that
21 we're asking the committee to focus on today, which are we
22 don't really want to have an answer of, well, can you tell
23 us what class a vector needs long-term follow-up; but,
24 rather, we'd like to think about what properties of vectors
25 need long-term follow-up. What would be the characteristics

1 of the particular gene transfer method and what are, for
2 example, some minimum frequency of integration events that
3 would give you greater concern?

4 Now I'd like to spend the last few minutes on the
5 other long-term risk, which is integration into host genomic
6 DNA and germ cells, and this is the one where I wanted to
7 distinguish between where the effect of germ line
8 integration would certainly be long term, the patient
9 follow-up to assess the risk of this event could be achieved
10 in the short term.

11 The risk of integration in terms of biological
12 effects, again, would be a spectrum, depending on where a
13 gene transfer vector were to integrate. Again, the hope
14 would be that there would be no biological effect, but you
15 could have genetic disorders, birth defects, lethality to a
16 developing fetus depending on the site of integration. And,
17 of course, there's the larger societal issues where it's
18 been deemed unacceptable to do deliberate germ line
19 alterations with unknown public acceptance of inadvertent
20 germ line alterations.

21 Now, again, trying to make this a little bit of a
22 data-driven discussion, we can go back to studies with
23 murine retroviruses in model organisms like zebrafish and
24 mice where these have actually been used as insertional
25 mutagens to study key genes in the developmental program.

1 And what we've learned from those studies is that in order
2 to see a phenotypic effect from provirus insertion, you
3 really needed to breed these animals to homozygosity. So,
4 again, our diploid genome can protect us from provirus
5 insertion.

6 However, on the other hand, studies from H.
7 Kazazian on line elements, which are transposable elements
8 in the human genome, have identified that certain retro-
9 transpositions or novel insertions into the human genome can
10 result in human disease. But even this information should
11 be taken in the background rate of retrotransposition, which
12 is about 1 in every 50 to 100 germ cells. So, clearly, our
13 genome can tolerate a fair amount of novel insertions, but,
14 again, depending on the site of integration, disease may
15 result.

16 When we talk about the potential for integration
17 into germ cell DNA, now we need to think about not only the
18 characteristics of the particular vector system that's being
19 used, but also equally important is the route of
20 administration. In this case, unlike somatic cells, ex vivo
21 gene transfer would carry little to no risk. Localized
22 injections, such as intra-tumoral, sub-cu, IM, again would
23 likely carry low risk of germ cell integration. However, if
24 you're doing localized injections into the gonadal regions
25 or system injections, the risk may be higher. And we feel

1 these last two are more than just theoretical postulates
2 because there have been two recent reports in the literature
3 from preclinical studies suggesting that these types of
4 routes of administration could cause germ line alteration.

5 The report by Sato et al. demonstrated that
6 liposome-encapsulated plasmid DNA, when injected directly
7 into the testes of mice, these animals were then bred within
8 a two- to five-day period, and their progeny were shown to
9 carry the transgene.

10 The second report where a retroviral vector was
11 used to inject into rats by the intra-cardiac route, they
12 were able to demonstrate that the hypertensive phenotype in
13 these rats could be corrected not only in the recipient
14 animals but in their progeny as well. So there are at least
15 some data suggesting that germ line alteration can result
16 from these types of injections.

17 Then, to summarize, the factors that influence
18 long-term risks in our opinion would be, foremost, the
19 ability of a gene transfer vector to integrate, and really
20 as correlates of that are the dose of the gene transfer
21 vector or presence of replicating integrating virus, as
22 these would increase the likelihood of integration into a
23 potentially oncogenic locus. The route of administration is
24 going to be key for germ cell integration and really
25 interplayed in all of these are other issues such as immune

1 status of the recipient.

2 The long-term adverse events data that's available
3 that predict could occur in these patients are things like
4 malignancies in the case of somatic cell integration, in the
5 case of germ cell integration, genetic disorders, birth
6 defects, embryonic lethalties.

7 And so really the broad question before the
8 committee today is to ask whether we can achieve through
9 long-term follow-up of patients whether or not--really, as
10 Dr. Patterson was saying, whether we can use this to provide
11 scientific data to assess the long-term risks of gene
12 transfer research, and if so, how can this best be achieved.

13 I'd like to now turn the podium over to Dr.
14 Philippe Bishop. We're going to take questions after both
15 of our talks. He'll be discussing our experience and
16 guidance on this issue in the case of retroviral vectors.

17 DR. BISHOP: Good morning. On March 6th of this
18 year, we issued from the FDA a letter to sponsors of gene
19 therapy trials asking them to describe for us their clinical
20 monitoring programs. We wanted to know what it is that they
21 had established for their protocols and the INDs.

22 In reviewing the responses, it became apparent
23 that some of the sponsors had difficulty following the
24 published recommendations, and we were especially interested
25 in the feasibility and practical issues that pertained to

1 lifelong monitoring. Later in the latter portion of my
2 talk, I will discuss some of those comments, and I hope that
3 you will find what we have learned to be useful in your
4 discussion later this morning. But before doing so, I would
5 like to revisit the event that led the FDA to initially ask
6 for lifelong monitoring, and then also review the current
7 guidance documents.

8 Rooted in the initial request for lifelong
9 monitoring was an event that occurred in 1992, a report by
10 Donahue that described three of ten monkeys that had
11 developed rapidly progressive T-cell lymphomas following
12 autologous bone marrow transplantation using progenitor
13 cells that were transduced ex vivo. These cells were
14 exposed to replication-competent retroviruses. The monkeys
15 were severely immunosuppressed following total body
16 irradiation.

17 The pathologic analysis of these lymphoma cells
18 was significant and demonstrated that numerous copies of
19 replication-competent retroviruses were present. A direct
20 correlation to the lymphoma cannot be overlooked, and at
21 that time we had limited clinical experience with retroviral
22 vectors, and a letter to sponsors was issued in 1993. For
23 the first time, clinical monitoring programs were required
24 for all clinical trials using retroviral vectors.

25 A key principle rooted in the--and prevailing over

1 time relates to a major clinical concern, and that is that
2 clinical exposure to integrating vectors may pose risks to
3 subjects that may not become apparent until years later. De
4 novo cancers can occur following the activation or
5 suppression of cellular genes. Autoimmune diseases may
6 result from unwanted immune responses, and hematologic and
7 neurologic disorders could occur subsequent to unanticipated
8 replication events.

9 These long-term risks to patients serve as a basis
10 for the current requirement in the newly updated guidance
11 document. This document was originally posted in draft form
12 in November 1999 and was just recently finalized and posted
13 on our website in October of this year. It is available at
14 the website that I have listed below there.

15 So what is in the current guidance document? What
16 is it that the FDA is currently seeking?

17 Well, there are two assay that are mentioned for
18 monitoring patients for evidence of replication-competent
19 retroviruses. The first is an antibody assay. The second,
20 which is more commonly used, I think, by sponsors, is a PCR
21 assay looking for RCR-specific sequences in peripheral blood
22 mononuclear cells. Sponsors only need to perform one of
23 these assays, not both.

24 We recognize at the FDA that there are limitations
25 to these assays, and as an alternative, if a sponsor feels

1 that a different testing method is more appropriate for
2 their study, this should be actually discussed with the FDA,
3 and we would be willing to consider alternative methods to
4 implement for the monitoring of replication-competent
5 retroviral vectors in patients.

6 There are five time points that are suggested for
7 RCR testing: pre-treatment, at three months, at six months,
8 one year after treatment, and yearly thereafter.

9 However, testing is no longer required beyond the
10 first year if all samples are negative for RCR at three
11 months, six months, and 12 months. In these cases, yearly
12 blood samples should still be gathered, but archival would
13 be sufficient.

14 If there are clinical concerns or if there are at
15 any point positive results for RCR, additional testing and
16 more extensive patient follow-up may be required. In these
17 cases CBER should be contacted.

18 Upon completion of the intensive monitoring
19 period, which is usually up to a year following the
20 initiation of treatment, yearly clinical follow-up is
21 required. A clinical history that includes questioning for
22 the interval appearance of symptoms or diagnosis of de novo
23 cancers, neurologic and hematologic disorders should be
24 obtained. Any suspected clinical outcomes that could
25 remotely be associated to the integrating--or to an

1 integrating or replicating event should trigger a phone call
2 to CBER, and it is likely that this will result in
3 additional testing or archived samples, in addition to
4 obtaining new samples.

5 If a study participant develops a new cancer, it
6 is recommended that a biopsy be performed and that the tumor
7 be tested for RCR. When a study participant expires, an
8 autopsy and tissue sampling for RCR testing is recommended.

9 Positive results that are neither positive--I
10 mean, positive results, either positive laboratory or
11 clinical findings, should be reported to us in an expedited
12 fashion. All other outcomes should be reported in an annual
13 report. These reports should be as complete as possible and
14 should include a summary of all the laboratory results,
15 including the negative findings, clinical updates, and
16 autopsy findings.

17 If the goal of lifelong monitoring is for us to be
18 able to acquire actual data to assess the true risk to study
19 participants, then I think it is very important that we get
20 good information with these annual reports.

21 Now, as I mentioned at the beginning of this
22 presentation, when we began reviewing responses to the March
23 6th letter, it became apparent that many of our sponsors had
24 difficulty following the lifelong monitoring guidelines.
25 Consequently, we contacted sponsors and we asked them to

1 comment on their experience implementing the lifelong
2 monitoring protocols that they had established for their
3 INDs. Sixty-six percent of our sponsors that are currently
4 involved in retroviral vector studies were contacted. This
5 represented the majority of INDs and approximately three-
6 quarters of all clinical trials under evaluation.

7 We confirmed that 89 percent of INDs, of all the
8 retroviral vector INDs had an established lifelong
9 monitoring of protocol for their studies. Almost all of the
10 sponsors, however, noted difficulty meeting all of the
11 requirements in the guidance document.

12 In an open-ended fashion, sponsors were asked to
13 identify the barriers that were most problematic in
14 conducting and evaluating patients for long-term follow-up.
15 What I would like to do now is to review and to present a
16 brief summary of this survey, and I would like to bring to
17 this committee the concerns that were most frequently, at
18 times universally articulated by the sponsors.

19 First, issues pertaining to cost. This was a
20 nearly universal concern by sponsor investigators at
21 academic institutions and also of commercial sponsors with
22 limited resources. This was especially true for sponsors of
23 trials in which the participants' life expectancy was
24 measured in years, sometimes in decades, rather than months.
25 Some sponsors volunteered their cost estimates for ongoing

1 trials, and these estimates range from \$1,500 to \$5,000 per
2 patient per year.

3 Included in these estimates were costs relating to
4 the yearly clinic visits, including the physician's billing,
5 laboratory testing, sample collection, shipping,
6 preparation, and testing for RCR in the first year, simple
7 archival and storage, the equipment supplies and
8 maintenance, the personnel required for data management, the
9 clinical quality assurance, and adverse event reporting. It
10 also included provisions for periodic auditing and
11 monitoring costs.

12 Some of the sponsor investigators at academic
13 centers were very concerned with the lack of resources,
14 citing that most grants will only fund a study for up to
15 five years and that alternative sources for lifelong
16 monitoring are rarely identified a priori. In addition,
17 many of our sponsors noted that third-party reimbursement is
18 usually sub-optimal. It is unlikely to get an insurance
19 company to pay for RCR testing for these patients.

20 Another almost universal barrier to lifelong
21 monitoring is that yearly clinical follow-up is not always
22 feasible for all patients. Some patients may move or may be
23 lost to follow-up. There may be geographic barriers with
24 some patients having to travel across the country to return
25 to the research centers. Others may be from other

1 continents, such as Europe, Africa, and South America.

2 The patients or the referring physicians may lose
3 interest in the clinical trial. This was commonly observed
4 by sponsors of clinical trials that involve children. Now,
5 these kids usually grow up to adulthood and typically would
6 prefer not to come back to the research center. Their
7 priorities just have changed.

8 Another issue that was raised by some of our
9 sponsors and by sponsor investigators is the inability to
10 consistently obtain adequately reports either from a
11 referring physician to a principal investigator or a
12 principal investigator to a sponsor.

13 Another almost universal concern relates to the
14 unusual level of commitment that lifelong monitoring
15 requires. Many ask: Who is responsible for monitoring if a
16 sponsor--or if a principal investigator moves to another
17 institution? What happens if that principal investigator
18 leaves academia to the private sector or goes to industry?
19 What happens if a business goes bankrupt and no one assumes
20 responsibility for the long-term monitoring?

21 Commercial sponsors and academic institutions are
22 reluctant to devote indefinite resources. In one estimate,
23 in a large trial that was going to involve participants
24 whose live expectancy is measured in decades, budgeting for
25 lifelong monitoring requirements required a half a million

1 dollars per year per 100 patients.

2 I think it is fair to say that for most studies
3 autopsies are just not being obtained, even if our sponsors
4 are well intended and motivated. Most patients die away
5 from the research centers at home or under the care of a
6 hospice service or under the care of a treating physician.
7 When the patient dies or is near death, it is unusual that
8 the sponsor will be notified in time, and usually an
9 opportunity to request an autopsy has been lost.

10 The hospice nurses or the local physicians are
11 unlikely to ask the next of kin for autopsies, and if they
12 do ask, families can decline that request, even in instances
13 in which the participant had previously consented to an
14 autopsy in a living directive.

15 When autopsies are obtained, unless this occurred
16 at the research center, it is unlikely that the tissue
17 sampling for RCR testing will be performed. If it is
18 performed at those remote sites, it is unlikely that the
19 specimen collection will be adequate or optimal for RCR
20 testing.

21 Another commonly raised concern by the sponsors is
22 that the assays that are used for RCR testing lack
23 standardization. Some sponsors had asked for a central
24 laboratory and archival center, essentially the equivalent
25 of a core facility for which autopsies--for which the

1 samples are to be sent and tested and archived.

2 Sponsors are concerned about the sensitivity and
3 the validity of these assays. Are these assays providing
4 reliable information that pertains to the integration or
5 replication events? Are the negative results reliable? How
6 is this information going to be used to guide us in the
7 future?

8 Some of the sponsors questioned the utility of RCR
9 testing and the lifelong monitoring in studies in which
10 retroviral vectors were used to transduce cells ex vivo, for
11 example, the cellular vaccine administered intradermally
12 after the cell had been irradiated. Some investigators view
13 these studies as different from in vivo gene therapy studies
14 and may not feel as committed to the lifelong requirements
15 that are in the guidance document, and they may not work as
16 hard at getting that information for us.

17 Since the March 6th letter, the FDA has received
18 several requests to inactivate or withdraw retroviral gene
19 transfer INDs, representing approximately about 15 percent
20 of these INDs. In instances in which surviving patients
21 were documented, the sponsors were asked--and I have to say
22 have agreed--to continue following these patients annually.
23 The FDA will continue to accept expedited and annual reports
24 for all inactivated or withdrawn retroviral INDs until we
25 have been informed that there are no surviving participants

1 remaining.

2 Now, to ensure monitoring will go on, the agency
3 has limited enforcement options, and if it is the goal of
4 lifelong monitoring to obtain quality information pertaining
5 to the risks associated with the use of integrating and
6 potentially replicating gene transfer vectors, it is
7 unlikely that any of our enforcement options will get us
8 better data. It is unlikely that our enforcement options
9 will solve the problems that are associated with long-term
10 monitoring of patients.

11 So, in summary, I have reviewed the current
12 guidance documents and presented an overview of common
13 barriers that are associated with lifelong monitoring of
14 patients as articulated by the sponsors of retroviral gene
15 vector studies. Significant issues that were raised pertain
16 to the logistics of long-term follow-up, the costs, and
17 sponsors' commitment to doing lifelong monitoring of
18 patients. If it is our goal to obtain quality information
19 that pertains to lifetime risk to participants, our strategy
20 for monitoring must take into consideration the limitations
21 that were presented here. Finally, it is unlikely that the
22 problems associated with lifelong monitoring could be solved
23 by the FDA's enforcement options.

24 Before I entertain questions, I want to thank
25 everyone at the FDA who helped me with this survey, and I

1 also wanted to thank all of the sponsors who have actually
2 volunteered this information.

3 Thank you.

4 [Applause.]

5 CHAIRMAN SALOMON: I'd like to thank both the
6 speakers this morning for a really excellent and pragmatic
7 review of the situation. And I think it clearly is a task
8 for the committee to deal with, to maybe outline a series of
9 reasonable principles upon which to do long-term follow-up
10 that's more pragmatic than the current guidelines for all
11 the reasons that were just outlined, which is going to be an
12 interesting process.

13 Ed?

14 DR. SAUSVILLE: But a pragmatic question is:
15 Where's the money going to come from for that? I mean,
16 because we can make recommendations, but should it be
17 required of sponsors to fund this ultimately? Should it be
18 required of funding agencies?

19 CHAIRMAN SALOMON: I guess I have mixed feelings
20 about whether that's something we ought to be discussing,
21 or, you know, maybe I'll look to Jay and Phil and Amy to
22 comment on it. Do you think that we should be discussing
23 where the funding options should be, or should we not get
24 caught up in that right now and stick with the main topic?

25 DR. SIEGEL: I'm not a--we don't fund clinical

1 trials, and perhaps Amy can comment more cogently. I would
2 say this, though: If we're recommending that the protocol
3 call for something, then it's somewhat duplicitous to have
4 the protocol call for something to commit--in a protocol to
5 commit to follow that protocol and to have that protocol
6 call for something that costs millions of dollars that you
7 don't have. So, I mean, it's hard to avoid that question.
8 Should people be submitting protocols committing to do
9 something that they can't afford to do? I just don't know.

10 DR. SAUSVILLE: Yes, but to follow on what we
11 heard from Dr. Anderson yesterday--and perhaps you could go
12 where I think you would go with this--if you're worried
13 about sequencing a few kilobases of DNA in terms of what
14 physician investigators would react to this requirement, I
15 think that pales by comparison to the potential implications
16 of a sweeping generalization in this mode.

17 DR. SIEGEL: Oh, absolutely. And that's why it's
18 on the agenda. I mean, the current status, as you've heard,
19 is that we've asked for this commitment. There is
20 potentially some perception in the public domain, until an
21 hour ago, that maybe even this data were in some sense being
22 reliably collected.

23 So I think we move to move forward from here with
24 an honest--either saying we don't need the data, we need the
25 data but we're not going to get it, or come up with a

1 solution to ensure that we get.

2 DR. SAUSVILLE: But the biology is obvious. I
3 mean, from any of these vectors we clearly need it if this
4 is going to be widespread. I mean, you know, everything
5 ranging from the particular patient to the larger societal
6 concerns of people who might be treated at an early point in
7 life, as you pointed out or someone pointed out, with a
8 vector and then these people have long-term reproductive
9 potential. So, I mean, it would seem to me that from a
10 biological standpoint the answer is pretty clear.

11 But, you know, there's a discordance between
12 biology and practicality that is really, you know, coming up
13 now.

14 CHAIRMAN SALOMON: Well, I mean, a couple other
15 people want to make comments, but so far what I'm thinking
16 as a strategy here is I think that we should start by going
17 through sort of what we consider based on solid biology,
18 science, and some consideration of what is reasonably
19 practical, what should be a monitoring scheme, and then come
20 back to this sort of funding issue. Because the real
21 problem I have with the funding issue is that my NIH grant
22 is for five years and then I'm done. So if that's really
23 true, then there's no long-term monitoring or I can't do any
24 gene therapy trials, period, end of story.

25 So, I mean, can't--if we make it that simple, we

1 might as well all go home. The sponsors then can worry
2 about it, you know, because they're the only ones who've got
3 any kind of money that goes longer than five years.

4 DR. SIEGEL: Let me respond to that to say I think
5 that's correct, because I think that both the practicality
6 and the costs associated with long-term follow-up depend
7 greatly on what is long-term follow-up. If you want to
8 simply determine if somebody's alive or not, you know, you
9 can do that through serial postcards, phone calls, and maybe
10 get a pretty high reliability. If you want them to answer a
11 question or two, you know, you're going to get less data and
12 more expense. If they need to come in to draw specimens or
13 to do physical examinations and have that reported into a
14 center, that's going to require a lot more resources and
15 have a lot more missing data.

16 Whatever we do, I think if we focus it on what's
17 most important, we're more likely to see it done better. So
18 I think you're right in suggesting first we need to figure
19 out what it is we want and then how to get it.

20 CHAIRMAN SALOMON: I know French had a comment and
21 Dick Champlin wanted to, and then Dr. O'Fallon and Amy.

22 DR. ANDERSON: The first time FDA brought this up
23 was about four years ago, and I was sitting about where
24 Xandra is and, Jay, you were sitting about where Phil is,
25 and I violently disagreed for lifelong follow-up--not long-

1 term follow-up. I agree with long-term follow-up. Lifelong
2 follow-up for many of these reasons. And at the end of that
3 session, it was sort of left vague as to whether it would be
4 lifelong or not.

5 Afterwards, I contacted a number of people who run
6 programs and their bosses, the deans, vice presidents of
7 medical affairs and so on, and I posed them the question:
8 If the FDA requires lifelong follow-up, what would your
9 reaction be? And I basically had to first ask the question,
10 well, what's the value of lifelong follow-up? And in trying
11 to answer that in terms of this sort of, well, what if this
12 happened, what if that happened, the usual response I got
13 was: Well, if people start having cancer based on their
14 gene therapy, then everybody would look for it, so why do
15 you have to spend a lot of money to do a really long,
16 detailed follow-up for something that might never happen.
17 But when we got past that, they said, well, what if it's
18 required, the response was we will not commit to that. If
19 we have to commit to a lifelong follow-up, we will not allow
20 gene therapy trials at this institution. That's what I got
21 across the board from a number of major institutions.

22 Therefore--I mean, this is deja-vu. We're now
23 bringing up the issue again, and I don't have to say what I
24 said yesterday because people know what I would say, and
25 that is, you have to balance, and to budget a half million

1 dollars a year to follow up patients for life on the grounds
2 that you might find something, it doesn't make sense.

3 CHAIRMAN SALOMON: I think what we have to be
4 careful now is that we don't take--I mean, I appreciate your
5 comments, but what we've done now is gone to the end of the
6 discussion and we haven't had the beginning of it. I mean,
7 I think what we owe the FDA--and really, if we are taking
8 this one, we owe the field--is an intelligent discussion of
9 what follow-up should be and why you should do follow-up.
10 And the politics of that follow-up and the funding of that
11 follow-up and sponsors versus academic institutions, albeit
12 all extremely important issues, shouldn't be the first thing
13 we talk about, I think.

14 You know, you could take a different view, but
15 that's the view I'd like to take in the committee.

16 DR. PATTERSON: I'd like to say something, not
17 about cost, actually, but I think an even more fundamental
18 question or equally fundamental, what the biology is, what
19 is the regulatory authority that FDA has to compel follow-
20 up. We can talk about a grant being over, but it's also
21 important to consider if an IND is withdrawn by a sponsor.
22 What is--I think the committee, before we go down talking
23 about the biology and giving recommendations, I think you
24 need to understand what the FDA can and cannot do in this
25 arena. So that's the comment that I--I think if FDA--

1 CHAIRMAN SALOMON: I guess that's what I don't
2 want to do right now. In other words--

3 [Laughter.]

4 CHAIRMAN SALOMON: Now, you can override me, Jay
5 and Phil. But, I mean, we have a choice here that I think
6 has a lot to do with what we do this morning.

7 If you want to talk about--before you decide what
8 follow-up should be, you want to jump ahead--this is the way
9 I'm putting it: that we're jumping ahead to talking about
10 what the FDA can then insist based on no recommendations yet
11 on what it is they're supposed to be insisting on or
12 regulating, then I think that's premature. But if you guys
13 disagree, then override me and we'll put this--

14 DR. SIEGEL: Yeah, I'll override you. I think you
15 can't--thank you for empowering me to do that. I would
16 simply say it's hard to tease apart the two issues, that you
17 can't discuss--what we don't want is a discussion of what
18 should be done in the ideal. That's what we've had in the
19 past. And then to go on doing what we're doing, which is
20 fooling somebody, ourselves, industry, the public, into
21 thinking that we're getting what we all said we should get
22 knowing that we can't get it. So that said, it's hard to
23 tease them apart. I think when you discuss what we should
24 get, you need to discuss it pragmatically both because of
25 costs but also because I think it's very much a fact that

1 the more things we try to get, the less good a job we'll be
2 able to do at getting any of them. I think that's a
3 practical issue in terms of how you follow up patients.

4 I think it would be worthwhile to give a little
5 bit of clarification on that issue. Our lawyers believe
6 that a withdrawn IND, if the protocol calls for continued
7 patient follow-up, the sponsor and investigator retain all
8 their obligations for that follow-up and for reporting of
9 adverse events. To date, when we've received requests to
10 withdraw, in that case we've asked just to avoid any
11 confusion that instead they be inactivated, which means they
12 can't enroll, but with clarification that they would still
13 follow up. And every sponsor has agreed to do that. If
14 they were to insist on withdrawing, it would not make too
15 much difference from a legal point of view, but I think
16 Philippe made a point at the end, which is that they're
17 still required to do so but it's very hard to tell how much
18 commitment and effort is going on. You know, if we don't
19 see data, we don't know what to make of that. There's not a
20 lot we can do. We put trials on clinical hold, but if
21 they're finished, that's not going to matter.

22 We can send an inspectional team out and try to
23 determine whether they're making good enough efforts to
24 follow up patients, and if they're not making good enough
25 efforts to follow up patients, you know, we could--there's

1 not a heck of a lot we could do. We could try to disqualify
2 that investigator from doing FDA-sponsored clinical trials.
3 Then we'd get into an argument as to whether, in fact, their
4 failure to follow up was because of lack of diligence or
5 because, in fact, as we all know, it's very hard to follow
6 up. And I'm not sure--you know, none of those avenues look
7 particularly promising as a way of making it happen. But,
8 yes, you are--you do need to continue to do that.

9 CHAIRMAN SALOMON: I promised Dr. Champlin and
10 then I know Dr. O'Fallon would like to talk.

11 DR. CHAMPLIN: In other fields, of course, we try
12 to do long-term follow-up for late events in hematopoietic
13 transplants. We've been trying to do this for many years.
14 And my observation is that as time passes, it becomes
15 exponentially more difficult to get the patients back and to
16 get good follow-up information. And so as a practical
17 matter, beyond five years, it's really--it's just a major
18 problem getting even compliant patients to return, and all
19 the problems that were raised--investigators leaving,
20 companies closing down, et cetera--come into play.

21 The other issue is that, particularly in blood
22 tests, it's hard to envision that something that you haven't
23 seen in the first few years is going to pop up 20 years
24 later. So even though there is a risk of cancer perhaps 25
25 years down the road, as it is with radiation exposure--you

1 see solid tumors peaking at 25 years after exposure--so you
2 do need long-term follow-up, at least in terms of the
3 history of those events. I'm not sure getting blood samples
4 for that long is going to help you. And so one might have a
5 strategy of sort of intense initial follow-up with sampling
6 of blood and tissue samples as appropriate and then some
7 longer-term postcards and/or registry function that might
8 contact people for the core information about birth defects,
9 unusual diseases and/or malignancies. And you're going to
10 be looking for increases above the expected levels, of
11 course, and so you're going to see birth defects and you're
12 going to see cancer in patients as they live long enough,
13 and the important thing is it's not going to be above what
14 you'd expect in the background.

15 The other issue is that you may not need to follow
16 every patient. If you would construct a study, you know, to
17 look at a sample of patients for a given outcome over a
18 period of time, you might avoid the need of 100 percent
19 sampling of every patient for the duration of their life.

20 DR. O'FALLON: I might be expected to know more
21 about this process than most of the others in the room, but
22 I certainly don't know anything about it in the context of
23 this particular category of patients. Jay just said it
24 perfectly. The more you ask to collect, the more you try to
25 collect on these patients, the less likely your study will

1 be successful and the whole thing will die because of the
2 weight of the process.

3 Earlier, you used the term this isn't rocket
4 science. They're making it into rocket science because
5 they're trying to shoot a rocket at a very precise target.
6 And if you have to do that, you have to treat it as rocket
7 science. If, on the other hand, you're perfectly willing to
8 lob a rock in the general direction of the moon and are
9 happy if it encounters some of the moon's gravitational
10 pull, you might be able to pull that off.

11 We have a horrible history in this country of
12 doing things to patients and having no knowledge of what it
13 is that's going on because we're not even keeping track of
14 where the patients are because we don't even have a way of
15 finding out who has had these materials inserted or this
16 whatever we're talking about. And the legal profession is
17 stepping in and taking all sorts of actions and making all
18 sorts of determinations that somebody is or isn't
19 responsible for all of this. And, of course, it's never
20 possible for us to do a study to find out what's going on
21 because we can't even identify the people who have had these
22 insertions, or whatever.

23 I have been involved heavily in the breast implant
24 controversy, and we could not find women who had had their
25 breasts implanted because nobody was following them up,

1 nobody was keeping a record.

2 If we didn't do anything other than try to keep an
3 annual postcard follow-up on where these people were so that
4 when people began to observe adverse events we can mount a
5 scientific study, that I think is possible. But if we
6 insist that every protocol carry with it the extra burden
7 that we've just been talking about of samples and follow-up
8 and whether the samples are archived for 20 years or 30
9 years or studied immediately, that will ultimately spell the
10 death of any program that you try to put in place for all
11 the reasons that we've just heard, because of the financial
12 aspects, the financial weight.

13 But I think we owe it to the patients and we owe
14 it to ourselves and we owe it to society to try to find some
15 way to just keep track of where they are and who they are.

16 CHAIRMAN SALOMON: Okay. I know Carole wanted
17 to...

18 DR. MILLER: Again, from the bone marrow
19 transplant standpoint, we know that it is important to
20 follow these patients long term, and we've had major
21 publications in the last couple years out of the
22 International Bone Marrow Transplant--the Autologous Bone
23 Marrow Transplant Registry, on 10- or 20-year follow-up of
24 patients looking at the risk of secondary malignancies, et
25 cetera, which really move--which really help us when we talk

1 to the patients, you know, who are starting out now. So I
2 think as a scientific community, much less a legalistic
3 community, we should be doing everything we can, I think, to
4 collect as much long-term information on these patients as
5 possible. And it's possible through, you know, the national
6 registries that are scientific in nature to follow up, you
7 know, transplant patients, which are a volunteer
8 organization, but are funded through a group of committed
9 researchers who know that this is a life-threatening
10 complication and there's long term--they've got together 20
11 years, 25 years ago now for the IBMTR and says we're going
12 to follow these patients long term. I think that that
13 should be--that that type of rigor is really benefiting us,
14 and so from a scientific standpoint, I think that that's
15 what should be strived for.

16 From a practical standpoint, I'm understanding of
17 the risks and the difficulty, but this is--you know, I think
18 that the minimum amount that we should be able to--that we
19 should do is at least very aggressive follow-up for the
20 first five years. It works very well in bone marrow--I
21 think reasonably well in bone marrow transplant, that you
22 tell the person when they come to begin with that you need
23 to be followed up for five years. All these people getting
24 gene therapy studies have a disease that, you know, unless
25 gene therapy cures it, is going to need follow-up anyway,

1 just like bone marrow transplant, you know, you need to
2 follow up their leukemia, you need to follow up--I mean, and
3 we have experience with genetic diseases where we
4 transplanted people 20 years ago and we follow them out.
5 This can be done and most bone marrow transplant programs
6 don't have a sponsor, except for the Federal Government and
7 their academic institutions.

8 So I really strongly think--support that we need
9 to obligate people who are doing very exciting protocols to
10 commit to long-term follow-up.

11 On the other hand, I agree with Dr. O'Fallon that
12 the easier you make it--and the archiving samples for 20
13 years is probably not necessary, if you put a finite in, you
14 need to archive samples for five years, because that's the
15 expectation that they're going to come back, and for the
16 next 20 years you follow them up, year after that, and after
17 20 years, you know, we hope that by that time we'll all know
18 what the answer is and not worry about that, and then you'll
19 commit to lifetime, which scares people, but you commit to
20 20 years, which is four granting cycles, and we've had our
21 BMT program project for 25 years, it can happen. So that's
22 from the transplant standpoint.

23 CHAIRMAN SALOMON: I wanted to amplify from the
24 organ transplant standpoint, there's already very well
25 established precedents, and in the U.S., our renal disease

1 database has tracked patients through HRSA, through
2 dialysis, all the way through to transplantation, and
3 there's follow-up now available that's over 20 years. It's
4 accessible through the Web. It's accessible to the press.
5 It's accessible to investigators. There's United Network of
6 Organ Sharing, which follows all kidney transplant, liver
7 transplant, heart transplant, pancreas transplant patients.
8 There's another--a series--there's North American Pediatric
9 Transplant Registry. There's the ESOT Registry for
10 transplantation, and I can list two or three others,
11 Tarisoki's (ph) Registry, some of which have 20-year follow-
12 up. We all voluntarily--they're Web-based access. I mean,
13 there's a lot of things that can be done. This is plenty of
14 precedents for very functional, long-term databases in other
15 disease states and therapies, and we just, you know, heard
16 about the International Bone Marrow Transplant--

17 DR. CHAMPLIN: I think the common feature of all
18 this is that you need an infrastructure. You need an
19 organization whose job it is to collect these data, and
20 there needs to be a funding mechanism, and you have to have
21 a nurse, a research nurse or a data manager that calls the
22 people. And it's going to become an increasing burden when
23 you've got thousands of patients that you're following and
24 not just a handful. And so gene therapy programs over time,
25 assuming the growth--expected growth of the field, this is

1 going to become an enormous burden. So there has to really
2 be an organization whose job it is to do this function, and
3 it's a big cost.

4 And so it always comes down to, you know, on the
5 grand priority scale, should we be putting millions of
6 dollars into this function or should we be putting millions
7 of dollars into fundamental research in cancer therapy or
8 gene therapy or whatever? And it's a big cost for possibly
9 a small return, but we all agree it's an important question.

10 DR. SIEGEL: One other point that adds to the
11 complexity here is that for most of those registries that
12 you've mentioned, most of the patients are getting
13 essentially the same treatment by essentially the same route
14 for more or less the same disease, although the etiology--
15 well, you're shaking your head, and I know there are lots of
16 reasons your kidney can fail. But let me assure you that
17 when you start collecting--if you start doing something like
18 that for gene therapy, are you going to start--you know, in
19 determining cancer incidence against background, are you
20 going to, you know, compare a topical therapy, a local
21 injection of an adenovirus with an intracerebral injection
22 of a retrovirus, or that with a three order of magnitude
23 higher dose of a totally different retrovirus that's been
24 engineered to avoid some of the problems of the first one?
25 It's like there's so much variability that it's hard to know

1 even how or where to group the data when you think about
2 that, which is--

3 CHAIRMAN SALOMON: The reason--

4 DR. SIEGEL: --exactly on point for long-term
5 follow-up but is on point as to the ease with which simply
6 creating a registry is a solution.

7 CHAIRMAN SALOMON: Well, the reason I was shaking
8 my head is that if you go to the website right now at UNOS,
9 you can follow kidney, heart, lung, liver, pancreas, small
10 bowel. In other words, they're all different. They're all-
11 -over the last ten years there have been at least ten
12 different immunosuppressive regimens. So, I mean, all I'm
13 saying is that you can collect data. That's all I was
14 shaking my head at. If you want to talk about how to
15 evaluate the significance of data in gene therapy, all your
16 points are well taken. I have no quick answers for any of
17 that.

18 DR. PAPADOPOULOUS: I just wanted to make the
19 point that, you know, this whole concept of collecting data
20 is really the same--this is the clinical version of the
21 sequencing issue that we had yesterday. In the ideal world,
22 we would want to collect data forever, clinical data
23 forever, and that's just not feasible.

24 There is also the other issue that there's the
25 public perception of what we're doing and what we need to

1 do, and perhaps what would be an intermediate step is to
2 demand, request information be collected and samples be
3 collected for a certain period of time, and then make the
4 public comfortable that beyond that period of time, through
5 extensive patient education--and that's not cheap, either--
6 that the patients are fully aware that--and as Carole said,
7 these patients usually have an illness which requires
8 ongoing medical follow-up. It's not as if the majority of
9 these patients are going to be lost from any medical follow-
10 up. But to have patient education of the importance of
11 follow-up and through just yearly postcards and surveys and
12 things like that, that information be collected. The key
13 thing is that there has to be, as Dan mentioned before, an
14 infrastructure, there has to be some organization to receive
15 that information, like the IBMTR, ASBMT, et cetera, et
16 cetera. But perhaps putting some of the burden on the
17 patient that they have to be educated early on in the
18 process, those that are going to be long-term survivors,
19 obviously, may leave an out for the public awareness that
20 we're doing something for the patients who are alive 10, 15,
21 20 years down the line.

22 DR. SAUSVILLE: I was going to pursue a point that
23 may be a corollary of an issue that Jay raised and actually
24 does want to point to a potential difference in comparison
25 to what we'll say, the organ registries. I mean, you know,

1 you don't have pancreas version 1.1, 1.2, pancreas with a
2 different promoter. I mean, you know, there are numerous
3 technological issues that come into differences between
4 different trials, and in a sense, you're making a
5 requirement of sponsors that is going to be perceived as
6 treating them differently, for example, than other drugs.
7 These things are sort of on the interface between an
8 organism or an organ and a drug.

9 So I think that we should consider, again, with an
10 eye toward promoting the many possibilities of this field,
11 to consider how sponsors would react to requiring them to
12 put in some sort of quasi-public database what ultimately
13 are, shall we say, strategies in formation. And I think
14 that's an additional difference in comparison to the
15 transplant-related issues.

16 CHAIRMAN SALOMON: Well, I think, you know, I
17 mean, there's an obvious danger here I'm going to try and
18 step aside from, and that is, you know, get into this
19 ridiculous, well, no, but pancreas transplant, you know, you
20 could do with steroids and without steroids and with
21 cyclosporin and with FK506 and with micro-fanolay (ph)
22 mofitil and with rapamycin and in all different
23 combinations--

24 DR. SAUSVILLE: That actually illustrates the fact
25 because all of those immunosuppressive regimens after the

1 fact become legally defined treatments that we can all talk
2 about. But in the anterior case, when you're developing the
3 agents, I mean, I'm sure we don't have the detailed, shall
4 we say, dossiers, the registration dossiers, for those
5 agents in anything that approaches the public domain. So
6 there is a difference.

7 CHAIRMAN SALOMON: So Ed makes a couple of
8 interesting points here that I actually have a note to bring
9 up, and so this is a good time to bring it up. That is, who
10 is the target for a database? And who are we designing a
11 database for? Are we designing it for scientists? Are we
12 designing it for a regulatory agency, specifically the FDA,
13 or for government agencies like the NIH and the CDC, et
14 cetera? Is it for sponsors? Is it for the press? For the
15 public? And for the patients? Who is it for?

16 DR. SIEGEL: Well, I think Amy spoke very well to
17 the fact that there are many potential consumers of a
18 database, and they're all quite valid. But I would like to
19 focus today's discussion not so much on who to build a
20 database for but on what information FDA should be
21 requesting and requiring, because that's a question that we
22 need an immediate answer to, independently of how the
23 database is structured or whatever. Hopefully there will be
24 a database that will be populated with information, but it's
25 likely to be populated only with that information that

1 protocols call to be collected and that we--and that is
2 feasible for people to collect in an efficient way. And
3 those decisions are the decisions that we really need to be
4 making now as we're reviewing the protocols. What
5 information will be collected, how, and by whom?

6 CHAIRMAN SALOMON: Well, you could narrow this
7 conversation to a database for the FDA, which, of course, is
8 a big narrowing of the conversation of this morning--

9 DR. SIEGEL: Fair enough. Which isn't to say that
10 we're by any means the only or not necessarily even the
11 primary consumer. But it is our current interest, what
12 information we're going to ask for people to collect and
13 ultimately submit to us and presumably--

14 CHAIRMAN SALOMON: So I guess my response to that,
15 in a question form, is: In one way, of course, it satisfies
16 Ed's issue because if it's to the FDA, it's all confidential
17 and we don't have to worry about all this stuff being in the
18 public domain. I don't know if that's a good answer, but
19 that would certainly satisfy that problem.

20 But the other question really is: Is that the
21 best advice that this committee can give the field at this
22 point? I mean, I think we've not succeeded because every
23 time we get at these issues, we become divisive. So we're
24 talking about a database that the public wants, but now
25 we're talking about only the database that the FDA wants,

1 but not the database that the RAC wants or the database that
2 the Congress wants or the database the sponsors want. And
3 then, of course, nobody has any money to do any of it.

4 DR. SIEGEL: First you have to collect the data or
5 you can build all the databases you want and you won't have
6 data to put into it. It is our hope--it is our intent, as
7 we've publicly stated in the past, in the last year in the
8 FDA, that a significant amount of gene therapy information
9 that's submitted to the FDA will be releasable into the
10 public domain, but the rules--you know, there's a limited
11 amount that one can discuss rules that are under
12 development. Suffice to say we have stated publicly and
13 committed publicly to promulgate rules that would allow
14 substantial additional release of information into the
15 public domain. So it would be our hope that if more
16 information were submitted, whether to the FDA or elsewhere,
17 that could be used to populate a database whether it's at
18 the NIH or elsewhere, or that would be--or directly from the
19 FDA that would be publicly accessible.

20 But, again, the issue is what information to
21 collect, and I might--you know, as we've moved along and
22 talked about the types of information and the pragmatic
23 implications, I think, you know, the wisdom of your earlier
24 remark is growing in my mind, not surprisingly, and I'm
25 thinking that maybe--

1 CHAIRMAN SALOMON: I was going to point it out in
2 a second.

3 DR. SIEGEL: Right. But I didn't want to divorce
4 the science from the pragmatism, and I think we need to
5 address the pragmatism, but we need to address the science,
6 and the first question goes to that, because we're talking
7 about gene therapy as a group, for one thing, and as we all
8 know there's a great deal of diversity in gene therapy, and
9 to date, as highlighted in the talks, our policies have
10 focused on retroviral gene therapy because of--largely
11 because of concerns about insertion, but then, again, there
12 are--there's a variation among retroviruses and how much
13 insertion there is. There are other vectors that insert and
14 there are long-term risks besides insertion, and we would
15 like to focus on where--how to determine when and how much
16 long-term data is necessary.

17 DR. CHAMPLIN: One other side of that is, you
18 know, who's going to look at the data, how is it going to be
19 presented. It would, of course, in the public interest to
20 know what the cumulative risk of malignancies related to
21 retroviral systemic treatment would be, just as it's been
22 published what the risk of secondary malignancies is after
23 an autologous bone marrow transplant. So you're pooling
24 thousands of cases, you know, from different centers here.
25 One would be potentially looking across many companies for

1 protocols, but someone would need to access that data,
2 analyze it, and then present it publicly, and with all of
3 the, you know, considerations for proprietary information.
4 But, still, this is what Amy and others are calling for.
5 Somebody wants to look at the public safety of the overall
6 strategy of gene therapy.

7 CHAIRMAN SALOMON: Dr. Noguchi, and then Dr.
8 Torbett.

9 DR. NOGUCHI: Yeah, I'd like to try to just
10 address Dr. Sausville's very cogent point, and this is a
11 very unusual field. Actually, in terms of the proprietary
12 nature or what we would term commercial confidential typical
13 FDA submission, that's much less of an issue in this
14 particular field because of the presence of the RAC and the
15 public review process. Whether or not a protocol is
16 discussed publicly, information that is sufficient to really
17 distinguish between promoters and retroviral--within
18 retroviral vectors as an example is available. And I think
19 that we should not try to distinguish this as an FDA
20 requirement or public requirement, but the fact of the
21 matter is that FDA is charged with the regulation of this
22 field, and as such, information is submitted to us. But we
23 certainly do feel that the scientific information has pretty
24 much a public domain aspect to it by its history and the
25 continued public exposure through the RAC.

1 We too often get into that if it goes to FDA it's
2 all private. That's not totally true, and especially in
3 gene therapy it is not true. Much of it is public. Some of
4 it is not.

5 DR. CHAMBERLAIN: I guess some of us at this end
6 of the table are a little confused, because when you set up
7 a database, you know what you're going to use that database
8 for, and you have to have inclusion of that, what
9 particular--who you're going to--what audience you're going
10 to address, how that information is going to be assessed.
11 And I guess I haven't heard--at least, I think all of us
12 have an idea of what database internally means, but I think
13 it's clear from each individual here that we all have a
14 different idea what that database should be and who the
15 database is for. And I don't think that's clear to some of
16 us down here at this end of the table.

17 DR. GORDON: Yeah, I think I'm quite impressed by
18 the differences drawn between procedures such as bone marrow
19 transplantation and organ transplantation as global field
20 and gene therapy. I really think one thing that could help
21 the FDA would be to advise them that a blanket protocol for
22 follow-up is not suitable here, that what we need to do is
23 look at what the protocol is, make some assessment of what
24 kind of information would be important in long-term follow-
25 up, and gear the follow-up protocol to what's actually been

1 done to the patient.

2 I mean, on RAC, we've seen normal people get
3 subcutaneous replication-defective adenoviruses to see what
4 kind of antibodies they develop. Well, it's still going to
5 be very difficult to give that person a lifelong follow-up
6 or do Southern blots on all their children if they're not
7 going to cooperate with that. So, you know, in the cases
8 where people are seriously ill, the follow-up won't be as
9 difficult. They're going to have to be followed for their
10 illness. So I think one thing at least we might be able to
11 do is advise FDA that follow-up needs to be tailored and the
12 data collected would then be tailored.

13 DR. SIEGEL: But wouldn't that lead to only
14 seeking long-term follow-up on people who aren't going to
15 live for a long time?

16 DR. GORDON: No, no. Not at all. You could
17 easily have a person born with an enzyme deficiency who
18 receives a retrovirus, and they could live a very long time,
19 but that could be tailored as a long-term follow-up
20 protocol.

21 Now, again, I'm not typically thought of by people
22 other than patients as a patient advocate, but here I have
23 to say that to tell a person--I mean, sure, it's a burden on
24 the sponsors to follow people up. But what about the burden
25 on the patient to be followed up? It's a big burden, and

1 they may not cooperate. But I think FDA can't control that.
2 They can just say, look, if you're seven years old and
3 you're getting an enzyme deficiency correction by gene
4 therapy, we recommend the following follow-up protocol, and
5 that would be different from a person with a melanoma
6 getting an intratumoral injection.

7 DR. BREAKFIELD: Yeah, I mean, I think the more
8 scientific information we can gain and the more hands it is
9 in to be analyzed is going to be beneficial. But I guess
10 the thing I worry about most is just kind of a real
11 epidemiologic disaster. You know, some of these replicating
12 vectors that could have altered tropism and could be shed
13 into the population or trials where, you know, they're
14 putting BEGF in to cause angiogenesis in the heart, but
15 actually it could cause tumor formation even in a
16 relatively--you know, five-year period. I think we have to
17 target our questions as much as we can. What are our
18 concerns, our wildest concerns? And we have to make sure
19 that we get that information. We don't want--you know,
20 these are amplifying drugs, as somebody once phrased it, and
21 we have to at least make sure that we capture anything that
22 is going to be a real public--you know, major public
23 problem, whether it's that you do induce cancer in somebody
24 within five years based on a certain type of therapy or that
25 you generate a novel vector, a novel virus that's going to

1 cause--whether it causes warts or whatever else it does,
2 people are not going to be happy.

3 DR. ANDERSON: Well, in the first place, let me
4 say that this discussion is infinitely superior to what we
5 did four years ago, and it's getting me thinking,
6 particularly some things Carole said in terms of we already
7 follow up many of these patients because they have a long-
8 term illness. And so I was just thinking about my own
9 personal compliance if this were a requirement. Some
10 protocols, like our ADA protocol, Ashi DaSilva (ph) doesn't
11 have an illness that I'm not on the phone with either the
12 family or the doctor. It's been ten years. That will go
13 on--well, she'll live longer than I do, but certainly my
14 lifetime. A lot of the protocols that have been associated
15 with it didn't work. I don't know. There's no way we'll
16 get a follow-up on these for 20 years.

17 So I guess what I'm trying to think of is what is
18 something practical. I mean, that's what we're here for.
19 What's the practical--and so I'm going to make a proposal
20 simply as a start, and it's going to be exactly what Dr.
21 O'Fallon said, because I think that is right on, and that
22 is, clearly for five years, every one of us agree five years
23 is perfectly appropriate, intense follow-up, exactly as the
24 FDA says.

25 But after that point, there are going to be

1 specific protocols like replicating adenovirus where one can
2 have a feeling that one would like to know where those
3 patients are. And my feeling is, having listened to
4 everybody, thinking about it, that what is enforceable, what
5 investigators and sponsors will do--and, you know, in the
6 last analysis, if investigators don't think it makes sense,
7 you know, it's going to die. I mean, you can pound and you
8 can shout and so on, and you can say we'll send an
9 inspection team. You don't have the resources to send an
10 inspection team. Even when there are--not big problems, you
11 certainly do when there are big problems, but even when you
12 know there's a little problem.

13 So I guess if it's five-year follow-up and then
14 there is a clear agreement to maintain contact with the
15 patient, whether it's a yearly postcard--you can give your
16 list to a secretary to simply call once a year to get a
17 follow-up, get a current phone number and who the physician
18 is, and that's doable. But as soon as you talk about
19 bringing the patient back, come back from Cleveland to get a
20 blood study and the patient or the family says, Why are you
21 doing this? Well, because the FDA demands it. That's--

22 DR. SIEGEL: Are there--you know, Question 1,
23 which asks: FDA currently asks that gene transfer trials
24 using vectors with demonstrated potential for genome
25 integration would include plans for long-term follow-up.

1 What characteristics of gene transfer methods should trigger
2 the need for long-term follow-up? Well, you've suggested
3 that there might be certain types of vectors where you want
4 more. Are there not somewhere, in addition to knowing where
5 they are, you'd want to seek information about, say,
6 malignancies, congenital anomalies, maybe neurologic,
7 hematologic disorders in the case of retrovirus?

8 CHAIRMAN SALOMON: Jay, before French answers that
9 specifically, what I wanted to do is do exactly what you
10 started to do.

11 DR. SIEGEL: I'm sorry.

12 CHAIRMAN SALOMON: No, no, no. That was perfect.
13 So you just did what I was going to do, is read Question 1,
14 because I think we need to get on with specific questions,
15 because I think that the conversation has devolved back
16 around to it, is let's be practical, but what it is that--
17 you know, what protocols, what principles for long-term
18 follow-up can we come up with that are reasonable now, and
19 then we can vet it against the discussion we already had
20 about practicality. And I'm not giving up so easy on the
21 idea of--I can't advise the committee at this point to
22 restrict it to just what we think the FDA wants. I'd like
23 to just see it in general and then we can maybe ferret out--
24 if there's something unique that the FDA wants that the RAC
25 doesn't want or the public doesn't want.

1 DR. SIEGEL: I don't think that's a real or a
2 significant distinction in any case. I think we're all
3 interested in learning what we need to learn about the
4 safety and efficacy and long-term effects of this
5 environment. It's hard to imagine--you know, different
6 people have different opinions, but it's hard to imagine
7 information that's of interest to the RAC or the NIH and not
8 the FDA or vice-versa.

9 CHAIRMAN SALOMON: I'm more comfortable with that
10 then the idea that this is just about the FDA.

11 So let's pick up these things that we've sort of
12 come to logically, and that is, we've got--like we spoke
13 yesterday, there's a universe of different sorts of vectors,
14 retroviral vectors, adenoviral vectors. Actually, we've
15 discussed these. Let's have some discussion about by
16 vector--I mean, there's a whole bunch of different issues,
17 but let's just say by vector type first. I'm just trying to
18 start somewhere, with the different kinds of follow-up. So
19 integration, does everyone agree that an integrating vector
20 is a dividing line for, you know, a follow-up issue?

21 DR. BREAKEYFIELD: Well, I would say integrating
22 vector and certainly any vector that has potential
23 replication competence needs to be tracked.

24 CHAIRMAN SALOMON: That's two things: an
25 integrating vector and a replication-competent vector. Does

1 anyone want to add--is that a dividing line? So if you
2 approach a gene therapy--any gene therapy trial at any
3 point, would a general principle be is it integrating or
4 replicating, and that would be an important thing to
5 consider. I'm not saying there aren't five other things,
6 but does everyone agree those would be two important things?

7 DR. GORDON: Yeah, I think that overlaid on that
8 is the issue of whether they're put into a cell with the
9 potential of replication. In other words, I would make a
10 distinction between irradiated cells that receive
11 integrating vectors ex vivo and are put back from
12 integrating vectors injected directly into meiotically
13 competent cells in the person. So I think if you generalize
14 to say replicate--vectors with the potential of replication,
15 whether it because they're integrated and the cell's
16 replicating its DNA, or whether they're replication-
17 competent is a class of vectors that requires special
18 attention.

19 CHAIRMAN SALOMON: Okay. So if a gene therapy
20 protocol would involve a cell that's irradiated, so that
21 there's no reasonable expectation that any of those cells
22 would survive, that wouldn't fall--that wouldn't cross the
23 line, let's say, into this group of--but if it otherwise
24 would be some--there'd be long-term survival or at least a
25 reasonable expectation, we should say, because we don't

1 really know what the efficacy of a given trial is before
2 it's done, but if there's reasonable expectation if the
3 trial is successful that the cell line carrying an
4 integrated vector will survive, so that now covers an ex
5 vivo treatment and transplantation of some sort or in vivo
6 treatment with the design to get integration. Or if we have
7 replication-competent virus--

8 DR. SIEGEL: That's an important issue. I think
9 Philippe noted that a number of investigators have proposed
10 that ex vivo transduction not be followed the same way. I
11 just want to make sure we've explored that because you
12 potentially can create 10^{12} insertional events, right, ex
13 vivo in non-replicating cells and put them into the body to
14 exist long term. Are we, in fact, saying that because
15 that's limited, those cells aren't going to reproduce,
16 there's not going to be more virus, and it's not going to
17 get any more exposure than just 10^{12} cells that we don't
18 need the same sort of follow-up?

19 CHAIRMAN SALOMON: I guess the purpose of trying
20 to articulate these principles is to deal with that; in
21 other words, to--if a principle is fulfilled by ex vivo
22 therapy, then it's fulfilled. And if it's not, then it's
23 not. But the idea is that there are any living cells that
24 are not replicating is just not biologically very valid any
25 longer. I mean, any cell that's living can replicate. We

1 even know that neuronal cells, which for many years the
2 paradigm was couldn't replicate, we even know they're
3 replicating.

4 So, you know, certainly any cell that there is a
5 reasonable anticipate is going to survive function in a
6 dynamic tissue environment, to me fulfills the principle of
7 a cell that--you know, of a therapy that should be followed
8 in a different way than, let's say, an irradiated cell or a
9 cell that doesn't survive.

10 DR. BREAKFIELD: Even a cell that isn't going to--
11 --even if it wasn't going to propagate and is infected with,
12 let's say, a retrovirus vector that was contaminated with
13 RCR, so we have to decide whether our level of contamination
14 could go on to produce those on-site. So--

15 CHAIRMAN SALOMON: Right. So that would fulfill
16 the principle of replicating vector.

17 DR. BREAKFIELD: But I think it's less of a
18 chance than if you flood the body systemically with a
19 retrovirus vector, for sure.

20 CHAIRMAN SALOMON: I was saying that that's a good
21 example of the principle working. So there the principle
22 was that there was a replicating viral vector that was put
23 in, even if the cell itself was irradiated, we know that an
24 irradiated cell can replicate--you know, can do gene
25 transcription and replicate viral vectors. In fact, we all

1 know that radiation actually can enhance retroviral gene
2 transcription, for example. So I don't think the
3 irradiation has anything to do with anything if you've got a
4 replicating vector being transplanted.

5 DR. NOGUCHI: But isn't it also--if you're talking
6 about a replicating cell or living or not living, I think
7 for many of us at FDA when we review something, irradiation
8 is not killing the cell. It's inactivating the component of
9 it, but it's still metabolically active and may be secreting
10 cytokines. So I think we need a little clarification, Jon,
11 really, on what you meant.

12 CHAIRMAN SALOMON: Well, let's--I mean, the
13 question here is, if you irradiate cell, so Phil is saying
14 if I irradiate a cell and transplant it, would that cross a
15 line into a long-term follow-up by the principle? Now, my
16 argument would be that that cell wouldn't survive, that it
17 would last a couple weeks, at least in vitro, I've never had
18 any irradiated cell survive in any of my cultures for more
19 than a week or two, though some stable epithelial cell lines
20 maybe longer, but, I mean, essentially no. So I think you
21 could still argue that those wouldn't cross the line by the
22 principle to long-term follow-up. But, you know, other
23 comments from others, including Phil? You don't agree?

24 DR. SIEGEL: So is the issue cell survival or cell
25 replication? Because I'm confused about the scientific

1 importance of cell replication. If a virus replicates,
2 well, as we saw in Neenhouse's (ph) animals, you have the
3 opportunity for many new insertional events at different
4 loci. What particularly is the relevant risk factor of
5 whether the cell replicates, or is it really whether the
6 cell survives? That's the concern.

7 CHAIRMAN SALOMON: I wasn't making a distinction.
8 If the cell survives--I was just pointing out to you that
9 any transplanted cell that's not irradiated and survives
10 replicates.

11 DR. NOGUCHI: I guess we're still a little
12 confused here because what happens in vitro is by no means
13 the same as in vivo. We really don't have a whole lot of
14 information of how long irradiated cells would last
15 implanted in any given site. So what we're looking for is,
16 I guess, a brighter line. Are we talking about cells that
17 we expect to survive beyond a certain time when implanted?
18 That would be very useful to know.

19 CHAIRMAN SALOMON: Well, that's excellent. So we
20 could say, then, as a--you know, to the list of the
21 principles, if you don't have confidence that an irradiated-
22 -that irradiation per se doesn't necessarily guarantee that
23 the cell will only live transiently or survive transiently--
24 and I'm not going to argue with you because I don't have any
25 in vivo data--then the response to you would be that a

1 protocol involving an irradiated cell with gene therapy,
2 with integration, right, that was transplanted, that either
3 the sponsor or the investigator would have to show very
4 clearly evidence that convinced the FDA review that it
5 wasn't surviving, or that it would cross the line into a
6 category that would require long-term follow-up. Does
7 everyone agree with that? I have no problem with that.
8 Carole?

9 DR. MILLER: There's two reasons--I mean, there's
10 many different reasons, but, I mean, you can separate cells
11 that are used to be--are gene altered in order to provoke an
12 immune response--we're talking about vaccines--versus
13 alterations that are either ex vivo or in vivo intended to
14 survive and replace something that is missing, correct?
15 Isn't that the two major--if you're doing it simplistic--

16 CHAIRMAN SALOMON: Well, if you get a vaccine and
17 the--I would just--following the--again, I'm trying to test
18 the principle with these different examples, but if you did
19 a vaccine that irreversibly modified a cell and that cell
20 survived, even if your strategy was rather a short-term
21 interaction with the immune system, if the integrated cell
22 survived and/or propagated, it still would fulfill the
23 principle and require long-term follow-up. Just because you
24 decided that it only had its impact for the first three
25 weeks, if the cell survives and has an integrant, it would

1 still fall under the principle for long-term follow-up.

2 DR. ANDERSON: Well, using your principle of
3 hypothesis-driven science here, a non-replicating cell is
4 not going to pick up the additional hits to end up with a
5 cancer--I mean, all we're really concerned here is are you
6 going to get cancer, are you going to get in the germ line,
7 are you going to affect some other gene so that it messes up
8 the immune system or the neurologic system or something
9 else.

10 So what are the chances? Well, yes, you could
11 argue, well, there might be one chance in 10^8 that a non-
12 replicating cell might turn on a gene that might turn on a
13 fact that might be next to something in the--but, you know,
14 now you're going way out.

15 If you've got an integrated gene into a blood stem
16 cell, I'd say that this is long term. But just because
17 you're in a cell that survives but seldom divides, you're
18 not going to pick up the multiple hits to really have an
19 effect. So I think we're pushing people--what you--what
20 you're trying to get at, and I agree with, is where's the
21 percentages of seeing a problem, because what this field
22 cannot survive is another unexplained death--much less an
23 explained death, which is something worse. And what we want
24 to avoid is that suddenly there's a rash of hundreds or
25 thousands of patients that develop a lymphoma or leukemia

1 because of a gene transfer ten years before.

2 But, of course, if that happens, it won't be
3 stopped or detected because of a database. It'll happen
4 because hematologists look at this and say, great, you know,
5 this person got gene transfer, let's look and see what we
6 find here.

7 So I guess--I was trying not to say anything, but
8 it sounded like we were starting to push too much and
9 including too many things, and I'd rather stick with Occam's
10 razor and just say what are the most likely things where you
11 need a special long-term follow-up, and a replicating virus
12 or integrated virus in a dividing cell fits that, and I'm
13 not sure if anything else does.

14 DR. NOGUCHI: Just to argue a little bit on that,
15 French, the fact that if a cell survives that has an
16 integrated virus, that you can guarantee would never
17 replicate is one thing, but this is biology, you can never
18 guarantee that. Certainly there are multiple cases of
19 things coming from a non-dividing cell can cross to another
20 cell, thereby lending it--and I would say that the
21 experience with cold culture as a more sensitive method of
22 detecting replicating-competent retroviruses would just
23 suggest that the chance of something happening with a long-
24 living but not dividing cell with an integrated virus would
25 not be terribly different than with a growing cell. I mean,

1 you could still get those things.

2 The question, I guess, we're just trying to
3 articulate is, based on the science that we know right at
4 the moment, is the distinction between dividing cell and
5 non-dividing cell sufficient for us to make that distinction
6 at this point or not. And I think we're getting very good
7 discussion on trying to hone in on that, so I wouldn't--

8 DR. CHAMPLIN: What do you consider a non-dividing
9 cell?

10 DR. NOGUCHI: Non-dividing living cell is what
11 we're talking about here, specifically because irradiation
12 may or may not render that cell capable of long-term
13 survival.

14 DR. CHAMPLIN: If it's irradiated--and so I guess
15 I would just think of three sort of simple categories. You
16 have that example, say the example of a transduced endritic
17 cell or immunotherapy protocol where you've irradiated the
18 cell, it's expected to survive only a short time, and it's a
19 non-replicating integrating virus, you would not expect,
20 logically, at least, in that situation, late events to
21 occur, assuming you don't--you can't demonstrate
22 replication. So that would be perhaps one setting that you
23 wouldn't need long-term follow-up.

24 On the other hand, if you are having a non-
25 replicating virus in a long-term surviving cell, say, for

1 example, a lymphocyte, is transduced with PK or another
2 vector, those cells might become lymphomatous presumably
3 over a long period of time and you would need long-term
4 follow-up for such a trial. Or if you transduced liver
5 cells, you know, that could develop hepatoma over a period
6 of time.

7 And then any time that you had a replicating
8 virus, of course, then you'd need long-term follow-up. So
9 the one situation where I would envision you might not need
10 long-term follow-up is an irradiated short-term cell with a
11 non-replicating virus.

12 CHAIRMAN SALOMON: Xandra?

13 DR. BREAKFIELD: Yeah, I just wanted to add, I
14 think I actually agree with everything that French and
15 Richard have said. I think it depends on how you put the
16 gene in though. You know, if you just use DNA transvection
17 to put a gene in and the cell can't divide, I mean, I don't
18 think there's much of a risk. But, you know, if you put it
19 in with a retrovirus vector, then you do have to worry about
20 the recombinant replication-competent vectors. So in a way,
21 it's how you alter the genome that determines if it succumbs
22 to the potential risk to generate a virus in the future.

23 CHAIRMAN SALOMON: But can I just make one point
24 as a cell biologist? There is no such thing as a non-
25 replicating living cell.

1 Okay. Now you're playing rate, but I'm just
2 telling you that it's replicating.

3 DR. SIEGEL: Next somebody will probably say that
4 there's no such thing as a non-integrating virus, it's just
5 a matter of frequency, right?

6 CHAIRMAN SALOMON: Now, one thing that--so I think
7 you've got a set of principles out of us that we, you know,
8 touched on a couple examples and they, you know, created a
9 little controversy, but nothing that we couldn't handle. So
10 maybe that's, you know, a step in the right direction.

11 The question I now have is: Retroviruses
12 definitely integrate. Now, but we can't--that's the easy
13 one. Let's talk about viruses that create these episomal
14 DNA, such as the herpesviruses, EB virus, poxvirus, and then
15 I'd like to go to the really hard one, which is the
16 adenoviruses, which apparently can catamarize and that they
17 can detect DNA later and there's a possibility of
18 recombination in the genome. So let's deal first with, you
19 know, these different forms of episomal DNAs. Are those--
20 they're not integrated, so what do we think of that?

21 DR. SIEGEL: Let's also put on that list plasmid-
22 vectored gene therapy because we're--it's a significant
23 class that we need--

24 CHAIRMAN SALOMON: Okay, okay. Fine. Let's start
25 with just the herpesviruses because I brought that up first.

1 Then we'll do plasmid and then we'll do the adeno, because I
2 find the adeno is not my area of expertise. I'll look to
3 others for that.

4 DR. BREAKFIELD: So if we just take EBV and
5 herpes as examples of episomal states, EBV is in a
6 replicating episomal state. It replicates along with the
7 cells. So I would say that although normally it doesn't
8 integrate, its chances of integration are probably higher
9 than certainly something like an adenovirus, I would think,
10 a non-replicating adenovirus. So it's--I think you have to-
11 -people haven't actually, you know, quantitated that in
12 great detail. I think you need to know that. But it's
13 there. It's replicating along with--it's associated with
14 the chromosomes. Its chance of integration is relatively
15 high.

16 With herpesvirus, when it's in the episomal state,
17 it's a very condensed nucleosomal configuration. It doesn't
18 even express genes very well. And so I don't think it's a
19 problem of integration when it's in that state. The
20 problem, of course, with herpes is reactivation. You know,
21 that you can't--is it going to at some point reactivate and
22 especially if it's replication-competent, cause, you know,
23 additional problems. So it has its own problems about
24 integration, but it's a different type.

25 But the other issue is that, you know, the new

1 trend in vectors is hybrid vectors. So people take mixed
2 and--many people in gene therapy want to integrate, so they
3 take elements from retroviruses and put them in the context,
4 so they're trying to get integration. So I would say
5 discuss the common viruses now that people use is just very
6 early stages now, we're going to--

7 CHAIRMAN SALOMON: Just so that we kind of go back
8 into these principles, again, if we put in a replication-
9 competent vector, then that fulfills the principle that we
10 go on and follow it, right? So in the sense that a
11 herpesvirus, let's say, can be reactivated, we should say
12 that that's interpreted as therapy involved being
13 transplantation of a cell with a replication-competent
14 vector, it fulfills the principle and needs long-term
15 follow-up. Right?

16 DR. BREAKFIELD: Right, and I would say any
17 genome, even if it's non-replicating herpes, that's in
18 there, that's in there for a long time, and when it--when it
19 reactivates, it doesn't have to make new virus. It could
20 reactivate and then make itself available integration. So
21 there is always a chance that that DNA is staying in the
22 cell long term that's integrated. It's just what is the
23 relative frequency of that and at what point should we be
24 concerned. We need to know the relative frequency, and then
25 we can say this level of frequency we're going to live with,

1 you know, and what those levels would be, I'm not sure,
2 actually, right offhand, but I would say there's probably
3 some--the relative rates will be so low we won't be
4 concerned about it.

5 CHAIRMAN SALOMON: Okay. So I would say by the
6 principles of caution, given what we know now, it would be
7 reasonable not to exclude the herpesvirus vectors from any
8 of this, in other words, it's not just retroviral.

9 Dr. Gordon?

10 DR. GORDON: I just wanted to say the same, that I
11 think if vectors are replication-competent, even if it's
12 only a potential competence, then I personally have the
13 intuition that the risk of integration is commensurately
14 increased and, therefore, I think that's the major
15 categorical division in my own mind, is if they're
16 replication-competent, a different level of attention needs
17 to be paid to them.

18 DR. ANDERSON: I think Xandra has made a superb
19 point. I suddenly feel like the old thing about generals
20 are always planning how to win the next war based on the
21 previous war, and it never works that way. And I was
22 thinking about the main things that we're doing, we're
23 developing hybrid vectors, adeno-retroviral, adeno-linthy(?)
24 virus, replicating this, adenovirus with a replicating
25 retrovirus inside of it. And I've been thinking, looking at

1 the tables in here, as we have adenovirus--that's not where
2 we're going. So we've got to do it based on function and
3 what happens, not is it a herpes or is it an adeno or is it
4 a something, because that's not where we're going.

5 DR. SIEGEL: You'll note our question, in fact,
6 for that very reason doesn't ask which classes of virus. It
7 says what characteristics of gene transfer methods should
8 trigger.

9 CHAIRMAN SALOMON: I think that--I'm hoping that's
10 what we're doing here. So how about plasmids?

11 DR. NOGUCHI: Before we go there, Jon, could I ask
12 a little more detail there? You said replication-competent
13 or potentially replication-competent.

14 DR. GORDON: Well, if you have a latent virus, it
15 may not be regarded at the moment as being replication-
16 competent, but it could make itself available for
17 integration, which would make it replication-competent,
18 because the cell can replicate. All non-replicating vectors
19 that we know about will have some possibility of
20 replication-competent contaminant. So how does that--does
21 that make any difference to you?

22 CHAIRMAN SALOMON: Phil, I think the principle
23 here that we're saying is it's not that there might be a
24 small contamination of replication-competent retroviruses.
25 That you have to figure out up front, and if we can't

1 satisfy--I think if a sponsor or an investigator doesn't
2 satisfy you that they've--that that's enough, then it--you
3 could use the same principle and say I'm not satisfied,
4 there may be contamination by RCR, and that would be--that
5 would fulfill the principle.

6 I think what we're talking about here is the
7 distinction of latent virus, that a lot of these vector
8 types are latent, and so they may--they're not really
9 correctly replication-competent, so we were amending the
10 principle to say replication-competent virus or latent virus
11 that could become replication-competent given stress or
12 immunosuppression or something else. Is that fair?

13 DR. GORDON: Yeah. I just wanted also to make a
14 brief comment about the tenor of the discussion. I remember
15 when the guys went to the moon and they came back, and they
16 were sitting there on the runway or whatever, and there was
17 some question as to about when they should be let out
18 because they could have picked up some infectious organism
19 on the moon, for which we have no resistance. So should
20 they still be quarantined today? I mean, I don't know. But
21 I'd say that an appropriately defensive person might have
22 done that, then I think at some point we have to figure out
23 what is our best guess about what is the greatest risk. We
24 can't do everything in every situation.

25 DR. ANDERSON: But, Jon, you remember what

1 happened when that happened. Lyndon Johnson just broke the
2 quarantine, walked over and shook their hand in front of
3 television.

4 DR. GORDON: Well, whatever happened there--

5 DR. ANDERSON: And nobody died and so they forgot
6 the quarantine.

7 DR. GORDON: What I'm trying to say--

8 CHAIRMAN SALOMON: That's great TV, French, but I
9 don't know if that's public health. But my point is--

10 DR. SAUSVILLE: Actually, I think Lyndon is dead,
11 but--

12 [Laughter.]

13 DR. GORDON: Well, what I'm trying to say is I
14 think if you have criteria for a level of contamination, I
15 don't think you should then get paralyzed because you're
16 never going to eliminate all of it. And I--

17 CHAIRMAN SALOMON: My strategy would be--no, I
18 think that's fine, and my strategy here is enunciate a
19 series of principles that would require some type of long-
20 term follow-up without any implications yet from the
21 committee on what that long-term follow-up is, and then deal
22 with that issue directly. So you're just one step ahead of
23 where I was trying to go.

24 Carole?

25 DR. MILLER: Since French got to make a little

1 statement yesterday and I'm the other retiring person, I
2 just wanted to comment on this. I was a little concerned
3 about what French said about whether the field can withstand
4 another death or unexpected death. And as an oncologist,
5 somebody who in general understands in a bone marrow
6 transplant that unless you--5 to 10 percent of the patients
7 die, you're not giving enough chemotherapy, and attempt to
8 cure a disease which cannot be otherwise cured. So I just
9 wanted to bring it to the public domain that in general gene
10 therapy--in general, the risk has to be worth the benefit.
11 And, therefore, in an attempt to cure diseases which cannot
12 otherwise be cured, we're likely to get deaths. And what
13 the public wants us to do is to make sure that patients are
14 adequately informed of the risks and benefits so they can
15 make an informed decision, and that's our role to advise you
16 how to do that. And, secondly, that rules are made that can
17 be reasonably followed and, therefore, with some expectation
18 in that.

19 So, I mean, I heartily expect that at some point
20 more people will die related to gene therapy, but I even
21 better expect that there will be more people potentially
22 cured or long-term disease. And so you have to expect some
23 potential deaths. And what I'm hoping, that 20 years down
24 the road that we'll understand what the risk of lymphoma
25 will be after this, expecting that there will be some

1 lymphomas, and as hard as it is as an oncologist to see a
2 patient die of a secondary lymphoma after treatment of
3 Hodgkin's disease, you have to understand that this patient
4 is alive 20 years later from MOP(?), which at a time 20
5 years ago was fatal.

6 So that's less than three minutes, but I just
7 wanted to put that into the demand, and so when we're
8 talking about this, we have to think about what it isn't.

9 CHAIRMAN SALOMON: Can we go back to plasmids?

10 DR. ANDERSON: I just want to say, Rick Weiss is
11 sitting in the second row. Did you hear that, Rick? Did
12 you get all that written down, get that in your notes?

13 Thanks a lot.

14 DR. GORDON: I think plasmids deserve attention as
15 long-term follow-up potential because of their potential for
16 integration.

17 CHAIRMAN SALOMON: So plasmids can integrate even
18 though they're classically a transient, everybody agree with
19 that? Okay. So, again, as we go vector by vector, it seems
20 that no one's convinced, based on what we know today in the
21 field, which is fine--that's what we're here to tell you--
22 that any of these vector classes are particularly devoid of
23 the potential of integration in some way. Ed?

24 DR. SAUSVILLE: Yeah, I mean, well, DNA, I mean,
25 it's well-known DNA (?) is a mutagen, basically. And so

1 with that I think all this has to be on the table.

2 CHAIRMAN SALOMON: So somewhere here, my sympathy
3 with one of French's earlier comments comes back, and that
4 is, at some point here, though, maybe we're getting a little
5 too gray. In other words, plasmid might integrate and now
6 we're getting into the principle of any time there's DNA in
7 a cell, it might integrate; but we're not now putting--and
8 so that would by our principle trigger a long-term follow-
9 up. I'm wondering at some point whether we ought to put a
10 brake on it. In other words--based on what we know now.
11 Are there--would you accept that there are some things that
12 could be done before a trial got initiated that might
13 satisfy the FDA that you were below the level of concern,
14 you know--

15 DR. BREAKFIELD: Yeah, I don't know what that
16 should be set at, but I think if investigators, whatever
17 they use, plasmid or whatever vector, there was some kind of
18 assay they did to look at just integration. You know, it's
19 harder to look sometimes at low levels of replication-
20 competent virus. But--and gave a number of what that
21 relative frequency was in, you know, a couple of standard
22 cell type, and then--like we said, because I agree, I think
23 the chance of plasmid DNA integrating is very low. And, you
24 know--

25 CHAIRMAN SALOMON: Ed, go ahead. Do you have a

1 follow-up?

2 DR. SAUSVILLE: Well, that--I mean, building on
3 that way of starting to think about it, I mean, one thing
4 that's been sort of missing from our conversation this
5 morning is something that has come up in other meetings of
6 this group, and that is the reliance on the preclinical
7 model that you're trying to emulate in the clinical trial to
8 help guide one's sense of risk. And in thinking about the
9 way we've been discussing this, I really think that many
10 gene therapy trials are actually going to come to the fore
11 based on somebody doing something in a model. In fact,
12 we've actually taken the position in other fields of
13 requiring such a thing or strongly advising that such a
14 thing be required.

15 So I guess--so to frame the question, is there any
16 way that we can delimit the nature of the follow-up based on
17 how things behave in a model and have that make it more
18 tailored, actually, to address the point that was just
19 raised.

20 CHAIRMAN SALOMON: Okay. So that's a really
21 interesting point. So up until now, we've come up with a
22 set of principles that really are triggered by the nature of
23 what we know about the vector and are independent basically
24 of depending on any preclinical model to modify it. So, in
25 other words, if I had a retroviral vector that integrated

1 and I had a non-human primate model for it and showed that I
2 didn't get cancer in a three-year follow-up or whatever--I'm
3 just trying to put a concrete example to the discussion--
4 then I could say I don't need long-term follow-up because
5 there's no cancer risk. I don't think that there's any
6 model design that would satisfy that, and that's why I don't
7 think that in developing these sort of principles that the--
8 for long-term follow-up I'm talking about now, not for
9 efficacy.

10 DR. SAUSVILLE: Right. It wouldn't--

11 CHAIRMAN SALOMON: If this is reasonable.

12 DR. SAUSVILLE: It wouldn't satisfy it, but,
13 again, applying the principle of what proportion, again, it
14 can certainly give some pretty good hints, right? I mean--

15 CHAIRMAN SALOMON: I guess that's what I was
16 getting at, that at a certain point here, if we can argue
17 that it's getting gray enough, like plasmid, then maybe it's
18 reasonable to go and argue--for example, if you're going to
19 do a cell transplant, modification with plasmid, if I take a
20 percentage of the cells that I was going to transplant and
21 demonstrated that there was no integration at the time, you
22 know, would that be useful?

23 DR. SAUSVILLE: To pursue the point, if we took a
24 cystic fibrosis-related plasmid vector that gets inhaled
25 into a bronchial tree, and then you follow the animals along

1 and you show that within a certain finite period of time you
2 can detect the DNA in some sort, but they never pass into
3 the genome, they never develop any tumors within the
4 lifetime that you would project the animal, I would feel
5 pretty comfortable with having a relatively low threshold
6 for mandating an onerous follow-up procedure to follow up
7 all of those possibilities with that.

8 Now, that's very different than the retrovirus
9 situation where, you know, 10^{12} events and to something
10 that's pumped back into the bone marrow and you get lymphoma
11 later or leukemia, that to me is a far more, shall we say,
12 pressing potential risk.

13 DR. CHAMPLIN: The sample size considerations here
14 come into play. You're talking about, you know, an
15 unacceptable cancer risk, say, of 5 percent, you know, you
16 need a huge n to be able to detect--

17 DR. SAUSVILLE: But as Carole pointed out, I mean,
18 this gets into what you're trying to fix, and I think that,
19 you know, given the magnitude of a disease that is
20 frequently treated that patients can be given some sort of
21 boundary conditions, and then they can make their choice.

22 DR. CHAMPLIN: But to even know if cystic fibrosis
23 treatment is going to cause cancer in X percent of people,
24 you need to study it. And, you know, it's important
25 information to have, either positive or negative.

1 DR. SAUSVILLE: Again, to make the distinction
2 between--yes, I take the point. But a person who--or a
3 patient who receives a gene therapy within the lifetime of
4 the model does not seem to indicate that you'd need to
5 follow for neoplasms. If 25 years from now they then all
6 come down with this, I mean, that would form a very
7 epidemiologically distinctive cohort that you could just say
8 that wasn't well predicted by the model. But you still
9 would have been able to make that inference. The issue is
10 between 0 years--0 and 25, are you going to tell the
11 originating company or sponsor or academic investigator,
12 they're going to have to have in place a plan to collect
13 samples for all that period of time?

14 DR. CHAMPLIN: I don't think you need samples, but
15 I think you need a postcard: How are you doing? Do you
16 have lung cancer?

17 CHAIRMAN SALOMON: That's a pleasant conversation
18 with the government. So, I mean, I want everyone to go on,
19 but so one principle I see sort of being articulated here,
20 which I think is very interesting and appropriate to add, is
21 that in a situation in which you have, let's say, a
22 retroviral vector or a latent viral vector, it's really
23 clear that right now that you're going to either get
24 integration or have a latent virus, et cetera, at least
25 within the bounds of the science right now. Then you've

1 triggered by the principle that you'd have some form of
2 long-term follow-up.

3 When it gets grayer, like a plasmid, let's say,
4 then it's reasonable to bring in a number of modifying
5 factors into the decision, which we've heard could be
6 excellent animal data, albeit issues that Dr. Champlin
7 raises, that one would have to demonstrate what you'd expect
8 in that population and what you'd predict and whether your
9 animal model modeled that properly, like a 1 percent--0.1
10 percent or 5 percent; also would be the different patient
11 populations, right? Obviously a dying cancer patient, you
12 know, in extremis might have a different risk profile than a
13 young patient with a genetic defect that you were trying to
14 ameliorate.

15 DR. ANDERSON: It's the second time that a number
16 got tossed out that's an incorrect number, and I just want
17 to correct it for the record. Twice now a statement has
18 been made about 10^{12} hits in blood from retrovirus. That
19 doesn't happen. Adenovirus certainly you get 10^{12} hits
20 because you can get a titer that's up high and there's--it's
21 highly efficient. Retrovirus, if you have a titer of 10^8 ,
22 that's really good and you had to play a lot of games, and
23 if you do 100 mils that's really extraordinary, which would
24 be 10^{10} , and that's 100 percent efficiency. You don't get
25 100 percent efficiency. So you're probably three orders of

1 magnitude less than 10^{12} . The 10^{12} number I think came from,
2 in those monkeys that had lymphoma and the report that I
3 wrote, and I went back two lab notebooks--I mean, there was
4 a lot of lab notebooks, so it was easy to get to them--lab
5 notebooks, and there's a lot of information that report that
6 it's not in any other published paper. I calculated the
7 total hits that it took in those animals, with 100 days, 120
8 days of retroviremia, and the total hits in the animal was
9 in the 10^{12} range. But that took months of chronic
10 retroviremia.

11 CHAIRMAN SALOMON: Well, I think one of the
12 things, though, that we--that I've learned as a transplanter
13 over the last 20 years is 100 days may seem a long time to a
14 fellow in the lab anxious to get his next paper out, but
15 it's really meaningless, because I blink my eyes and it's,
16 oh, my patient's back for their six-month visit. So I think
17 that, you know, one-year, two-year, five-year follow-ups is-
18 -you know, if there's really a risk, it's...Phil?

19 DR. NOGUCHI: I'd like a little bit of discussion
20 on the question of animal models because, actually, earlier
21 it was suggested that if you could demonstrate, let's say,
22 in an animal--in a reasonable animal model that an
23 irradiated cell that's transduced disappears after a certain
24 amount of time, that that would--that we could potentially
25 exempt that from long-term follow-up. That seems to be

1 something that could be reasonably done in an animal and
2 would reasonably give you data.

3 I think the question is really open about
4 inputting something into an animal and really expecting that
5 you're going to get a tumor at all. I've spent many years
6 with tumor models, and unless you put in a tumor cell, it's
7 really hard to do the opposite with, say, DNA or chemicals
8 or anything like that. So we have two things with animal
9 models. One is where you can reasonably be sure it will
10 give you data to make a decision, and the other could be
11 just simply a stochastic determination that, well, at least
12 we didn't see a tumor. And is that sufficient?

13 CHAIRMAN SALOMON: I think that--Ed, you should
14 comment, too, but I think that none of us are suggesting
15 that there's any good animal model, because I think Ed and I
16 sort of had that to and fro when he made--I mean, I don't
17 think Ed's suggesting and I'm not suggesting that there's
18 any animal model that's going to predict tumorigenesis very
19 well. I mean, if it does immediately and positively, no
20 one's going to argue about it. But I'm saying, you know,
21 these sort of low risks, how many hits and how many animals,
22 et cetera, I don't think anyone's suggesting that.

23 I think where an animal model could be relevant is
24 if I was a sponsor and I wanted to do a trial with plasmid,
25 and you said, well, does it integrate, I think what Ed was

1 saying is that you could potentially design an animal model
2 that would allow us to sufficiently argue with you that it
3 was gray enough that I would be asking for exemption from
4 long-term follow-up based on that.

5 DR. SAUSVILLE: I would comment simply that, you
6 know, the nice thing about DNA is you can follow the vector.
7 I mean, you can put boundary limits around what you would
8 consider likely and unlikely events. And with the issue of
9 tumorigenesis, I certainly agree with you that if tumors are
10 your endpoint, you're going to get a real spectrum because
11 depending on the strain and the background and what you're
12 inciting stimulus is, you can have 100 percent tumors or you
13 can have 0.1 percent tumors as your readout.

14 But I do think, though, that if you closely model
15 the intended use that this would allow more or less
16 certainty as to what expected behaviors might be. And the
17 "might be" is there whether you're dealing with genes or
18 drugs or any of these substances. The animal models only go
19 so far. But I do think that instead of just making blanket
20 statements that if you're DNA, you've got to do X, Y, Z, A,
21 B, C, that's where I think there could be a lot of useful
22 input.

23 DR. MILLER: A point about exemption, and I guess
24 that we could--you could do standards that say that these
25 are the routine follow-ups that need to be done, but you can

1 have sort of a proviso in there that if adequate studies are
2 done and if the company wants to, instead of--or the sponsor
3 instead wants to make a system for long-term follow-up,
4 wants to spend enough money to develop reasonable model
5 systems or collect the data that will be able to present it,
6 and the FDA with their prerogative, similar to the secretary
7 of state in Florida's prerogative to grant exemptions, would
8 be able to say, you know, yes, this is--this we'll exempt.
9 But I think you should--if you set a minimum and then make
10 it the burden to say why you don't need to meet those
11 minimum, clearly if they got, you know, the case where the
12 normal volunteers are getting sort of a--something that's
13 not expected to have any integration attempt, and, you know,
14 you just say that--you know, say that, but just, like, leave
15 some latitude and there may be a very reasonable way of
16 doing it, but set a minimum.

17 DR. SIEGEL: I guess what I'm envisioning, based
18 on integrating this discussion, which has really, I think,
19 touched well, if not yet completely, on all three of our
20 questions, would be moving from a system which I would
21 oversimplify by characterizing as saying we're requiring
22 rather intensive annual in-person follow-up on all patients
23 who receive retroviral vectors and we're treating other gene
24 therapy pretty much as any other therapy that might have
25 some long-acting effects, you know, and which you do your

1 year or two study and wrap it up, to a system where we
2 employ a much broader range of tools over a much--phased in
3 based on the risk factors discussed, there might be a lot--a
4 much broader range of therapies than just retrovirus where
5 we should be collecting information long term, but at one
6 end of the spectrum where the information is--where the
7 risks are low, theoretical, but not negligible, that might
8 be limited to just ability to track the patients so that
9 somebody can come back and do an epidemiological study. As
10 the risks get higher, it might be intensive two- or five- or
11 whatever-year follow-up as appropriate, followed by
12 postcards that not only--and phone calls that not only
13 support tracking but may ask the three or four critical
14 questions about the complications of concern and potentially
15 graduate from that in some particularly high-risk protocols
16 to a more intensive follow-up requirement.

17 That seems to be at least what I'm envisioning
18 coming from this. My guess is that--and I don't mean to
19 wrap up this discussion because I'd like to hear more
20 discussion--

21 CHAIRMAN SALOMON: But what you're doing now is
22 exactly where I was going to go next, and that is, let's
23 talk--we've sort of articulated principles that have stood
24 the test of at least today's discussion, and, you know,
25 they've given you--we've taken you all the way to the gray

1 area and then added, you know, that whereby--we've given
2 you, told you where animal models and other kind of studies
3 could then be used by sponsors and investigators to argue
4 one way or the other, and we've given you principles in
5 which if you get integration, if you get survival of the
6 cells, if you have replication-competent or latent virus,
7 you have--you now fulfill the long-term follow-up, right? I
8 mean, so--and it's not just retroviral vectors, which I
9 think is probably the most--one of the key things you should
10 be hearing this morning.

11 Then the next step would be what is long-term
12 follow-up. Right? I mean, I think that's where we need to
13 go next.

14 So with that in mind, what--I mean, what kind of
15 thinking do you have on this? I mean, I think that it's
16 really clear from the discussions that preceded all this
17 that if we now get off on this indulgent, you know, sort of
18 self-righteous thing of long-term follow-up means daily
19 blood tests and, you know, archiving the right arm every
20 week, you know, then I don't think that's going to work.
21 So, I mean, let's--what is long-term follow-up?

22 DR. SAUSVILLE: I like postcards. Okay? I mean,
23 I think that is, shall we say, the most minimal position for
24 everybody. I'm open to the idea that for particular
25 indications--and, again, this would be where the animal

1 models and the nature of the therapy would be informative--
2 it might be prudent to consider more invasive or more
3 sample-driven things. But to me, I think as long as you're
4 able to construct a numerator and a denominator of who gets
5 a problem and who has a basis for getting a problem, that's
6 going to be setting the stage to be doing future science,
7 should the need arise in a way that's going to cost fairly
8 little. And I would even go so far as to say, given the
9 policy and confidentiality and proprietary natures of
10 things, maybe even something that could be requested by the
11 FDA as their special response to this concern and could be
12 something that could be a right of the FDA, or--I mean, I
13 just put that on the table.

14 CHAIRMAN SALOMON: I think that's important. I
15 mean, let's make sure that we all agree that what we're
16 talking about right now isn't the first year. Can we all
17 agree that we're not discussing the first year? We're
18 going--we're not going to touch that area, right? You're
19 going to archive. You're going to do the biopsies. You
20 know, if it's lung, you're going to get lung. If it's
21 blood, it's going to--okay? So we're only talking about
22 what goes on after the first year, not that those aren't
23 important issues, but we're not--we're taking them off the
24 table. Okay.

25 So getting back to this idea of is it postcards, I

1 actually would go another step further, and I would think
2 that what you need is a database like UNOS that's publicly
3 supported. And it's--but, remember, UNOS interactions are
4 basically a postcard kind of interaction, so I'm not talking
5 about any more intensive interaction with the patients, but
6 I am talking about a little more organized than asking the
7 sponsors to send postcards. I don't buy that.

8 DR. SAUSVILLE: I mean, I agree. I certainly
9 think publicly supported--the issue of whether publicly
10 available and such is clearly another separate issue.

11 CHAIRMAN SALOMON: I wasn't talking about
12 publicly--I'm talking about publicly supported. I think
13 there's no way out of it that the recommendation--now, this
14 is for discussion, but my position is there's no way out of
15 the fact that someone's going to have to put a dollar down.
16 I'm not saying it's the FDA, but someone's going to have to
17 support it, and then you have a competition, and like we do
18 for the UNOS and for these other databases. And whoever
19 wins the competition, you know, basically gets the contract
20 the next five years.

21 DR. NOGUCHI: Just remember, though, the UNOS
22 public portion of the contract is relatively small. Most of
23 it is now done through user fees by UNOS. So I would not
24 want to imply that this is easily supported by simple public
25 funding through grant mechanisms.

1 CHAIRMAN SALOMON: I guess I'm not talking about
2 easy. I'm just saying if you want a database, somebody's
3 got to have to put a buck out. But I think the committee
4 has the right to disagree with what I'm saying, and they
5 should now if they do. But the question is where is going--
6 where's the onus for this kind of follow-up? And what I
7 think is very important is that the onus shouldn't be on
8 individual sponsors, because then what you have to do is you
9 have to supervise 150 different sponsors who are in and out
10 of academic positions and companies closing, et cetera.

11 If you have a central group that says if a patient
12 is--gets gene therapy just like a patient got a kidney
13 transplant or a patient got a heart transplant, then you
14 follow from a single spot. Then you just have to follow the
15 patients.

16 DR. SIEGEL: Let me follow up on this idea,
17 because I'm not sure where it's going. The group you
18 propose--I mean, this is an interesting--an important policy
19 proposal and one that needs discussion and consideration.
20 It has received a great deal of discussion and
21 consideration, and input is appreciated. There is no group
22 sending out that postcard. You're saying it shouldn't be
23 the sponsor. So if I get a protocol tomorrow, I shouldn't
24 require that the sponsor follow up the patient because
25 that's an unfair onus and I should just wait until some