

Overleaf

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

3113 '02 FEB 14

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS
ADVISORY COMMITTEE

MEETING

+ + + + +

WEDNESDAY,
JANUARY 30, 2002

The Advisory Committee met at 9:00 a.m. in the Versailles I and II Rooms of the Holiday Inn Bethesda, 8120 Wisconsin Avenue, Bethesda, Maryland, Dr. Robert S. Daum, Chairperson, presiding.

PRESENT:

- ROBERT DAUM, M.D., Chair
- MICHAEL DECKER, M.D., Member (non-voting)
- PAMELA DIAZ, M.D., Member
- WALTER FAGGETT, M.D., Member
- BARBARA LOE FISHER, Member
- JUDITH GOLDBERG, Sc.D., Member
- DIANE GRIFFIN, M.D., Ph.D., Member
- SAMUEL KATZ, M.D., Member
- KWANG SIK KIM, M.D., Member
- STEVEN KOHL, M.D., Member
- AUDREY MANLEY, M.D., M.P.H., Member
- PETER PALESE, Ph.D. Member
- GREGORY SLUSAW, PhRMA.
- DIXIE SNIDER, M.D., M.P.H., Member
- DAVID STEPHENS, M.D., Member
- RICHARD WHITLEY, M.D., Member

ALSO PRESENT:

- WILLIAM FREAS, Ph.D., Executive Secretary
- NORMAN BAYLOR
- DANA BRADSHAW
- LINDA CANAS
- ROBERT COUCH, M.D.

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

OPEN

(202) 234-4433

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

www.nealrgross.com

3835T

ALSO PRESENT:

NANCY COX, Ph.D.
BENEDICT DINIEGA, M.D.
WALTER DOWDLE, Ph.D.
BILL EGAN
THEODORE EICKHOFF, M.D.
KEIJI FAKUDA
NEIL GOLDMAN
JESSEE GOODMAN
ALEXANDER KLIMOV
ROLAND LEVANDOWSKI
KAREN MIDTHUN
MARTIN MYERS, M.D.
GREGORY POLAND, M.D.
JODY SACHS
ZHIPING YE
RICHARD YORK
KATHRYN ZOON

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

I N D E X

Call to Order, Introductions, Administrative
Matters, Dr. Robert Daum 4

Presentation of Plaques to Retiring VRBPAC
Members, Dr. Kathryn Zoon, FDA 8

Session 1 - Open Session
Strain Selection for Influenza Virus Vaccine for
the 2002-2003 Season

Introduction, Dr. Roland Levandowski, FDA 11

U.S. Surveillance, Dr. Keiji Fukuda, CDC 17

World Surveillance and Strain Characterization,
Dr. Nancy Cox, CDC 38

Molecular Characterization of Strains,
Dr. Alexander Klimov, CDC 74

Open Public Hearing 88

Additional Surveillance Reports, Ms. Linda
Canas, DOD 89

Vaccine Responses, Dr. Roland Levandowski, FDA . 106

Availability of Strains and Reagents, Dr.
Zhiping Ye, FDA 130

Comments from Manufacturers, Dr. Slusaw, PhRMA . 137

Options for Strain Selection, Dr. Roland
Levandowski, FDA 146

Committee Discussion and Recommendations 178

Open Public Hearing 203

Adjournment 203

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

P R O C E E D I N G S

9:09 a.m.

1
2
3 DR. DAUM: Good morning. We call the
4 meeting to order and the first post-Nancy Cherry
5 iteration of our Committee and having said that we are
6 grateful to Bill Freas for being here and stepping
7 into tall shoes.

8 I think we'll begin today with asking
9 Committee Members and guests and consultants to
10 introduce themselves to us and then we'll proceed into
11 our business.

12 David, would you start off, please?

13 DR. STEPHENS: Yes, David Stephens, Emory
14 University, Atlanta.

15 DR. KIM: Kwang Sik Kim, Johns Hopkins.

16 DR. KOHL: Steve Kohl, Argonne Health
17 Science University.

18 DR. SNIDER: Dixie Snider, CDC.

19 DR. GRIFFIN: Diane Griffin, Johns
20 Hopkins.

21 DR. DIAZ: Pamela Diaz, Chicago Department
22 of Public Health.

23 DR. MANLEY: Audrey Manley, Spellman
24 College, former Public Health Service.

25 DR. PALESE: Peter Palese, Mt. Sinai

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 School of Medicine in New York.

2 DR. WHITLEY: Rich Whitley, University of
3 Alabama, Birmingham.

4 DR. FAGGETT: Walt Faggett, D.C.
5 Department of Health, Howard University.

6 DR. GOLDBERG: Judy Goldberg, NYU.

7 DR. DAUM: And now our guests and
8 consultant contingent.

9 Ted?

10 DR. EICKHOFF: Ted Eickhoff, University of
11 Colorado.

12 DR. DOWDLE: Walter Dowdle, Task Force for
13 Child Survival and Development, Atlanta.

14 DR. COUCH: Robert Couch, Baylor College
15 of Medicine, Houston.

16 DR. POLAND: Greg Poland, Mayo Clinic,
17 Rochester.

18 DR. MYERS: Martin Myers, National Vaccine
19 Program Office.

20 DR. DECKER: Michael Decker, Vanderbilt
21 University and Independence Pasteur.

22 DR. DINIEGA: Ben Diniega, Department of
23 Defense, Health Affairs.

24 DR. COX: Nancy Cox, CDC.

25 DR. LEVANDOWSKI: Roland Levandowski,

1 Center for Biologics, Evaluation and Research.

2 DR. DAUM: Thank you. Ms. Fisher has
3 arrived. Do you want to introduce yourself, please?

4 MS. FISHER: Barbara Loe Fisher, National
5 Vaccine Information Center.

6 DR. DAUM: And I'm Robert Daum from the
7 University of Chicago. And we'll turn the floor over
8 to Bill now for conflict of interest statement.

9 DR. FREAS: I would like to read into the
10 public record the conflict of interest statement for
11 this meeting.

12 The following announcement addresses the
13 conflict of interest issues associated with this
14 meeting of the Vaccines and Related Products Advisory
15 Committee Meeting on January 30, 2002. Based on the
16 agenda made available, it has been determined that the
17 Committee discussions for the influenza virus vaccine
18 formulation present no potential conflict of interest.
19 The Director of the Center for Biologics Evaluation
20 and Research has appointed Drs. Robert Couch, Walter
21 Dawdle, Theodore Eickhoff, Martin Myers and Gregory
22 Poland as temporary voting members for the discussion
23 on the selection of the strains to be included in the
24 influenza virus vaccine for the 2002-2003 season.

25 In the event that the discussions involve

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 specific products or firms not on the agenda for which
2 FDA participants have a financial interest, the
3 participants are aware of the need to exclude
4 themselves from such involvement and their exclusion
5 will be noted in the public record.

6 With respect to all other meeting
7 participants, we ask in the interest of fairness that
8 they address any current or previous financial
9 involvement with any firm whose products they wish to
10 comment upon

11 Dr. Daum, I turn it over to you.

12 DR. DAUM: Thank you very much, Bill. One
13 of the more remarkable aspects of this Committee is its
14 ability to renew and refresh itself and the ability of
15 FDA and other government agencies to find such a
16 talented group of people who are willing to drop
17 everything six times a year and come to Washington to
18 discuss these issues. And with that, of course, comes
19 a rotating nature and we have friends and colleagues
20 who we've gotten used to having dinner with and
21 debating issues with that take their leave from
22 Committee service. So it's a bittersweet time and
23 we're going to call on Dr. Kathy Zoon to mark the
24 rotation of some of the Committee Members who have
25 been serving faithfully these years. And we welcome,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of course, some of our new Members as well.

2 Dr. Zoon.

3 DR. ZOON: Thank you, Bob. I guess it is
4 one of those bittersweet moments and there's an
5 enormous amount of hesitation I have because some of
6 the folks who are leaving today have made so many
7 contributions that having them not be part of the
8 Committee right now is going to be a very sad thing
9 for this Committee. On the other hand, we have as Bob
10 says, new people coming.

11 I would like to ask Bill Egan to join me
12 up here because we would like to have some special
13 recognition for the advisors who are rotating off the
14 Committee.

15 I would just, in saying that, these
16 Advisory Committees for us are so valuable. They
17 provide us advice in a public forum that allows us to
18 collect information and recommendations for many, many
19 important public health decisions. In particular,
20 this Committee which is faced with many difficult
21 issues over certainly the past 3 years on important
22 topics related to vaccine safety, vaccine approvals.
23 This has been something that has challenged all of us
24 in the Public Health Service, more broadly, as well as
25 in the pharmaceutical industry and the communities

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 together and ultimately, I believe, that the advice
2 we've gotten from this Committee over the years has
3 always been balanced, reflected many points of view
4 and we really try to collect that information in a way
5 to help us give the best advice to medical care
6 workers and consumers.

7 So in saying this today, we want to reach
8 out and give special thanks. I would like to ask
9 Dixie Snider, Steve Kohl and Kwang Sik Kim to please
10 come to the podium and Bill, would you like to say a
11 few words? Karen can't be with us right now this
12 morning, but I'd like to ask Dr. Egan to just maybe
13 say a few words reflecting on his own experience over
14 the past few years.

15 Thank you.

16 DR. EGAN: I'd just like to echo Kathy's
17 remarks and say that the sage advice and knowledge
18 that's been imparted to us by this Committee has been
19 extraordinarily important in helping us to reach all
20 of the decisions, many, many difficult decisions that
21 we've had to do. There have been some very tough
22 issues over the past year dealing with vaccine
23 preservatives, additives, materials in them, licensing
24 and new products. It's always been hard and having
25 this group here and their combined knowledge and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 wisdom has been just extraordinarily helpful and it's
2 hard to imagine how things could have been done
3 without this group.

4 We and the Office and certainly CBER are
5 truly grateful for everything that everybody here has
6 done.

7 DR. ZOON: Thank you, Bill. I now would
8 like to provide these three gentlemen plaques, but
9 first I'd like to read a letter to all of them that
10 was signed by Linda Sudam. Linda Sudan is the Senior
11 Associate Commissioner for Communication and
12 Constituent Relations and has responsibility for the
13 bigger FDA Advisory Committees and overseeing them.
14 And she says to the Members, "I would like to express
15 my deepest appreciation for your efforts and guidance
16 during the term as Member of the Vaccines and Related
17 Biological Products Advisory Committee. The success
18 of this Committee's work reinforces our conviction
19 that responsible regulation of consumer products
20 depends greatly on the participation and advice of the
21 entire health community. In recognition of your
22 distinguished service to the FDA, I am pleased to
23 present you with this enclosed certificate."

24 So I want to just say first, Dixie, I will
25 miss you here, but I know we're going to keep on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 talking because that's that FDA and CDC does, so thank
2 you very much for your service.

3 (Applause.)

4 (Photos taken.)

5 DR. ZOON: Next we have Steve Kohl.
6 Steve, congratulations and thank you so much.

7 (Applause.)

8 (Photos taken.)

9 DR. ZOON: Dr. Kim, thank you so much for
10 everything. We really appreciate it.

11 (Applause.)

12 (Photos taken.)

13 DR. DAUM: Thank you, Dr. Zoon. We'll now
14 move on to the formal business at hand and begin our
15 strain selection process for the influenza virus
16 vaccine for next year, although some might argue that
17 the season hasn't yet happened this year. But we'll
18 hear more about that as the day goes on.

19 And we will begin, of course, by calling
20 on Dr. Levandowski who will give an introduction to
21 the topic.

22 Roland, thank you.

23 DR. LEVANDOWSKI: Thanks, Dr. Daum. I'd
24 like to welcome everybody here this morning and I
25 think you know why we're here, but I'm going to give

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a little brief introduction into what we plan to do.
2 As you probably know, we're here today to start the
3 process of selecting the influenza virus strains that
4 are going to be included in vaccines prepared for the
5 2002-2003 season in the United States. Could I have
6 the next slide, please?

7 The basic question to be answered by the
8 Committee is shown on this slide. This is a little
9 bit abbreviated from what's actually been handed out
10 and I'll put that one up later, but really, what we
11 want to know is what strains should be recommended for
12 inclusion in inactivated vaccines for next year.

13 Next slide, please?

14 In formulating an answer to that question,
15 I think it's helpful to review some facts about the
16 currently approved influenza virus vaccines and this
17 is true from the beginning, probably, for inactivated
18 vaccines. The inactivated influenza vaccines, of
19 course, act primarily by inducing production of
20 antibodies and the hemagglutinins and the
21 neuraminidases of the incorporated influenza viruses
22 in the current vaccines are concentrated and they're
23 partially purified to remove extraneous materials that
24 are derived from the eggs in which the vaccines are
25 produced. Although the antibodies to both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hemagglutinins and neuraminidases are protective, the
2 influenza vaccines that we use currently are
3 standardized, really only for the content of the
4 hemagglutinin and therefore we place the greatest
5 emphasis on the viral hemagglutinin, but I would point
6 out that the neuraminidase receives consideration and
7 it too may have some protective effect in terms of how
8 the vaccines function.

9 Since the use of the first inactivated
10 vaccines in the 1940s, it's been very clear that one
11 of the most important predictors of vaccine efficacy
12 is the match between the vaccine virus and the ones
13 that are currently causing infection. What's also
14 been made clear with yearly epidemics and pandemics
15 that have occurred infrequently is that influenza
16 viruses have very great scope for antigenic
17 diversification. The on-going random mutations of the
18 hemagglutinin and neuraminidase we call antigenic
19 drift and exchange of entire genes can occur with
20 other influenza viruses and we call that antigenic
21 shift. And both of those participate in the
22 continuous evolution of the viruses.

23 Can I get the next slide, please?

24 It might also be helpful to consider
25 answers to these questions. Most importantly, it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 necessary to know if the new influenza viruses that
2 are out there, really are new and there's an extensive
3 global network that exists to collect and analyze
4 information throughout the year and we're going to
5 hear shortly from our colleagues from both CDC and
6 Department of Defense in terms of the surveillance and
7 what viruses are being found.

8 When new viruses are identified, the
9 extent of geographic distribution helps to judge the
10 urgency that we might have in trying to change the
11 composition of the vaccine and we've often seen in the
12 past that there are antigenic variants that occur, but
13 sometimes these just represent dead ends and go no
14 further than one off.

15 As you've also seen in the past, however,
16 there can be some very rapid spread of influenza
17 viruses and that, I think, is of more concern, of
18 increasing concern in modern times when it's possible
19 for people to jet from one side of the globe to the
20 other.

21 If those strains have, or can disseminate
22 widely, it's useful to know whether or not the current
23 vaccines are likely to provide some form of protection
24 against those and if it appears that the current
25 vaccines could be suboptimal, then it's still

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 necessary in a practical sense to consider whether
2 we've got any suitable vaccine strains that would
3 permit large-scale manufacturing within the
4 constraints of time that exist in terms of making a
5 new vaccine every year.

6 So the agenda of presentations that will
7 follow will try to supply information to answer each
8 of those questions.

9 If we can get the next slide? This slide
10 shows the recommendations that have been made during
11 the past year and on the left are the recommendations
12 that were made for the United States and the Northern
13 Hemisphere by the Public Health Service and World
14 Health Organizations, respectively. And on the right
15 are the most recent recommendations from the World
16 Health Organization for the Southern Hemisphere. And
17 you'll note that currently the recommendations for
18 vaccines in both hemispheres are identical. This
19 isn't always so. In fact, it's more typical for us to
20 see that there are differences between the Northern
21 and Southern Hemisphere vaccine recommendations and
22 that's really related again to the continuing
23 antigenic changes that are occurring in influenza
24 viruses. But what I could say, part of the reason
25 that the recommendations, the most recent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recommendations made for the Southern Hemisphere in
2 September-October of 2001, those changes reflect the
3 fact that there have been little in the way of
4 changes, relatively little in the way of changes that
5 have been identified in the new influenza viruses and
6 that's really a very unusual sort of pause in the
7 world of influenza.

8 So since the recommendations are based on
9 information, the choice of the strains that was made
10 in September really followed from what was available
11 then and without giving away what's going to be
12 presented by others, I think we're going to hear this
13 morning that the system of making recommendations is
14 sound, as long as the recommendations can really be
15 well-informed by sufficient epidemiologic laboratory
16 and manufacturing data. And I'll stop there because
17 that's really all I have to say at this point.

18 DR. DAUM: Thank you very much for being
19 succinct. Are there any comments or questions based
20 on what we've heard so far or would we like to hear a
21 little more first?

22 Thank you very much, Roland. We'll move
23 on now to Dr. Fakuda. There he is. Good morning, Dr.
24 Fakuda.

25 DR. FAKUDA: Good morning.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DAUM: Dr. Fakuda will talk to us
2 about the U.S. surveillance.

3 DR. FAKUDA: Good morning, everybody.
4 What I'm going to do with this talk this morning is
5 show a couple of slides to put the season in context
6 and really in anticipation of questions related to how
7 does this season compare to previous seasons.

8 May I have the next slide? For those of
9 you who have studied influenza, I think you all
10 realize what a plastic -- or how the presentations can
11 be very plastic and vary from season to season. And
12 in this slide here, there are two things that I wanted
13 to point out. When you look down at those circles at
14 the bottom, these are the proportion of viruses which
15 have been isolated each season over the past 11 years,
16 the first season being 1991 through 1992 and the last
17 season being the current one. The red color are
18 influenza A(H3N2) viruses. The green color are
19 influenza A(H1N1) viruses. And the blue color are
20 influenza B viruses. You can see that from season to
21 season that there can be substantial differences
22 between the influenza viruses which are isolated each
23 year in terms of the proportions.

24 Now when you look at that sinusoidal curve
25 up above, that represents the influenza mortality

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 related to pneumonia in influenza-related mortality
2 that we see each year in the country as monitored by
3 the 122 cities surveillance system. And again, you
4 can see that in terms of overall mortality, in terms
5 of mortality that it varies, it can vary substantially
6 between years.

7 And in general, you can see that in
8 influenza A(H3N2) years, we tend to see fairly severe
9 mortality, but this is not always true and you can see
10 that in other years in which influenza A(H3N2) viruses
11 are common that mortality is lower. So it's not such
12 a simple correlation. But again, you can see that the
13 severity of seasons vary substantially and the mix of
14 viruses can vary substantially from season to season.

15 Now in this slide here what I wanted to
16 depict was that the onset in the timing and peaking of
17 influenza seasons can also vary tremendously depending
18 on what season we're talking about. Now these curves
19 here represent the proportions of specimens that are
20 testing positive for influenza viruses by each week
21 and generally these represent when the season is
22 peaking and you can see that in this first curve here,
23 this blue curve which represents the 1999-2000 season,
24 that we have a relatively sharp upswing and a fairly
25 early peaking in influenza viruses being isolated, so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that in the 1999-2000 season, the season really peaks
2 somewhere towards the end of the year and the
3 beginning of the following year.

4 By contrast, you see this red line over
5 here, this red graph which represents the 1987-1988
6 season and you can see that the up slope of the
7 viruses being isolated is somewhat flatter. And you
8 can see that the time of the peaking of the season is
9 really quite different and this is about Week -- I
10 don't know, between 14 and 16.

11 And when you look over the data for the
12 past several years, there can be a separation of about
13 18 weeks between seasons in terms of when we see
14 peaking. So that's quite large variability. And so
15 this black line right here represents the current
16 season and as I will show I think that we haven't yet
17 peaked, so we don't really know when the peaking of
18 the seasons is going to occur.

19 Now this is the last of the background
20 slides, but here what we did, we went back over the
21 past 25 seasons and tried to identify in which month
22 each of those seasons peaked, again to give you a
23 sense of how seasons progress in the country and you
24 can see that over the past 25 years, the most common
25 month in which the season peaked was in February, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 again, there were four seasons which peaked in
2 December and there were a couple of seasons which
3 really peaked pretty far out there and so again, the
4 variability and the timing of the influenza season can
5 be quite wide.

6 Next slide, please. Okay, so let's go --
7 this is the current season now. This is what we're
8 seeing right now. And this graph here represents the
9 viruses which are being isolated in the United States
10 for this current season. The yellow bars represent
11 influenza A viruses which have not been subtyped. The
12 red bars represent influenza A(H3N2) viruses and then
13 again the green bar represents B viruses and blue
14 represents A(H1N1). And so when you look at this,
15 it's very clear that this is an influenza A season so
16 far in the United States. And of those influenza A
17 viruses which have been subtyped, by far the majority
18 are influenza A(H3N2) viruses.

19 Now this black line here represents the
20 weekly percentages of respiratory specimens that are
21 being tested and that are positive for influenza
22 viruses and you can see that on each week the
23 percentage of respiratory specimens being tested has
24 increased in terms of being positive for influenza
25 viruses. At our last point, this represents about

1 13.9 percent of specimens being tested are positive
2 for influenza and to put that in perspective, again,
3 when you look over the past several seasons, seasons
4 usually peak out at about 24 to 33 percent of
5 respiratory specimens being positive for influenza
6 viruses. And so although again we don't know when the
7 season is going to peak this year, these data suggest
8 that we haven't yet seen the peaking of this season
9 and so we don't know when that's going to occur again.

10 Next slide, please. So just to run some
11 of the numbers by you, so far we've had about almost
12 26,000 specimens tested. Of these, about 5 percent or
13 1,299 have been positive for influenza and of those
14 positives, 98 percent of them have been influenza A
15 viruses; 2 percent have been B viruses. And again of
16 those that have been subtyped or 37 percent of those
17 viruses have been subtyped and of those which have
18 been subtyped 98 percent are A(H3N2) viruses and 2
19 percent have been influenza A(H1N1) viruses. So
20 again, an influenza A season with A(H3N2) viruses
21 predominating.

22 Now by contrast, when we look at clinical
23 activity in the country and these represent two
24 sentinel physicians for influenza-like illness and
25 currently this represents about 650 physicians spread

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 throughout the country that are reporting fairly
2 regularly. We can see that the percentage of visits
3 of influenza-like illness has really just been going
4 up pretty slowly. And I think this captures pretty
5 well the general perception that it's been a fairly
6 low and slow season so far.

7 Now if you look at the national baseline
8 for influenza-like illness visits, it's about here,
9 about 1.9 percent is a rough national average for
10 influenza-like visits. And again, when you look at
11 earlier seasons you will see that this graph will peak
12 out somewhere between 4 and 5 percent to about 7
13 percent when we're at the peak of a typical season.
14 So again, this suggests that the activity is fairly
15 low, but it is increasing and again suggests that it
16 has not yet peaked out.

17 Now this map of the United States
18 represents reports from each of the State and
19 territorial epidemiologists and this represents the
20 most recent reporting week, the week ending January
21 19th. The red States are those States which are
22 reporting widespread influenza activity. The blue
23 States are those representing regional activity. And
24 then the green States are reporting sporadic activity
25 and the yellow States are reporting no activity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So again, you can see that the activity is
2 somewhat scattered around the country and again if
3 anything we would typically see more States reporting
4 either regional or widespread activity if we were
5 toward the peak of the season.

6 And then finally, this is a graph of the
7 same data, just graphed out in terms of bars here and
8 you can see that the aggregate number of States
9 reporting either regional or widespread activity has
10 been increasing, but again, it remains fairly
11 moderate.

12 And this is the last graph and this
13 represents mortality associated with pneumonia and
14 influenza. And again, this is data reported through
15 the 122 cities surveillance system. I think all of
16 you remember that last year it was a pretty mild
17 season in terms of mortality, especially, and so far
18 this year it also remains relatively mild in terms of
19 mortality. We haven't seen any real increase over the
20 so-called threshold level. So I think I will stop
21 there and see if there are any questions.

22 DR. DAUM: We'll take a few minutes for
23 Committee questions and opinion.

24 Dixie?

25 DR. SNIDER: Could you tell us -- I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some people may not be aware about the change in the
2 coding and how that might influence the pneumonia and
3 influenza deaths, mortality surveillance and whether
4 you've got that worked out so that you think we're
5 getting, are able to show data that are comparable
6 now?

7 DR. FAKUDA: All right, you're talking
8 about the ICD 9 to 10 coding?

9 DR. SNIDER: Right.

10 DR. FAKUDA: I think in terms of the graph
11 which I just showed, that takes that into account
12 because the reporting case definition for that
13 sidesteps that issue of ICD9 going to ICD10 and uses
14 the case definition which specifies what a PNI death
15 is and doesn't rely on PNI coding. But the coding
16 issue is a rather profound issue for people monitoring
17 diseases. Over the past decade, WHO has been working
18 to revise ICD9 coding to ICD10 coding. And in terms
19 of reporting for respiratory diseases and particularly
20 pneumonia and influenza-like diseases, the change from
21 ICD9 to ICD10 codes has been quite profound and those
22 changes, if they had been implemented as first written
23 down would have decreased measured deaths by about two
24 thirds in the country, looking at NCHS data sets.

25 What happened subsequently was that after

1 that profound change was detected, there was a working
2 group of WHO with input with a lot of other people and
3 they went back and looked at the coding algorithm and
4 made some modifications so that the affect on
5 respiratory deaths or measurements of respiratory
6 deaths will be less profound, but I think the current
7 estimates are that when deaths recorded by ICD10 begin
8 to come out, that there will be about a 33 percent or
9 one third decrease in measured deaths and those deaths
10 which aren't being measured and categorized as
11 respiratory deaths then go out into a number of other
12 categories such as strokes or other particularly
13 chronic diseases.

14 But at least in terms of the data here, it
15 takes those into account and the reason why we made
16 the reporting case definition change was because of
17 all of those changes going on.

18 DR. DAUM: Dr. Diaz?

19 DR. DIAZ: I just wondered if you could
20 comment, I'm always perplexed when I look at the WHO
21 and NRVs reporting, the percentage of unknowns under
22 the As or Bs as the case may be, and wondering if
23 those unknowns represent isolates that have yet to be
24 subtyped or if they're isolates that will never be
25 subtyped and what the situation is in terms of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 time frame for those. I'm always worried about not
2 having that information and if the tie up is at the
3 local level, State laboratory level or what the
4 situation is.

5 DR. FAKUDA: Well, Nancy can probably talk
6 in more detail to this, but it represents a mixture of
7 both viruses which have yet to be subtyped. We don't
8 have the data. That curve is a little bit behind and
9 it's always being updated and then some of those will
10 be subtyped, but others will not be subtyped by the
11 end of the season.

12 Nancy, I don't know if you want to add
13 anything.

14 DR. COX: I think that this is an issue
15 that we've been talking with the States about for some
16 time and it really is a resource issue, generally
17 speaking. At the State and local level there is a
18 paucity of resources for some of the lab work that
19 needs to happen. And particularly, within the context
20 of influenza pandemic preparedness we'd like to try to
21 encourage and help the States to increase their
22 capacity to subtype.

23 DR. DAUM: Dr. Couch?

24 DR. COUCH: I'd just like to add one
25 comment to that though, you do specify it's influenza

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 A or B so that that data is always known. It's B
2 unknown or A unknown and then it's like doing a
3 representative group. It takes so much time and
4 effort, as Nancy says. And that representative group
5 says that 95 percent of H3N2, why, almost certainly if
6 you were doing all of them you'd find the same thing.
7 So there's a high degree of confidence that we know
8 what the unknowns are and I think people operate in
9 their thinking that way.

10 But I had just some informational
11 questions if I might, Keiji. I think it's asking you
12 to confirm whether in looking at the weekly
13 surveillance of what you see is true and that is there
14 is a reporting lag here and that what you're reporting
15 is what you have in hand for each week because as you
16 move the week, the other weeks begin to pick up and
17 just in trying to go through these it looks like the
18 data that you published the front line is lagging
19 about three weeks behind, not only in terms of
20 specimens reported, but in terms of the typing of the
21 specimens as well.

22 DR. FAKUDA: Yes, I think that the lag for
23 the different systems vary somewhat, but for the
24 virologic system, clearly, you know it -- information
25 comes up and so when you look at that curve, for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 example, the most recent week will have a lower bar
2 typically and then as we get a week or two out from
3 there as more data comes in --

4 DR. COUCH: And I assume this is just the
5 reporting from the peripheral laboratories?

6 DR. FAKUDA: Yes.

7 DR. COUCH: And it's a fact of life. Now
8 that was my other question Is that also true for
9 physician visits?

10 DR. FAKUDA: No. The data for the
11 physician visits is, you know, it comes through and
12 you go back and if we see something which looks
13 strange like there are a very high percentage of
14 visits being reported, then we will go back to confirm
15 with the State or go back to confirm with the
16 physician. So there's always a little bit of error
17 checking in that system also, but I think the lag is
18 less than it is for --

19 DR. COUCH: For the specimens in the
20 virus.

21 DR. FAKUDA: Right, and I think the data
22 change.

23 DR. COUCH: The reason for that question
24 is that in the Houston surveillance which most
25 everybody knows was a number of years ago in which in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 one place you're right on top of it from a weekly
2 basis. That was an extremely high correlation between
3 the physician visits for febrile respiratory disease
4 and the specimens acquired and the number of
5 positives, so that if you're lagging with the
6 specimens your physician visit may be the most current
7 data set and your epidemic is peaking now.

8 Now the other question I had was, was
9 1987-1988, do you happen to know what the virus was
10 and the question of influenza A versus B and Walter
11 may comment because we -- there's been the impression
12 that influenza B was spread out -- tends to spread out
13 over a longer period and may be the lagging virus and
14 the March peak as opposed to the January peak which is
15 more typical for an influenza A and of course, you're
16 putting them all together there with the February as
17 the mean peak. The difference was in A and B was the
18 question.

19 DR. FAKUDA: Clearly, there are seasons in
20 which when you see a bimodal peak B frequently is the
21 latter peak. As to 1987-1988 specifically, I'll defer
22 to Walter or Nancy or someone with a few more years.

23 DR. DAUM: Dr. Dowdle, do you want to
24 comment on this issue? Okay, then could you be second
25 in line, Dr. Goldberg was ahead of you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. FAKUDA: I can find that out, but I
2 don't know.

3 DR. DOWDLE: I mean I agree with what Bob
4 has been saying. That has generally been the case.

5 DR. DAUM: Dr. Goldberg, please.

6 DR. GOLDBERG: Just to go back to the
7 unknown subtypes. You made a comment that you're
8 assuming that what is typed is representative and I
9 guess one question I would have to follow that up a
10 little bit longer, can you really subtype them all or
11 are there really unknown subtypes and can you do some
12 study at least on some of these samples to ensure that
13 we do have something representative?

14 Is there some way to check that assumption
15 because you really, if you look at this as the number
16 of samples peaks, you're testing less and less
17 relative to the number of specimens of samples that
18 exist, so that's my question. What's your assurance
19 that it's representative? Are we able to test that in
20 some way?

21 DR. COX: I think that it's a fairly good
22 assumption that it is representative because as we
23 move through the seasons, the State and local labs are
24 able to do more subtyping and generally speaking the
25 proportions remain similar. However, there's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 caveat. And there can be a pocket of H1 activity
2 within the country. It's not generally true, but
3 there can be and so therefore we like to make sure
4 that all areas are actually subtyping. So if you have
5 one particular area that wasn't doing subtyping at
6 all, you might get into trouble with that assumption.

7 Insofar as we know, there are not
8 unsubtypeable viruses. That is to say they are H3 and
9 H1 viruses that are circulating. In a pandemic
10 situation, of course, you can't make that assumption
11 and occasionally we do have exceptions to the general
12 rule that they are human H1 and H3. That is to say we
13 have a swine H1 infection of a human and we can pick
14 out those viruses.

15 DR. DAUM: Dr. Dowdle then Dr. Eickhoff.

16 DR. DOWDLE: I would just like to ask a
17 question here about the ICD coding. As you have
18 explained it, Keiji, that is rather profound changes
19 that we're talking about here and it's a fairly major
20 adjustment. So are there plans to validate that
21 adjustment? What -- how will you deal with this in
22 the future? I know Ted, this must be something that
23 goes way back in the past, but this has been certainly
24 a major measurement of activity over the years and
25 validation would seem to be quite important here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. FAKUDA: NCHS in the past year, year
2 and a half did a validation study of it and they took
3 the current testing algorithm and applied it and
4 they're the ones who came up with the estimate that
5 the decrease in measured deaths would be somewhere in
6 the 30 percent range. And so that has been done by
7 them on a fairly large data set already.

8 DR. DOWDLE: Over several seasons.

9 DR. FAKUDA: I don't remember how many
10 seasons they chose. I don't think it was too many.
11 It may have been a couple of seasons. But yes, it's
12 something that has worried me a lot because this is
13 such an important marker of activity for us and I
14 don't think the people when they were originally
15 making the algorithm changes realize what an immense
16 impact this would have on the measurement of
17 respiratory deaths and that certainly could have a big
18 ripple effect in terms of the perception of
19 respiratory diseases. But what it will probably do
20 will decrease PNI deaths from I think the current
21 sixth position down to the seventh position as the
22 leading cause of death, simply because of that
23 algorithm change.

24 DR. DAUM: Thank you. Dr. Eickhoff and
25 then Dr. Poland.

1 DR. EICKHOFF: Keiji, am I correct in
2 assuming that the physician office visit data is
3 reported directly from physician office to CDC and
4 does not go through the State Health Departments?

5 DR. FAKUDA: It's --

6 DR. DAUM: Can you repeat the question?
7 I'm not sure -- Ted, you need to speak right into the
8 microphone for us. I'm not sure everybody heard.

9 DR. EICKHOFF: The question was whether
10 physician office visit data goes through State Health
11 Departments or is reported directly to CDC?

12 DR. FAKUDA: Ted, it's kind of a hybrid
13 situation. Just to put the hybrid in context, as you
14 know, much of surveillance which is done in the
15 country has data go from primary reporters to the
16 Health Department and then from the Health Department
17 to CDC. But also, many of the surveillance systems
18 don't need to get their data out on a weekly basis in
19 the way we do. So what we did was come upon a
20 compromise in which the Sentinel Physicians report
21 data. It goes to a server which both CDC accesses and
22 then the States can access simultaneously and so we
23 both get the data.

24 If CDC in going through and looking at the
25 data picks up anything unusual, we get back to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 States not directly with the physicians and then ask
2 is there anything going on in the State or can they
3 check with the physicians about any unusual reporting
4 issues.

5 DR. EICKHOFF: But that should still be
6 the most current indicator of what's actually
7 happening, isn't it?

8 DR. FAKUDA: Yes.

9 DR. DAUM: Thank you. Dr. Poland and then
10 Dr. Diaz.

11 DR. POLAND: With the numerator and
12 denominator kind of changing based on this coding by
13 the same relative amount, will the epidemic threshold
14 change?

15 DR. FAKUDA: No, again, these data --
16 there are two main systems for reporting deaths from
17 influenza in the country. The system which I'm
18 pointing out here, using here is from the 122 cities,
19 122 cities which report vital statistics data on a
20 weekly basis to CDC. And so this represents about one
21 third of all deaths in the United States. So we asked
22 those vital registrars offices to represent a PNI
23 death and we define it in a certain way so it doesn't
24 rely upon ICD coding. But you know, a couple years
25 after season you'll see those total number of deaths

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 from influenza and those are estimated from a complete
2 national data set obtained from the National Center
3 for Health Statistics and that does rely upon ICD
4 coding.

5 To make it a little more complicated,
6 there are a couple of cities in a couple of large
7 vital registrars' offices in the country which do
8 track only by ICD coding, New York, for example. New
9 York represents about 11 percent of all deaths in the
10 system and so there are sort of complexities built in
11 trying to get these estimates, but in general, this
12 system is a little bit different than how we report
13 data, the final data set a few years later.

14 DR. DAUM: Thank you. Dr. Diaz, please.

15 DR. DIAZ: Just a couple of quick
16 comments. In terms of the prior comment that I made
17 regarding the unknown subtypes, I was looking at that
18 more from the standpoint of I certainly agree that in
19 general as Dr. Cox pointed out, if each locale is
20 doing some subtyping, most definitely or most likely
21 what has been subtyped would be representative of
22 what's going on in general in those areas and
23 represent fairly generally the unknowns. However,
24 when you look at a surveillance system from the
25 standpoint of trying to find unknown rare events like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a shift or some unusual subtype that's emerging in an
2 area, having those unknowns typed in a timely fashion
3 would be -- go more towards an early warning system
4 for those kinds and that's what I was really referring
5 to in terms of the unknowns perplexing me or bothering
6 me at times.

7 Secondly, in terms of the Sentinel
8 Physicians Network, having actually overseen the
9 development of that in Chicago this season, we're just
10 beginning our first season of direct reporting from
11 Sentinel Physicians Network. I can comment that the
12 system that's set up in terms of direct reporting to
13 CDC is, as you point, very important because you have
14 to report weekly and yet on a local level we're able
15 to access that in real time and fashion and those two
16 elements are critical, I think, in terms of sharing
17 information and having information that's pertinent on
18 a local level and pertinent on a national level be
19 available in both at the same time.

20 DR. FAKUDA: Just to add one thing to your
21 first comment, I think that in terms of viruses which
22 represent a shift which may be found in the U.S. at
23 least, and even though a large number of viruses
24 aren't subtyped, I think that if those viruses which
25 are testing low with current reagents are identified,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 even if they're not subtyped generally they get
2 slagged and I think that Sasha will frequently hear
3 about them very quickly. So there is some sort of
4 extra safety measure in that.

5 DR. DAUM: As a novice to the subject, I
6 must say I've often wondered when people who do
7 surveillance like this and are really expert in
8 influenza, which I'm not, would expect to see or take
9 into account the deployment of the vaccine program in
10 terms of influencing the surveillance that we see.
11 And we put out -- there's a lot of doses out there
12 each year, X number of million and I just wonder in
13 terms of thinking of this every day like you all do,
14 when do you expect to see some impact on surveillance
15 based on the program that we're here to help
16 perpetuate?

17 DR. FAKUDA: Right. I think that there
18 are really two possible analyses for that, one which
19 really hasn't been done and the other which has been
20 done a number of times. I think the analysis which
21 has been done over and over again is the comparison
22 between vaccinated and unvaccinated people for a
23 variety of outcomes, but particularly death and
24 hospitalizations and illnesses. And again, I think
25 any number of studies like that over a number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 decades again show the effect of vaccinating people in
2 terms of decreasing those adverse outcomes.

3 The second analysis and what you're really
4 getting at is can we look at mortality or
5 hospitalizations in the country over all and say that
6 current vaccination efforts have decreased things by
7 so and so percent. I think that -- I don't think that
8 that analysis really has been done. Certainly, it's
9 been somewhat looked at by some people, including
10 ourselves, but I think that analysis is yet to really
11 be done.

12 DR. DAUM: Okay, I think we exhausted our
13 input. Thank you very much for sharing those data
14 with us. We'll call on Dr. Cox, if she's ready to
15 talk about world surveillance and strain
16 characterizations.

17 DR. COX: Well, good morning. And it's
18 really nice to see everyone here again. There are
19 many familiar faces, as we go through this process of
20 selecting vaccine strains.

21 Today, we have just lovely weather and I
22 can remember a number of times when the weather hasn't
23 been nearly this good for this particular meeting, so
24 we can just bask in the sunshine when we go out for
25 lunch.

1 Now I'm going to be presenting the
2 antigenic data which is comprised of both
3 hemagglutinin inhibition and neuraminidase inhibition
4 testing. And I'm also going to be summarizing the
5 global influenza activity and I'll summarize it very
6 briefly, to put the U.S. within a more global context.

7 So we'll be looking first of all for
8 variants as Roland said. Do we see viruses which are
9 antigenically different from the vaccine strain? And
10 we'll pick those out using the hemagglutination
11 inhibition tests primarily. And then we'll be looking
12 for spread of those particular variants in conjunction
13 with human disease. And finally, we'll be looking at
14 whether the antibody response to the current vaccine
15 covers those variants and whether there are reagent
16 viruses suitable for vaccine production.

17 You probably have noticed in the past that
18 we choose, often choose to go through the virus group
19 that appears to be the clearest cut first and that's
20 no exception today. So we'll start with the influenza
21 H1N1 viruses. And as you'll recall we had sporadic
22 activity -- I don't know if that can be focused any
23 better or not.

24 (Pause.)

25 If you look on page 11, you'll have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correct data. What you'll see is that there actually
2 was a reasonable amount of influenza H1N1 activity in
3 North America and in Europe last winter. And that
4 also -- that H1N1 activity also occurred in the
5 Southern Hemisphere with outbreak level activity in
6 Australia and New Zealand and also in Asia during the
7 summer and fall months.

8 During the current season, there really
9 has been only sporadic influenza activity associated
10 with H1N1 viruses in the U.S., in Canada, and in
11 Europe. There also has been H1N1 activity that's been
12 rather sporadic reported in Asia and in South Africa,
13 Northern Africa and the Mediterranean.

14 Now I'd like to spend just a moment
15 orienting those of you who may be new to this to the
16 hemagglutination inhibition tables that I'll be
17 showing.

18 On page 12 you'll see our first HI table
19 for H1N1 viruses. Now I'd like to remind you that
20 there are two antigenic and genetic groups that we
21 have been tracking among H1N1 viruses that have
22 circulated globally over the past few years. The
23 first group is represented here by Johannesburg/82/96.
24 That was a vaccine strain that was used previously.
25 And at one time this virus represented H1N1 viruses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that were circulating globally.

2 At the same time that viruses like
3 Johannesburg were circulating globally, there was a
4 small group of H1N1 viruses evolving in Asia,
5 particularly in China which had a very specific --
6 which were already evolving and were different
7 genetically when a deletion occurred in antigenic site
8 A. At that point these viruses became clearly
9 distinguishable on an antigenic level from the
10 Johannesburg/82/96-like strains. And these viruses
11 have been circulating globally, that is to say, both
12 groups have been circulating over the past few years.
13 However, we found over the past year or so that the
14 Johannesburg/96-like viruses have not been detected.
15 So we're really going to concentrate on viruses within
16 the Beijing/262 New Caledonia group.

17 Now what we've seen over the last year is
18 that H1N1 viruses have really been very stable both
19 antigenically and genetically, generally speaking.
20 However, we have isolated one particular variant which
21 is very clearly shown in this particular slide by
22 antigens 4 and 5 represented by these two antigens and
23 I'll call this the Hawaii/15/2001 group. You can see
24 that these viruses, while related to New Caledonia,
25 are antigenically distinct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I would like to say that there's a single
2 amino acid difference that appears to be responsible
3 for this change, for this antigenic difference and
4 that we have just seen a handful of viruses popping up
5 over the past two years. You'll notice that the Hong
6 Kong/1252 strain was isolated in the Year 2000 and
7 that these viruses just pop up occasionally, but they
8 don't appear to be really spreading or increasing in
9 numbers over time.

10 So now let's look down at the bottom part
11 of the table and we see that we have viruses primarily
12 from China and isolated primarily actually from --
13 mainly from South China and isolated during August,
14 then September, through the summer and into the fall
15 months when activity in South China is really peaking.
16 And these viruses look very similar to New Caledonia,
17 that is to say, their titers are within twofold of the
18 homologous New Caledonia ferret antisera titer with
19 New Caledonia itself. We're looking for viruses that
20 have a fourfold or greater difference in consecutive
21 tests. There's a certain amount of variability that's
22 inherent in the hemagglutination inhibition test and
23 a twofold difference in titers is not considered to be
24 significant. A fourfold difference is considered to
25 be significant if it can be validated in separate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 tests, if it's a consistent result.

2 Now we do see viruses from Hubei, which
3 have a fourfold increase in titer. We've gone on to
4 take those viruses, sequence them and also to put a
5 representative one in the human serology tests and we
6 found that that strain was indeed well covered by
7 antibody to the current vaccine.

8 Next slide, please.

9 Now we have viruses from slightly more
10 recent activity. We have two strains that were
11 isolated in the U.S., one from Wisconsin, one from
12 Washington in October. That was the first H1N1 virus
13 we received and then the Wisconsin virus is more
14 recent from December.

15 We also have some viruses that were
16 isolated in Australia and New Zealand during September
17 and October, followed by some Asian strains from Hong
18 Kong, the Philippines and Bangkok. Again, we see that
19 there's a remarkable amount of homogeneity among
20 strains. There are occasional strains including the
21 Brisbane strain here and the Bangkok/255 strain here
22 which do have a fourfold reduction in titer, but as
23 I'll show you in a minute, viruses like this are
24 relatively rare.

25 Next slide, please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So now we're looking at a frequency table
2 which summarizes the antigenic analysis that we
3 carried out at CDC on H1N1 viruses and we have the
4 viruses divided up by region across the top and by
5 time going in this direction. And of course, we want
6 to designate, we want to determine what proportion of
7 the strains that we're analyzing are low to the
8 vaccine strain.

9 As you can see, we did have some
10 Johannesburg-like strains circulating last winter.
11 Those were, according to the human serologic testing
12 that was done, those were also well-covered by the
13 vaccine.

14 We did have a proportion, small proportion
15 of viruses which were not as well inhibited by
16 antibody to the vaccine strain.

17 During our summer months when influenza
18 viruses were circulating the Southern Hemisphere, we
19 also had a fairly small proportion of viruses. Now
20 during the period from October to January, we have not
21 had very many H1N1 viruses to characterize. And only
22 one of those has had a lower titer to the New
23 Caledonia Ferret Serum.

24 So I think in summary, we've had
25 relatively little H1N1 activity during the past few

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 months. The viruses have been remarkably stable, both
2 genetically and antigenically. I should mention,
3 however, that we do have some additional viruses from
4 China which we've not yet had a chance to analyze.
5 They arrived very late at CDC.

6 Okay, I think we'll move on to the H3N2
7 viruses.

8 Now we have the right table up here. Last
9 winter we had relatively little H3N2 activity in the
10 U.S., Canada and Europe. There was a bit more in the
11 Southern Hemisphere, particularly certain countries
12 and South America had a significant amount of H3N2
13 activity. The viruses were Panama-like.

14 Right now I'd like to point out that in
15 the United States, we're having reasonable amount of,
16 an increasing amount of H3N2 activity and the same
17 thing is occurring in Europe. If you look at the
18 European reports, you can see that as of the end of
19 Week 3, they are starting to see that their epidemic
20 is really picking up.

21 Next slide, please.

22 Now I'd like to just spend a moment on
23 this HI test for H3N2 viruses and point out a couple
24 of what I think are fairly important observations and
25 sort of notes of caution.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 H3N2 viruses, as we all known, are
2 associated with more severe seasons. That is to say
3 we see more hospitalizations and deaths associated
4 with H3N2 viruses.

5 H3N2 viruses have also been more
6 antigenically variant during the past two decades than
7 H1N1 strains. And that had been true up until about
8 1997 when the Sydney/97 variant emerged and spread
9 very rapidly worldwide and in that particular year, in
10 the 1997-1998 season, we did not have a good match
11 between the circulating strain and the vaccine strain.
12 The circulating strain was predominantly Sydney. The
13 vaccine strain was Nanching.

14 But since Sydney emerged, we have had
15 relative antigenic stability among H3N2 viruses. And
16 so based on what happened in the past, we are really
17 waiting for the next H3N2 variant because this is
18 really uncharacteristic behavior for H3N2. So this
19 was a major epidemic strain. We moved on to
20 recommending a Moscow/10-like virus to be in the
21 vaccine and Panama is actually the strain that's
22 included in the current vaccine and has been in the
23 vaccine for I believe three years. Yes. Two years,
24 two years.

25 So the viruses have been really quite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 stable, but we have seen a few viruses which are --
2 which vary a bit antigenically and one of them is the
3 Fujian/140 which we can distinguish using the
4 Moscow/10 antiserum. The Chile virus was selected
5 because it as an egg isolate and was representative of
6 strains circulating in the Southern Hemisphere during
7 this past summer.

8 The Darwin virus was detected as a variant
9 by the WHO lab in Melbourne. However, sequencing has
10 shown us that this virus has some unusual changes that
11 haven't been seen in any other strains anywhere else
12 in the world. And we don't really think that this is
13 a significant antigenic variant, but we've been
14 including it in the tests simply because it's very
15 clear that we can distinguish this virus from our
16 reference strains and because we were looking to see
17 whether an antiserum to this particular virus would
18 help us in grouping the currently circulating strains.

19 So we're going to concentrate mainly on
20 this column here, because this our vaccine strain and
21 our primary reference strain. The homologous titer is
22 640 and as we look down we're looking for viruses that
23 have fourfold lower titer and we've identified one
24 strain down here, A/S. Australia/102/2001 that was
25 isolated in October of this past year.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We have a number of strains from the U.S.
2 that were isolated in October, November and December
3 which appear to be very well inhibited. And a number
4 of strains from Hong Kong as well.

5 Next slide, please.

6 Now we're moving on to more recent data.
7 You'll notice that we even have some strains that were
8 isolated during January included on this table, as
9 well as some that were isolated earlier. The most
10 recent strains are from the United States from
11 November, December and January. And again, the U.S.
12 strains are actually very well inhibited by the Panama
13 Ferret Antiserum.

14 We have down here at the bottom of the
15 table a strain from Bangkok which has fourfold lower
16 titer as compared to the homologous.

17 Next, please.

18 Again, we have a number of strains from
19 the U.S., the H3s started rolling into CDC right after
20 Christmas, so we've had an opportunity to look at a
21 number of strains from the U.S.

22 I'd like to draw your attention down here
23 to the bottom of the table and have you note that
24 there are three strains from Singapore that were
25 isolated during the summer and fall time and these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 three strains all have a reduction fourfold as
2 compared, a fourfold reduction as compared to the
3 Panama homologous titer. And those are strains that
4 are under continuing analysis.

5 Now I didn't really emphasize -- this is
6 a table which is not in your handout. It was -- we
7 actually did the test on Monday and so it couldn't be
8 included in the package that was sent to you. And the
9 reason that I wanted to show this table primarily is
10 that we have among the viruses tested a group of
11 viruses represented by antigens 24 through 30 from
12 recent activity in Northern China. And we have had
13 quite a bit of contact with our colleagues in China
14 over the past two months. They've indicated that
15 there has been outbreak to epidemic level activity
16 going on in Northern China and that they were
17 detecting some antigenic variation among these recent
18 strains.

19 We received very late a package from China
20 and have tried to really focus on the H3N2 and
21 Influenza B viruses as much as we could to prepare for
22 our meeting today. You'll note that there's really
23 only one of the recent strains from China which has a
24 reduced titer in this particular test, but our
25 analysis is on-going and we are looking very carefully

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 at sequence data to see if we can correlate changes in
2 any of these strains with antigenic differences. So
3 we're looking at the Singapore strains and at these
4 strains from China.

5 So now if we summarize the virus
6 characterization that's occurred over the past year
7 and a bit, we can see that Panama-like viruses were
8 circulating in Asia. There weren't very many U.S. or
9 North American viruses that were analyzed. But
10 overall, of the viruses that we looked at, a small
11 proportion had a reduced titer as compared to the
12 homologous titer against the Panama strain.

13 Likewise, for the Southern Hemisphere
14 activity we saw a relatively small proportion of
15 viruses that were reduced in titer, but we did have
16 some from Central and South America and we have had --
17 I updated this table based on the HI table that I had
18 just shown you and there were some viruses that were
19 low from Asia and then, of course, the single virus
20 from China and the Singapore viruses as well.

21 So what you can see is it's a relatively
22 small proportion but we are really very interested in
23 the viruses that are coming out of Asia, that are low
24 and are very concerned about making sure that we can
25 pick up any corresponding sequence changes and as we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 look forward to what might happen next year.

2 Now we had a request from the Committee
3 last year, I believe it was, in particular Ed Kilbourn
4 was very interested in seeing some neuraminidase
5 inhibition analysis because the N2 neuraminidases, as
6 you will see later on in the data presented by Dr.
7 Klimov, are evolving in several different lineages and
8 we wanted to make sure that antibody generated to the
9 N2 in the Panama strain would inhibit neuraminidases
10 of recently circulating strains. And in order to do
11 these tests properly, you need to make reassortants
12 and put into your reassortant an irrelevant
13 hemagglutinin so that when you're doing the testing,
14 you don't have steric hindrance that is caused by
15 antibodies binding to the neuraminidase. For each of
16 these reassortants to which we make hyperimmune rabbit
17 serum, we have an irrelevant hemagglutinin in these
18 three cases, contributed by an equine virus.

19 What you can see if you concentrate on the
20 data down here in this row is that the currently
21 circulating strains are all -- all have neuraminidases
22 that are well inhibited by antibody to the Panama
23 neuraminidase and why this is important will become
24 clear when Dr. Klimov presents his talk, but we've
25 chosen viruses which are representative of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 different genetic groups for neuraminidase. So we
2 feel pretty comfortable that the neuraminidase of the
3 Panama is able to induce antibody that's cross
4 reactive.

5 So now we're going to move on to the third
6 group of viruses which has really provided the
7 greatest challenge for us this year. And I think
8 you'll find quite a few interesting points of
9 discussion in this group of viruses. Now if you have
10 been with us for a while, you'll remember that we've
11 been tracking two separate lineages of Influenza B
12 viruses, the so-called Panama lineage or Yamagata
13 lineage and the so-called Victoria lineage. Now the
14 Victoria lineage have been circulating worldwide and
15 in the early 1990s for reasons that we don't
16 understand, was really displaced in much of the world
17 by viruses on the B/Yamagata lineage or the current
18 vaccine strain on that lineage is actually the
19 Sichuan/379/99 lineage.

20 The Victoria viruses continue to circulate
21 in Asia where they've evolved and activity was -- has
22 been detected in China, Thailand and Japan over a
23 number of years that was caused by the Victoria
24 strains while the Yamagata or Sichuan viruses have
25 been circulating worldwide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So if we look at what was occurring last
2 year at about the same time we were having outbreak
3 level activity in the Northern Hemisphere, in Europe,
4 North America and certain parts of Asia associated
5 with Influenza B/Sichuan-like viruses, but in Asia, we
6 had also Victoria lineage viruses that we now are
7 calling B/Hong Kong/22-like. That is one of the most
8 recent reference strains and is fairly representative
9 of the Victoria-like strains that are circulating at
10 this time in various parts of the world.

11 During the summer months there was
12 outbreak level activity associated with Influenza B
13 virus isolations in Australia, New Zealand and Central
14 and South America and then if we move on to the
15 current influenza season in the Northern Hemisphere,
16 we see that we've just had pretty much sporadic
17 activity up until now.

18 Next, please.

19 Now I'm going to show you only two
20 influenza B tables. The first one is a table which
21 shows the antigenic relationships between viruses that
22 are genetically and antigenically related to the
23 current vaccine strain which is, once again,
24 Sichuan/379/99-like. Different manufacturers have
25 used, globally at least, three different Influenza B

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 strains that are antigenically equivalent to the
2 Sichuan/379 reference strain.

3 What we are seeing is that we have a
4 number of viruses which have a significant reduction
5 in titer compared to the homologous Sichuan/379
6 vaccine strain. We've seen some viruses from the U.S.
7 that have such a reduction, viruses from Europe and
8 China and indeed from the Middle East. So we are
9 seeing a number of strains that are not as well
10 covered by our reference antiserum as we would like.
11 I don't think I need to point out too many additional
12 items. I would like to point out the fact that the
13 antiserum to the Shizuoka/15/2001 strain does appear
14 to cover most strains very well.

15 Now we're moving on to viruses that are
16 related to the B/Victoria/87 strain, so these are all
17 Victoria lineage viruses. In particular, I'd like you
18 to note two reference strains, the Shangdong/797 and
19 B/Beijing/243/97 strains. Both of these have been
20 used in either an experimental vaccine or in
21 commercially prepared vaccine, actually the Shangdong
22 has been used by manufacturers to produce the vaccine
23 that was administered in some countries in Asia and
24 the B/Beijing/243 strain was used to make an
25 experimental vaccine in Europe and we have -- I have

1 some human serology that I'd like to show you that
2 we've done with human sera that were prepared in that
3 particular trial.

4 Now I'd like to point out that the B/Hong
5 Kong/2001 can be distinguished from these two viruses,
6 the Shangdong/7 and Beijing/243 by using Ferret
7 Antiserum. And we've seen that a number of viruses
8 that are currently circulating are less well-inhibited
9 by this Ferret Antiserum. Here we have our reference
10 strain Hong Kong/22. This was one of the first
11 strains that was sequenced and characterized
12 extensively in our lab and to which we developed a
13 Ferret Serum.

14 We also -- and that is actually an NDC
15 case cell isolate. We also have two egg isolates, the
16 Hawaii/10/2001 and the Hong Kong/330/2001 strains that
17 have been sent out to vaccine manufacturers for
18 further testing.

19 Now right here we have two recent strains
20 from Canada which are in this Victoria Beijing/243
21 lineage and you can see that they are well inhibited
22 by antiserum Hawaii/10 and Hong Kong/330 antiserum.

23 We have a group of viruses from Hong Kong,
24 of course and South China. They've been seeing these
25 viruses over the years. These Philippines strains

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were actually isolated fairly recently and are similar
2 to viruses which were causing school outbreaks in the
3 Philippines that were widely reported in the press
4 about the same time that the Anthrax problems were
5 occurring in the United States.

6 We also have a number of viruses from
7 India that are in the same lineage. This is the first
8 time that we detected viruses from India that are
9 related to Victoria. The surveillance in India has
10 not been very extensive in recent years and these
11 viruses actually came to us as part of a live
12 attenuated influenza vaccine trial in Asia.

13 We also have viruses from Oman that came
14 to us through the military and you can see that these
15 are also Victoria viruses. The one thing to note is
16 that there are -- a number of these viruses aren't
17 well inhibited by the Shangdong and Beijing/243 serum,
18 but there are also a number of them that aren't as
19 well inhibited as we would like to see by the
20 Hawaii/10 serum. The Hong Kong/330 serum seems to be
21 a bit more broadly cross reactive, but there are
22 strains which are clearly fourfold, eightfold and more
23 downed compared to the homologous.

24 We'd like to show a map because I think
25 this helps people visualize very clearly where the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Victoria-like viruses have been identified up to the
2 present. We actually just got a call yesterday from
3 the WHO collaborating center in London, and they
4 wanted to report to us before our meeting today that
5 they had identified four out of seven strains sent
6 from Italy that were related to the B/Victoria
7 reference strain. We haven't seen the HI results yet
8 or the sequencing results, but I'm sure they'll be
9 forthcoming within the next week or so.

10 We have a question mark about whether or
11 not these viruses have been identified in Russia,
12 simply because we haven't had a chance to analyze
13 them, but they were reported to us by our colleagues
14 in Moscow.

15 So you can see that the B/Victoria viruses
16 have been identified in a number of countries and that
17 the geographic distribution has clearly increased over
18 the past six to nine months. In particular, we have
19 isolated -- we have identified the B/Vic-like viruses
20 in Hawaii and in Canada. There have been three
21 isolates from Canada that have been identified as
22 being related to the Vic reference strain and one
23 Sichuan-like virus.

24 We had activity in Hawaii during the
25 summer and early autumn, Influenza B activity and a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 number of those viruses were B/Victoria-like.

2 So if we can summarize a fairly complex
3 picture, we can see that we first picked up the Hong
4 Kong/22/2002-like strains during the period from
5 October to March. They were picked up in Hong Kong,
6 of course, and those viruses are actually
7 distinguishable by sequencing and Dr. Klimov will talk
8 about the signature amino acid changes that are
9 associated with those currently circulating B/Vic-like
10 viruses.

11 We still had some viruses that we were
12 characterizing as B/Beijing/184 which is the pre-B/Vic
13 vaccine strain as well as B/Sichuan-like strains which
14 were in the majority. And we had a number, but a
15 relatively small proportion that were reduced in titer
16 to the B/Sichuan reference strain.

17 As we looked at the viruses that were
18 being isolated during the Southern Hemisphere's
19 influenza season, we continued to get viruses from
20 Asia that were in this group, but we also had the
21 viruses from Hong Kong that were in this group.

22 We had a number of B/Sichuan strains that
23 were low and that have continued and actually, I
24 think, this summary table doesn't quite reflect the
25 extent to which we see viruses that are reduced in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 titer to the Sichuan vaccine strain because we haven't
2 reported out all of the testing that we've done
3 because we're trying to do confirmatory testing to
4 make sure that these strains are low in successive
5 tests.

6 Now I mentioned that I would be touching
7 on some serologic information. If you turn to page 56
8 now, I'll only be going over one serology table and
9 you recall that I mentioned that the B/Beijing/243/97
10 strain was used in an experimental vaccine in Europe
11 and the sera were actually tested at CDC and in
12 Europe.

13 What I have here on this table, remember
14 the Beijing/243 is in the vaccine and we have three
15 recent Victoria-like strains shown in black below the
16 vaccine strain and then two strains that are
17 representative of Sichuan-like viruses shown in blue.
18 So if you look at the post-vaccine geometric mean
19 titers, induced by the Beijing/243 vaccine strain to
20 these recently circulating strains, you'll see there's
21 pretty good coverage.

22 However, we can see that there's a reduced
23 titer to one of the two Sichuan-like strains, the
24 B/Anhui/2001 strain and we don't really know what that
25 means. Actually, the Vic/504 had a reduced titer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There's a 50 percent or greater reduction in post-
2 vaccine geometric mean titer to both of the B/Sichuan-
3 like strains.

4 Now we had done some studies earlier and
5 we found with more limited testing that the antibody
6 induced by the Beijing/243 vaccine strain did seem to
7 cover earlier strains that were related to Sichuan, so
8 the earlier iterations of viruses on the Sichuan
9 lineage were fairly well covered by antibody to the
10 Beijing/243, but admittedly we had more limited data.
11 We really were only testing against one or two strains
12 as opposed to six here.

13 Okay, with that, I think I'll close and
14 entertain any questions.

15 DR. DAUM: Thank you very much, Dr. Cox.
16 We'd like to welcome Dr. Katz to our proceedings and
17 we'd like to ask people that unlike the airlines where
18 they want devices turned off at 10 minutes prior to
19 take off and landing, we'd like devices turned off the
20 whole time during the meeting. And please, beepers,
21 cell phones are really disruptive to the proceedings
22 here. If you could turn them off or place them on
23 vibrate or something like that so they don't disturb
24 us. I'd be grateful.

25 Dr. Couch, why don't you start the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussion, please?

2 DR. COUCH: I had two, Nancy. I think you
3 answered one of them and that just to be sure though
4 that the B/Victoria is not just a sporadic isolate
5 that has shown up in the Northern Hemisphere and in
6 North Africa and the Middle East. It's actually been
7 a cause of outbreaks and even in the Northern
8 Hemisphere, it's been a significant number of
9 isolates, is that correct, in Canada and even here?

10 DR. DAUM: We are going to need the
11 question repeated. We had events while you were
12 asking.

13 DR. COUCH: The question was just trying
14 to get at whether -- well, for those of you who
15 haven't been on the Committee regularly, we've been
16 looking at B/Victoria in Asia for a number of years
17 now and I guess kind of hoping it would go away. And
18 ignoring it with the considerations for the vaccine
19 decision for B in this country. And it doesn't seem
20 to be going away. But in fact, it seems to be
21 invading our territory and the data you mailed and we
22 had, it suggested that, but I think you verified that
23 indeed, it does, it has been the cause of outbreaks in
24 the Middle East and these are not just sporadic
25 isolates, but you have a number of isolates from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Northern Hemisphere of the B/Victoria lineage?

2 DR. COX: Yes. The isolates in Canada
3 were really associated with sporadic, activity
4 sporadic cases. The number of viruses that we
5 received from Hawaii last summer would indicate that
6 there was significant activity going on. The
7 Philippines school outbreaks were caused by both
8 B/Victoria and Sichuan lineage viruses, so it's hard
9 to tell which were -- I mean they were both
10 responsible.

11 DR. COUCH: Were the isolates in Canada
12 from more than one location?

13 DR. COX: Yes.

14 DR. COUCH: Just a second question. I
15 wasn't here last year, but we're glad to see
16 neuraminidase coming of age, but I should know and I
17 don't, I know that Ed and the group have used rabbit
18 sera for differentiating among the neuraminidases and
19 these would represent immunization antisera.

20 DR. COX: Yes.

21 DR. COUCH: It reminds me a little bit of
22 the chicken for inter-immunization sera for
23 hemagglutinins which were not so good for
24 differentiating among the viruses whereas the ferret
25 sera have proven to be very sensitive in that regard.

1 But the state of science is to use the rabbit sera, I
2 guess is my question.

3 DR. COX: That's true and we also have --

4 DR. COUCH: So we may not be able to be
5 quite as sensitive for those differences as we think
6 of with the hemagglutinin in the ferret sera.

7 DR. COX: Right, but we did do very
8 similar set of assays using ferret sera. Now those
9 ferret sera were produced by infecting ferrets with
10 the homologous virus so we didn't have an irrelevant
11 hemagglutinin. When we use the ferret sera, the same
12 ferret sera that we use for the HI tests and NI tests,
13 we see exactly the same picture. We can't
14 differentiate among the neuraminidases of the viruses
15 that are on these different neuraminidase lineages.
16 And they're well inhibited.

17 DR. COUCH: Rabbit sera tells you the same
18 thing?

19 DR. COX: Yes, the ferret sera and the
20 rabbit sera give us exactly the same picture.

21 DR. DAUM: Dr. Couch, could we get you to
22 talk right into the microphone.

23 DR. COUCH: I was just confirming that the
24 rabbit sera are as good as the ferret sera is what you
25 were saying and confirming what Ed's already said, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 neuraminidase doesn't change as rapidly as the
2 hemagglutinin.

3 DR. DAUM: Thank you very much. Dr. Kim?

4 DR. KIM: I assume that the viruses that
5 you have used at least from this country are derived
6 from nonvacinees, is that information available or is
7 my assumption correct?

8 DR. COX: The majority of the viruses that
9 we receive are from people who have not been
10 vaccinated, but we do also receive occasionally, and
11 it varies from year to year, but we receive a certain
12 number of viruses from vacinees, but the majority are
13 from individuals who have not been vaccinated.

14 DR. DAUM: Dr. Stephens and then Dr. Katz.

15 DR. STEPHENS: For those of us who are not
16 as familiar with influenza as many at the table, the
17 issue of A/Sydney in 1997, I guess, was that -- were
18 there lessons learned by that rapid spread? Was it
19 predictable? Can you just comment on, in retrospect,
20 were there any lessons that we could learn from the
21 emergence of A/Sydney as an H3N2 virus in terms of
22 predicting for the vaccine.

23 DR. COX: Yes, I think that the lesson
24 that we learned was that sometimes we're going to --
25 and this isn't a very helpful lesson, but sometimes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there will be viruses which will emerge and spread
2 very rapidly and we're not really sure why. But there
3 will be times when the vaccine does not match the
4 circulating strain as closely as we would like.

5 DR. STEPHENS: So there weren't clues at
6 that time?

7 DR. COX: No. Those viruses were
8 detected, first detected as being important in August
9 and September and so the vaccine had already been
10 produced. So they were emerging in the Southern
11 Hemisphere at a time when it was really too late to do
12 anything about changing strains in our vaccine. So
13 there will be occasions. We have been using the
14 surveillance in Asia that goes on through the WHO
15 global network to try to pick up new variants and
16 we've been very successful in picking up the
17 Beijing/353, Beijing/32, 92 and so on, and updating
18 the vaccine almost in anticipation of what would be
19 circulating in North America and Europe. But in that
20 particular case, the virus emerged and spread very
21 rapidly and was really recognized as being important
22 at a time when it was just simply too late and that
23 will happen on occasion.

24 Now we did see that in spite of the fact
25 that there wasn't such a good match vaccine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 effectiveness against hospitalization could still be
2 measured among vaccinated individuals compared to
3 unvaccinated individuals.

4 DR. STEPHENS: My second question relates
5 to the complex B story that you've presented. It
6 sounds like we have emergence of the Victoria lineage,
7 but you really didn't have a solution or I didn't see
8 a solution. The Beijing/243 strain didn't appear to
9 be better. Can you clarify that, at least for me?

10 DR. COX: I think the Bs are very
11 problematic and I don't think there is a really clear
12 solution. We probably are going to have to grapple
13 with a whole variety of possibilities. I think once
14 all of this serologic data are presented and the
15 sequence data are presented, we can really talk about
16 those issues. But we also need to get some feedback
17 from the manufacturers about how some of the B strains
18 that have been distributed to them are growing. So I
19 think hopefully some of that will emerge and become
20 clear.

21 DR. DAUM: Thank you. I'm a little
22 confused. Drs. Katz and Griffin, did you both want to
23 speak? That's what -- I think your hand was up behind
24 Dr. Katz and so I didn't see it. I apologize. Dr.
25 Griffin first.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GRIFFIN: I just wanted to further
2 clarify the B story as well since I think that's going
3 to be our problem child with this round. And one of
4 the questions is it's clearly emerged in Asia and it's
5 clearly spreading. I don't think there's any doubt
6 about that, but what I didn't get is the idea of the
7 proportions of even if you looked in the Philippines
8 or in Southeast Asia of the two different B strains,
9 both of which appear to be there and causing
10 outbreaks, or is that not correct? It looks like from
11 the chart, at least, that they're both there, they're
12 both causing problems, but is one more abundant than
13 the other?

14 DR. COX: It changes over time and so if
15 you look at reports from Hong Kong there will be
16 months where B/Vic-like viruses predominate and other
17 months where the Sichuan lineage strains predominate.
18 So it's not really clear. What we need to remember
19 though is that because B/Victoria-like viruses have
20 not circulated in the U.S., well, in North America,
21 South America and Europe for a decade, we have a whole
22 cohort of young children who are totally susceptible.

23 DR. GRIFFIN: Right.

24 DR. COX: And that's not true in Asia
25 because they've had the two co-circulating for a long

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 period of time.

2 DR. GRIFFIN: Right, so the idea that
3 introduction of this, successful introduction of this
4 virus may cause us problems.

5 DR. DAUM: Now Dr. Katz, please.

6 DR. KATZ: My question is somewhat
7 tangential, Nancy. There have been several stories in
8 the newspaper recently about influenza-like illness
9 with many children with encephalitis in Japan. I
10 didn't see any isolates from Japan in any of your
11 charts. Can you confirm that or have you received any
12 information from WHO or is that just a newspaper
13 story?

14 DR. COX: No, that is a problem, an issue
15 that the Japanese have been grappling with for a
16 number of years. I don't know what the situation
17 really is this year. I don't know if you're referring
18 to recent press reports, but Japanese colleagues have
19 been publishing information over the past couple of
20 years and I think that pediatricians in this country
21 are interested in why we haven't been seeing a similar
22 picture in the United States when the viruses
23 circulating in Japan and the U.S. are very similar.

24 DR. KATZ: These are confirmed as truly
25 influenza illnesses?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. COX: Influenza has been isolated from
2 a number of these patients and maybe Keiji will want
3 to comment further about it. He's been in touch with
4 our Japanese colleagues. It's not always clear that
5 there's a causal relationship between the influenza
6 and the encephalitis. I think there are a lot of
7 questions to be explored, but there are some very
8 interesting observations.

9 DR. KATZ: Thank you.

10 DR. DAUM: Do you wish to comment?

11 DR. FAKUDA: Just to add a couple of
12 things to what Nancy said. You know, in Japan in the
13 last couple of years, they've identified probably a
14 couple hundred cases per year at least and these are
15 actually typically encephalopathy cases, not
16 encephalitis cases and they've been quite severe, a
17 substantial proportion of these young children die and
18 then the vast majority of them who live have some
19 chronic sequelae, some substantial chronic sequelae.
20 And in the majority of the children, influenza viruses
21 have been isolated, but typically from respiratory
22 specimens. There have been a small handful of cases
23 in which using PCR virus has been or antigen has been
24 identified in the CSF, but I think that's much more
25 controversial. And when you look at the picture

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 epidemiologically, there appears to be some seasonal
2 pattern in which the increase in these cases occurs in
3 the winter time and it appears closely superimposed on
4 the influenza virus isolations. There is a case
5 control study which has been either started or is
6 being designed. I don't know where it is right now
7 because I think some of the questions are whether this
8 is really associated with influenza. Is this
9 potentially associated with some medications or other
10 factors associated with influenza or associated with
11 winter time illnesses? I think those things are not
12 clear right now. But there is a great deal of
13 interest in this.

14 DR. COUCH: One interesting feature of
15 that, I think the case control study is supposed to be
16 under way right now to try and clarify that, is that
17 the onset is almost identical with the respiratory
18 disease as opposed to something like Roya Syndrome.
19 Maybe the case control study will identify the
20 relationship between virus and something else.

21 DR. DAUM: Thank you very much. I have
22 Dr. Eickhoff, Ms. Fisher and Dr. Myers.

23 DR. EICKHOFF: A question again on
24 Influenza B.

25 Nancy, are you aware of any precedent for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this kind of behavior among Influenza B viruses or
2 even Influenza A viruses for that matter in which a
3 lineage of B virus that was once prevalent sort of
4 goes into eclipse and falls almost totally off the
5 radar scope, but never totally off the radar scope and
6 then a decade later appears to be going, undergoing a
7 resurgence and coming back to haunt you?

8 DR. COX: I think that probably I don't
9 know of any other case where we can say decisively
10 that that has occurred with Influenza B. Part of it
11 has to do with the fact that Influenza B, we haven't
12 been looking at Influenza B for so many decades, but
13 it's very clear that the circulation of Influenza B
14 viruses is more complex than it is for each of the
15 subtypes of Influenza A, but what we have observed
16 with the H1N1 viruses was the separate evolution of a
17 lineage of H1N1 strains that could not be
18 distinguished antigenically from what was in Asia that
19 could not be distinguished antigenically from viruses
20 that were circulating in the rest of the world. Then
21 an amino acid deletion occurred. We could distinguish
22 the two groups and the Chinese virus, we could
23 distinguish the two groups antigenically and
24 genetically at that point and then the Chinese groups,
25 so-called Chinese group actually spread globally and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have sort of supplanted the Johannesburg-like strains.
2 So I think that given the epidemiology of influenza
3 and the B/Vic-like strains or B/Vic lineage strains do
4 pose a real challenge to us because we do have a lot
5 of people, especially children who are fully
6 susceptible.

7 DR. DAUM: Thank you very much. Ms.
8 Fisher, please.

9 MS. FISHER: This returns to the question
10 of the reports of the encephalopathy in children in
11 Japan that appears to be flu-related. Are children in
12 Japan routinely vaccinated with the flu vaccine, given
13 a flu vaccine and those children, had they received
14 flu vaccine?

15 DR. COX: Flu vaccine is not now
16 recommended for pediatric use, so those children,
17 generally speaking, were not vaccinated. I don't know
18 -- there might have been one or two cases where they
19 were vaccinated, but generally children in Japan now
20 are not vaccinated.

21 There was a time in the past, however,
22 when Japanese children were vaccinated, when the
23 recommendations were, in Japan, were to focus on
24 vaccinating school children to help limit the spread
25 of influenza in the community, but their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recommendations have undergone an evolution so now
2 they recommend flu vaccine for high risk groups and
3 elderly, pretty much as we do.

4 DR. DAUM: Thank you. Dr. Myers and then
5 Dr. Couch and then we're going to move on to hear from
6 Dr. Klimov.

7 DR. MYERS: Nancy, within the B/Victoria
8 isolates, there looks like there's another lineage.
9 Are these new isolates related more like the Oman,
10 India, Philippine isolates or are they more like the
11 B/Victoria, the ones that you're recovering now?

12 DR. COX: I think that Dr. Klimov will be
13 talking about the genetic characterization of these
14 viruses and it's very clear that the antigenic picture
15 that we're seeing is a bit complicated by some of the
16 sequence changes that we see among them, in
17 particular, we're seeing the presence or absence of a
18 glycosylation site at a particular point and that
19 seems to have some effect on how they appear
20 antigenically, but genetically, they're pretty
21 homogeneous as you'll see. Antigenically, they're a
22 little more heterogeneous and it's not easy to peg
23 them.

24 DR. DAUM: Thank you. Dr. Couch?

25 DR. COUCH: Just a quickie, the serology

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that Nancy showed us showed that in these adult and
2 elderly sera there were responses reasonable for one
3 antigen and not for the other annually, but we had no
4 children sera results here, but Roland and Nancy can
5 confirm if my memory is correct, that children
6 sometime in the past when we started the concern about
7 the Victoria vaccinated with whatever the Yamagata
8 lineage was at that time, their post-vaccination sera
9 had extremely poor -- my recollection is almost
10 negligible coverage against the B/Victoria virus, so
11 in children it just exaggerates this uniqueness of the
12 B/Victoria.

13 DR. DAUM: Thank you, Dr. Couch. I think
14 we'll move on now and ask Dr. Klimov to share his
15 comments with us and look at some of the molecular
16 characterization of some of these strains.

17 DR. KLIMOV: Good morning. You know, the
18 genetic data which we are collecting quite a lot in
19 the influenza branch of CDC from our point of view are
20 providing some additional information to the antigenic
21 representation of the viruses and I will on in the
22 same order like Nancy did, starting with H1 through H3
23 and we'll finish with Influenza B viruses.

24 So the first slide shows the evolution for
25 the HA1 part of the hemagglutinin of recent Influenza

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 A H1N1 viruses and I should mention that this slide
2 represents viruses isolated June and after June of
3 2001. So this the most recent Influenza H1N1 viruses.

4 A current vaccine strain is indicated here
5 on the top. It's New Caledonia/20/99 and just to
6 remind you that previous vaccine strain was
7 Beijing/262/95 just to give you some idea about sort
8 of genetic differences between the previous vaccine
9 strain and the new current vaccine strain.

10 Also, I should mention that these vertical
11 distances do not have any meaning, only horizontal
12 differences can indicate relative genetic relationship
13 between strains. For example, genetically this strain
14 would be different from let's say this strain by
15 somebody of this distance plus this distance.
16 Distance from this line through this line.

17 Anyway, vertical distances are not
18 essential, just horizontal lines should be taken into
19 account.

20 You can see that if you look at the scale,
21 you can see that there is no dramatic genetic
22 involvement among recent influenza H1N1 viruses. They
23 are different, very little from each other. They do
24 form two genetic subgroupings and you can see that the
25 viruses from U.S. are presented here, there are some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Hawaiian viruses in the bottom part of the three. And
2 you can see some so-called signature amino acid
3 differences which characterize those groups. But I
4 should stress that there is no antigenic differences
5 between those two genetic subgroupings.

6 Also, I should mention that rather few
7 viruses which are low antigenically to the current
8 vaccine strains. They are spread quite evenly among
9 those two genetic groups and we see some particular
10 groupings which would characterize low reactors.

11 Nancy already mentioned that we had
12 previously viruses belonging to another genetic
13 lineage of Influenza AH1N1 viruses which is so-called
14 Johannesburg/82/96 lineage or sometimes we call it
15 A/Berne lineage. And this year we didn't see -- this
16 season we didn't see viruses from this genetic group
17 from Influenza AH1N1 strains.

18 This table shows the number of amino acid
19 differences between consensus sequence, sort of
20 typical sequence of currently circulating viruses and
21 egg grown viruses which we have available right now.
22 And those tables are useful in sort of two senses.
23 First of all, they show us to what extent circulating
24 viruses, including, for example, vaccine strain are
25 different from the consensus. Our experience shows

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that, generally speaking, the closer the vaccine
2 strain is to the consensus sequence, the better the
3 vaccine is to make it -- to say it in plain words. So
4 you can see that New Caledonia vaccines -- I'm sorry,
5 I tried to highlight but I did a mess. It's still
6 pretty close, just three amino acids difference from
7 the consensus. So it's pretty close to the consensus
8 of current influenza viruses circulating worldwide.
9 There are some viruses which have 4, 5, 6, 7 amino
10 acid differences and we studied those viruses in human
11 serology tests or just in HI tests. And they behaved
12 lower, I mean they weren't caught very well by the
13 current vaccine strain, but all those strains have
14 unique amino acid changes which could explain why they
15 are antigenically rather different from the vaccine
16 strain, but as Nancy mentioned to you, you do see very
17 few viruses which are low to New Caledonia vaccine
18 strains.

19 Now I'm going to move on. In
20 neuraminidase N1 genes evolutionary tree and again,
21 New Caledonia is here. This tree includes not only
22 viruses from June 2001 through now, but more older
23 ones as well, just because we do not have too many
24 neuraminidase sequences right now and in particular,
25 you can see one of the strains which is currently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 1/2001 in the Johannesburg or Berne genetic lineage,
2 but this is the end of last influenza season, so the
3 Berne-like or Johannesburg-like virus was isolated at
4 the end of the previous influenza season. So during
5 this influenza season, as we mentioned, we do not see
6 viruses from the Berne or Johannesburg/82/96 lineage.
7 So the majority of viruses form a pretty homogeneous
8 genetic group and you can see that once again the
9 majority of them are still cloned genetically to New
10 Caledonia in the neuraminidase gene sequences. There
11 is another group of sort of genetic subgrouping of the
12 neuraminidase genes, but importantly as you saw from
13 Nancy's talk and you saw from the H1 tree, you do not
14 see dramatic differences in antigenic properties of
15 the viruses against the current influenza of vaccine
16 strain New Caledonia.

17 The next table shows, demonstrates a
18 number of differences for the neuraminidase gene from
19 the consensus and you can see that New Caledonia is
20 pretty close to the consensus sequence of the
21 neuraminidase genes and as I mentioned it's a good
22 sign for the vaccine. It's still matches pretty well
23 the majority of separating viruses.

24 Next slide please.

25 Now we will go into H3 hemagglutinin gene

1 evolution and to some extent the picture here is a
2 little bit more complicated than it was for Influenza
3 AH1N1 viruses. In red you can see the current vaccine
4 strain A/Panama/2000/799. That means actual vaccine
5 strain. Moscow/10/99 WHO recommended strain is over
6 here, and you can see that there are two genetic,
7 major genetic subgroupings of the recent Influenza AH3
8 hemagglutinins. With these sort of arrows, I tried to
9 indicate some of the low reactors, as Nancy mentioned,
10 we did see still quite a few of low reactors. And
11 they are spread more or less similarly on both genetic
12 groups. But Nancy mentioned that we had one more H3
13 test, the day after we actually sent the package. And
14 yesterday morning, some more sequencing data became
15 available and those data relates to the viruses from
16 China which we have received recently which Nancy
17 mentioned. And I allowed myself to actually add
18 several branches or several more strains in here to
19 indicate that new viruses, H3 viruses from China which
20 we received in the last package, they tend to group in
21 this area and they have some additional signature
22 changes which may be indicating on sort of additional
23 evolution of recent Influenza H3 viruses in the Asia
24 area.

25 I should add that several viruses from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Singapore which Nancy mentioned are also behind this
2 actual point, so Singapore viruses and recent Chinese
3 viruses form a sort of separate genetic group right
4 now and we are working right now very hard to get
5 details about the sequences.

6 This is a table which demonstrates amino
7 acid differences between the consensus and available
8 egg grown viruses and you can see that Panama/2000/799
9 so far is matching pretty well the consensus of
10 circulating viruses. We have some more egg isolates,
11 some of them like, for example, Darwin/3/2000 which
12 Nancy mentioned which was low in both HI tests and
13 human serology tests, but this particular virus has
14 some unique changes which can explain those low
15 reactivities.

16 Once again just to point out that
17 unfortunately, we do not have yet data in this table
18 about the Chinese viruses. As I mentioned, there is
19 some evolutionary events which are definitely
20 happening in Asia right now and we are watching this
21 very carefully.

22 The neuraminidase gene evolutionary tree,
23 once again, Panama, is in here and there are two
24 genetic groupings within this recent Influenza H3N2
25 neuraminidases and as you can see by scale which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 here, the genetically evolved recent viruses are
2 pretty much evolved from the Panama/12/799 vaccine
3 strains. That's why actually we considered very
4 important to have neuraminidase inhibition tests to be
5 done and as you remember Nancy demonstrated this test
6 and they are in the package. So far we do not see
7 obvious antigenic differences between neuraminidases,
8 even between Panama vaccine strain and neuraminidases
9 of currently circulating viruses.

10 So I should say that the majority of those
11 changes which we see are not in the antigenic sites of
12 the neuraminidase model.

13 This table demonstrates amino acid
14 differences between consensus and available egg
15 isolates. You can see that Panama, in this particular
16 case, is rather different from the consensus sequence,
17 but once again, fortunately it doesn't seem to
18 influence the antigenic properties of current
19 influenza viruses which are still reasonably well
20 covered by a Panama vaccine strain.

21 Now Influenza B evolutionary tree for the
22 hemagglutinin of the B/Yamagata lineage which is
23 different from the B/Victoria/287 lineage. The
24 vaccine strain is Sichuan/379/99, but the recommended
25 vaccine strain is very important and one of the real

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine strains Victoria/544 is next to Sichuan here.

2 I have to mention that the Yamagata
3 lineage also consists of two genetic subgroupings and
4 one of them is let's call it Sichuan subgroup and
5 another one is -- we call it Harbin/7/94 genetic
6 subgrouping and those of you who are involved in
7 influenza may remember that Harbin 7/94 virus was a
8 vaccine strain four, five or six years ago for a
9 couple of years. But genetically, it's sort of
10 emerged into two genetic subgroupings.

11 Now we start to see and for a number of
12 years, actually, we did not see Harbin-like genetic
13 lineage viruses in Americas, but this year we started
14 to see them. In Argentina, there was one strain.
15 There was one strain in Minnesota and this is also --
16 sort of forms the top of the study related to
17 B/Victoria lineage. It's sort of another interesting
18 point to mention today that this genetic subgrouping
19 seems to be expanding as well.

20
21 So far we did not see dramatic intergenetic
22 differences between Sichuan and Harbin genetic
23 subgroupings, but it looks like recent data shows that
24 it could be that recent B/Harbin-like viruses are
25 becoming antigenically more diverged from the Sichuan-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 like.

2 Next slide. This has quite a number of
3 egg isolates and this is the table demonstrating the
4 differences between the Yamanashi-like -- Yamanashi is
5 166 right here. It's the same Sichuan-like lineage.
6 It's the same lineage. Panama, and let's say
7 Beijing/184, Yamanashi, Sichuan. The same lineage.

8 This yellow line divides viruses belonging
9 to the Sichuan genetic subgrouping and Harbin genetic
10 subgrouping.

11 You can see that both Johannesburg/5 and
12 Victoria/544 existing vaccine strains are pretty close
13 to the consensus, but the consensus sequence is
14 becoming more complicated when you look at the -- when
15 you summarize actually Sichuan lineage and Harbin
16 lineage. The Harbin lineage has, of course, more
17 amino acids differences from the consensus. As I
18 mentioned so far we didn't see dramatic antigenic
19 differences between those two groups in spite of the
20 fact that quite a number of amino acids changes. But
21 as I mentioned it could be that during the evolution
22 of this season we may see more viruses from this
23 genetic subgrouping relating very well to the vaccine
24 strain.

25 This is hemagglutinin of the B/Victoria

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 lineage and here is actually a clear cut -- just for
2 reference, this is a Sichuan current vaccine strain
3 and you can see that it's different genetically. And
4 you can see that there is clear cut in genetic
5 groupings between previous B/Victoria-like viruses
6 presented, for example, by Shangdong/7/97 strain here
7 and recent viruses from Hong Kong, Hawaii and some
8 other areas, from Japan for example. And Nancy
9 mentioned that there are at least three so-called
10 signature amino acid differences which clearly
11 distinguish this genetic group of new B/Victoria
12 viruses from the old ones.

13 Next table shows the number of amino acid
14 differences between previous B/Victoria lineage -- and
15 you can see that there are several strains of grown
16 viruses which are almost identical to the consensus
17 sequence. The only point I have to make is that the
18 only difference which isn't in position 197 or in the
19 position 199, either of them are creating a potential
20 glycosylation site in the HA molecule of the
21 B/Victoria like viruses and that's why in some cases
22 all egg grown viruses we have so far and some MBCA
23 grown viruses can behave different from the viruses
24 which do not have these potential antigenic site.
25 It's related to the question which was raised earlier

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 today.

2 And a couple of words about the -- okay,
3 this is a table, sort of frequency table but for the
4 genetic data, not for the antigenic data and we do so-
5 called PCR restriction analysis for rapid
6 differentiation between different genetic lineages.
7 We don't need to sequence all the viruses. We may use
8 some specific restriction enzymes which would
9 differentiate between Sichuan subgroup, Harbin
10 subgroup and B/Victoria subgroup for example.

11 And you can see that if we didn't see many
12 B/Victoria-like viruses from October to March of 2001,
13 you started to see much more of them from during the
14 summer time and in particular, there were 28 viruses
15 which are mentioned here under the USA column, but
16 actually all of them are from Hawaii. Just to repeat
17 that we found quite a number of Victoria-like viruses
18 in Hawaii, some belong with Sichuan-like viruses in
19 the same region.

20 Also, it looks like there is a tendency in
21 increasing of the percentage of B/Harbin/794 genetic
22 subgroupings among recent viruses. So-so far,
23 starting from October through January, we have
24 approximately 50 percent of viruses tested worldwide,
25 belonging to the B/Victoria lineage and presented

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 mostly, almost exclusively actually, by B/Hong
2 Kong/22/2001. Still, there is about some subgroups
3 belonging to the Sichuan/379 and there is some
4 increasing -- not extremely dramatic but there is some
5 tendency in the B/Harbin/794 genetic subgroup.

6 Just a couple of words about the
7 neuraminidases evolutionary tree for the B viruses,
8 and in this case we have both B/Victoria-like viruses
9 in here and interestingly recent B/Victoria/487-like
10 viruses form separate genetic group of the
11 neuraminidase gene as well and here are the signature,
12 I mean as it changes for each different shape, new
13 B/Victoria neuraminidase from the previously
14 circulating B/Victoria neuraminidase. So we have
15 genetic changes not only in the HA but also in the
16 neuraminidase. It's very difficult to say right now
17 to what extent this could influence the intergenic
18 part of this, of the intergenic neuraminidase.

19 The Sichuan or Yamagata lineage, Sichuan
20 vaccine strain is over here. Both of the
21 neuraminidases also consist of two subgroups and one
22 of them is Sichuan and another one is Harbin/794 and
23 agents are in parallel in this sense for B viruses and
24 you can see that recent viruses which are here and
25 here belong to both Sichuan genetic subgroup and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 B/Harbin/794 genetic subgroup.

2 Okay, the amino acid difference table
3 shows -- again, this yellow line divides the Sichuan
4 genetic subgrouping from the Harbin genetic
5 subgrouping and you can see that the Sichuan/379
6 vaccine strain matches consensus during the sequence
7 pretty well and actually the B/Shizuoka/15 virus which
8 was mentioned also pretty close to the consensus in
9 the sense of the neuraminidase.

10 Thank you very much and I will answer your
11 questions.

12 DR. DAUM: Thank you, Dr. Klimov.
13 Committee questions or comments?

14 Dr. Couch?

15 DR. COUCH: I have one. Help me with the
16 consensus sequence data which is based on 27 viruses
17 isolated.

18 DR. DAUM: Dr. Couch --

19 DR. COUCH: The variety of viruses, the
20 consensus data based on 27 viruses, it's going to
21 depend on some extent on the 27 viruses and to what
22 extent is the B/Victoria represented in those 27?

23 DR. KLIMOV: No, no. This is consensus.
24 Are you talking about the HA?

25 DR. COUCH: Well, I was actually looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at the neuraminidase at the time, but I guess the
2 question would be for both.

3 DR. KLIMOV: Neuraminidase, so the
4 neuraminidases consensus as well as HA consensus is
5 done for just Yamagata lineage, not for B/Victoria
6 because we did for HA, we did several consensus for
7 the HA. We didn't do this for the neuraminidase
8 because we have just very few sequences.

9 DR. DAUM: Okay. No other Committee
10 input. Thank you very much, Dr. Klimov and that
11 concludes this initial cluster of presentations.

12 What I'd like to do now is move to the
13 Open Public Hearing which I inadvertently bypassed
14 before. Is there anyone that wishes to address the
15 Committee from the audience?

16 There being no one scheduled and no one
17 rushing forward to the microphone I will conclude the
18 open public hearing at this point and put the
19 Committee briefly into recess for a break. We will
20 break for 10 minutes and resume at 11:35.

21 (Off the record.)

22 DR. DAUM: Could we take our seats and
23 come to order? I'd like to get everybody settled in
24 so we can continue, please.

25 Ms. Canas, are you ready? We'd like next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to call on Ms. Linda Canas from the Department of
2 Defense who always has, in my view, an additional
3 interesting perspective on this flu surveillance
4 business and I hope she will follow in step today.

5 MS. CANAS: Good morning. The Department
6 of Defense has been following influenza for many
7 years, especially in the Air Force as part of the
8 health protection of the forces that are stationed
9 around the world. And since we are around the world
10 it has worked very well to do surveillance for
11 influenza and it has been so successful that in 1997
12 when the Presidential Decision Directive was
13 instituted for the Global Emerging Infections System
14 that this program became tri-service.

15 There are two parts to this. The Navy, at
16 the Naval Health Research Center in San Diego conducts
17 population health surveillance where they track health
18 viruses and diseases in the recruit population for all
19 the services. The Air Force at Brooks Air Force Base
20 and my laboratory in San Antonio, we're out in bases
21 around the world doing etiology-based surveillance.
22 We like to say we're just trolling for bugs. Whatever
23 is there, we're looking for it. We have a case
24 definition consistent for influenza. That's what
25 we're interested in, but we want to know what's going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on and that's what I'm going to talk about today It
2 does cover all of the services, but it's what we have
3 collected in San Antonio.

4 And since there are new Members on the
5 Board and certainly new people in the audience, I want
6 to do a quick run through of just how this program
7 works since it is a little bit unique. Every year we
8 have a meeting, usually in the summer with the
9 epidemiologists and laboratory people from all the
10 services get together and we talk about what we've
11 done and where we can go. We want to look at the
12 sites that we have, what's going on in the world, are
13 there areas where deployments are taking place, should
14 we have new surveillance sites. And this input is
15 forwarded then to the Surgeon General, the Air Force
16 Surgeon General particularly, and he sends out a
17 letter every year mandating that all of the active
18 duty individuals in the Air Force will be vaccinated.
19 Each of the services has this policy. They are
20 required to vaccinate the active duty individuals.
21 And in this message for the Air Force they also name
22 the Sentinel Sites. And these Sentinel Sites then are
23 supplied with any collection materials that they need
24 for the collection of specimens and the public health
25 officers at each site run this program to make sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they're collected and then they're sent to us at
2 Brooks. Now this is a full service reference
3 laboratory. We have specimens coming to us for all
4 the areas of laboratory testing from all over the
5 world which makes this whole program possible. It
6 also makes it cost effective because these are coming
7 in the same FedEx boxes with other samples so they can
8 come to us in a timely basis. This is a surveillance
9 program, but we also treat it as a clinical program
10 and we try and turn these samples around as quickly as
11 possible so they can have some idea of what's going on
12 in their facility.

13 We put our results back into the computer
14 where they get patient results, they can treat them.
15 It also goes to the epidemiologists where they will
16 contact the public health officer at a base to tell
17 them they have influenza on site so they can take any
18 interactive measures that may be necessary.

19 And then of course we get to make all
20 kinds of reports and send up recommendations every
21 year which will lead to changes in the program. We
22 also do our own subtyping analysis on site of selected
23 samples and we send others on to CDC where they do
24 their own analysis and then I can come here today and
25 tell you what we have. So this is an overall view of

1 how the program works.

2 Next slide.

3 This is what our map looks like today.
4 These are the Sentinel Sites. Now we do get samples
5 from other areas because we certainly have bases from
6 other areas, but these are our named Sentinel Sites
7 where they're asked to send so many samples each week
8 from symptomatic patients. We choose these sites
9 based on location. If they're on the coast we've got
10 people that are traveling in and out of the country
11 where they may be bringing their own viruses. We have
12 training sites where people may be crowding together
13 from different places and then we've also been able to
14 add with making this tri-service, the Army and the
15 Navy have research labs in various areas of the world
16 doing research on various Malaria, Dengue, other
17 diseases and we've been able to institute protocols
18 consistent with influenza. And these have been very
19 successful. This is especially true in Thailand with
20 surveillance in Nepal and it's been very extensive in
21 South America.

22 We have just established a site in Uganda.
23 This has been established. They made me go over there
24 and set this up.

25 (Laughter.)

1 We haven't gotten any samples yet, but the
2 infrastructure is in place and it's being set up.
3 We've been able to hook onto an existing health
4 protocol over there in Kaloisso, Uganda.

5 HIV and Malaria rule the diseases in this
6 country and they're so overwhelming, but by their own
7 statistics, acute respiratory illness is the third
8 most common reason for individuals coming to the
9 clinic there in Kaloisso. And they have no idea what
10 really is the underlying cause. So we hope to provide
11 them with their own information of what's going on as
12 well as give us samples from an area that is
13 undersurveilled right now and on this trip I was able
14 to make some more contacts and perhaps they can
15 encourage me to go back and set up some more sites.

16 If we look at what we've done so far this
17 year, as has already been presented, it's been very
18 light. I've had a busy year. It wasn't too bad for
19 me to have to go along with nothing, but as far as I'm
20 concerned flu hit last week. The season has begun and
21 we're in it full swing. Our samples generally are
22 about two days away from collection when we get them.
23 This is not true, of course, from South America and
24 Thailand. They can be up to 5 months, but the
25 collection dates are represented here. And this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the actual isolates that we've had and you can see
2 that we've had a dramatic input. Everything has been
3 -- excuse me, Influenza A, so far.

4 And if we look at by week the number of
5 samples that have come in compared with the percentage
6 of isolation, you can see it pretty much follows along
7 with what's been on the CDC charts and this is real
8 time so in the later months, excuse me, the later
9 weeks, these are still works in progress. They've
10 just come into the lab. On Monday, we have been
11 working up 20 to 30 samples a day, consistently and on
12 Monday we had 84 samples and 32 of those were
13 positive. They tended to be from the same sites, but
14 we are starting to see it traveling. And if we look
15 at this over time because this a global picture, just
16 numbers doesn't really tell me what it looks like. It
17 did start out very slow in October. About the only
18 thing we had was in Alaska and we got seven and those
19 tended to be later in the month and they were, the
20 ones we got to type were the H3N2. November, Alaska
21 was there. We pretty much every day had some coming
22 from them and a high percentage of those they sent us
23 were positive, with just sporadic flus around. We did
24 get some samples in from South America and the only Bs
25 we've had this season came in. Now they were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 collected in November, but we only got them a couple
2 of weeks ago, so we haven't been able to look at them
3 any closer than what they are.

4 December started getting a lot busier with
5 -- excuse me, Alaska not quite as heavy, but picking
6 up dramatically in Hawaii and that has continued. And
7 of course, there's a lot of where the sites are that
8 we have and the emphasis the public health gives and
9 the Navy in Hawaii has been very aggressive in
10 tracking this and I don't always know where they come
11 from. I believe some of them are from ships, but from
12 the island, all over the island they're responsible
13 for getting them to us. So we've been seeing quite a
14 bit of activity there.

15 And in January, as of last week, we're
16 seeing dramatic increases in Texas, in San Antonio,
17 actually, we're picking up quite a few more flus and
18 I failed to mention in December the only H1 that we've
19 seen all season was picked up in Korea. We did get
20 some more Koreas this week, so we'll be looking at
21 them to see if that H1 continues or they too now have
22 the H3.

23 Next.

24 And always it comes up, if it's not flu,
25 what is it? We can probably answer that a lot of them

1 may have been collected too late to actually detect a
2 viable virus. Perhaps it's really a bacteria. We're
3 not going to detect any of the chlamydia pneumoniaes
4 or the strep pneumoniaes, the more common cold
5 viruses. RSV is certainly a big player in most
6 hospital populations, but for us, we're not looking so
7 much at the pediatric patients and this is also not a
8 virus that transports well. So it's not one that we
9 pick up in our culture system.

10 But we do pick up a fair number of
11 adenoviruses and I've had a note on all of these that
12 these numbers have been adjusted to exclude adenovirus
13 because this is a very important player in the
14 military recruit population. It dominates in that
15 area and our numbers are very skewed if I have them.
16 So I've pulled those out since it is a unique military
17 issue. But we do still get adenoviruses as background
18 viruses in the population. It is seen quite often and
19 a fair number of pari-influenzas and interoviruses,
20 we're still picking up some coccaci Bs and a few
21 others that aren't typed any further and so roughly
22 around 25 to 30 percent of our overall viruses tend to
23 be the flus with some more thrown in, but probably
24 because of the case definition, we do tend to get flu
25 the most.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And this is our own analysis of sequence
2 analysis of adendogram of the ones that have been
3 looked at so far and you can see it's very similar to
4 what you've seen already. We've only done H3N2s and
5 they've all been very tight. We have not seen in
6 these that we've done here any of the substitutions
7 that have been reported. These are all that we have
8 so far.

9 This is our program so far this year. I
10 do think it's going to change a lot in the coming
11 years or excuse me, the coming weeks. Are there any
12 questions?

13 DR. DAUM: Ms. Fisher, then Dr. Katz.

14 DR. KATZ: I understand your exclusion
15 from the original data of adenovirus. Does your lab
16 do the typing of adenovirus so you can tell us if
17 these are 4 and 7 or what serotypes they are?

18 MS. CANAS: We try and keep up with it a
19 little bit. Mainly that's done in San Diego and it
20 is, it's actually 4 right now with some 7s in there.
21 It's still staying very tight with the historic 4s and
22 7s. We would like to do more of this. In fact, there
23 is some talk of picking up a site in Venezuela of
24 military populations and looking specifically to see
25 if they might have an adenovirus in their, recruit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 population also. We haven't been able to find anyone
2 else who actually looks in their recruits for this
3 particular virus.

4 DR. DAUM: We are nothing if we're not
5 flexible.

6 Ms. Fisher and then Dr. Dowdle.

7 MS. FISHER: I just want to be clear. You
8 say it's 17.3 percent of all samples of suspected flu
9 cases were positive for any respiratory virus.

10 MS. CANAS: That was also then including
11 parainfluenza, interoviruses, I'm not looking at that
12 particular chart right now.

13 MS. FISHER: It's only 17 percent were
14 positive for any virus?

15 MS. CANAS: Right now, yes. It's been
16 very low up to this point.

17 MS. FISHER: Well, then when you are
18 categorizing flu cases and you're not actually looking
19 at whether or not they're positive, doesn't that seem
20 like there's an awful lot of suspected flu cases that
21 are not actually caused by the flu?

22 MS. CANAS: Oh yes, that's what I was
23 trying to say. Many of them may be bacteria. They
24 may have been collected too late for us to actually
25 detect them.

1 MS. FISHER: But what does that say in
2 terms of vaccination though? In other words, the
3 total number of suspected flu cases in this country,
4 potentially only 17 percent are actually due to the
5 flu?

6 MS. CANAS: But this is at a part of the
7 season where we don't really have flu circulating yet.
8 We're just now getting into the season when we'll
9 actually see flu. It will go up dramatically.

10 DR. DAUM: Dr. Dowdle and then Dr.
11 Goldberg.

12 DR. DOWDLE: I just had a question about
13 demographics, if I may. Now on your Sentinel Sites,
14 is this some attempt here to standardize this or do
15 you have some sites you have military personnel, some
16 sites you have mixture of both military and dependent
17 personnel or how do you do this, how do you work this
18 out?

19 MS. CANAS: Anyone who comes to a military
20 treatment facility that presents with a case
21 definition would be eligible whether they're dependent
22 or military. We make no distinction. We do keep
23 track of that data. The obvious question here is can
24 we follow vaccination status. That's an attempt we're
25 always trying to make, that data is, especially in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Air Force, is input into a computer system where we do
2 try to track it. We actually are trying to set up a
3 trial in Misawa, Japan where we have a population we
4 can study, but they haven't had flu this year yet so
5 we haven't been able to do anything. But we don't
6 make any requirements --

7 DR. DOWDLE: But is it fair to say that in
8 each of these Sentinel Sites that you do have a
9 military population that's under surveillance?

10 MS. CANAS: Yes.

11 DR. DOWDLE: But they may be recruits as
12 opposed to seasoned personnel?

13 MS. CANAS: The recruits are at the
14 recruit centers, so in this data only Wilfred Hall or
15 Lacklan would be represented, just because
16 historically they've sent to us and we've kind of
17 handled their clinical isolates, but they also sent
18 isolates to San Diego for recruit studies.

19 DR. DAUM: Dr. Goldberg and then Dr. Diaz.

20 DR. GOLDBERG: Just one clarification.
21 The military are all vaccinated you say, so therefore,
22 if I'm following correctly, I would assume that you
23 should see less flu.

24 MS. CANAS: Yes.

25 DR. GOLDBERG: If the vaccine is against

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701