

1 that essentially would be the opposite of what yours
2 are. You are almost entirely self-sustaining in terms
3 of platelet collection and get a small amount from an
4 outside supplier.

5 How would such a system or could such a
6 system work well where hospitals acquire their
7 platelets largely from an outside supplier and very
8 little internally.

9 Would the onus then fall on the blood
10 collection center to initiate the culturing, because
11 my sense is that hospitals would be receiving these
12 platelets from an outside supplier at various days
13 into the five-day duration. So there might not be
14 adequate time.

15 You know, you did your culturing on Day
16 Two. What if you get a Day Two platelet? What are
17 your thoughts about that?

18 DR. AuBUCHON: You raise a very good
19 point, Mary, that in different logistic situations one
20 may need to use a different protocol.

21 In an urban setting a university hospital
22 probably does not maintain, relatively speaking, as
23 large an inventory of platelets and might depend on
24 multiple deliveries daily from their blood center, and
25 might only keep the platelet in inventory for a few

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hours, not for the full storage period of the
2 platelet.

3 In such a situation, I think the
4 appropriate approach would be to work out a
5 collaboration between the supplier and the hospital
6 where the supplier is in the situation where they can
7 collect the culture early on, and they would need to
8 set up a system to rapidly identify the recipient
9 hospital and to provide the information to that
10 hospital to pull the unit off the shelf if it was in
11 the hospital's hands rather than the blood center's
12 hands at the time the culture was positive.

13 That is essentially what is being done in
14 Europe, in not only the Belgian and Dutch blood
15 centers but also in several German and Spanish blood
16 centers that are doing this. It's the blood center
17 that is doing the culturing.

18 That hasn't been the approach that was
19 going on in this country. Our blood centers seem to
20 continue their focus on detecting viruses. So I felt
21 that, if we were going to do anything with detecting
22 bacteria, it was going to have to be done in a
23 different manner.

24 DR. ALLEN: This whole issue -- It's a
25 fascinating problem, and I think the discussion this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 morning has been very helpful. My personal feeling is
2 that we are not quite ready to move forward yet.

3 I think, certainly, the data on the
4 quality of the platelets, although that's not an area
5 that I'm expert in -- Reading through the background
6 paper and hearing the presentation and discussion this
7 morning, I'm of the opinion that we certainly are
8 moving forward to where seven-day platelets in terms
9 of their quality is certainly satisfactory.

10 I think the issue of how one detects and
11 deals with potential bacterial contamination is still
12 a much more thorny issue. As I look at the list of --
13 and I have not read the paper by Kuehnert, the so
14 called BaCon report, but it's a recent report.

15 You look at the list of gram positive
16 bacteria. Those very likely are skin contaminants,
17 and that 60 percent of them -- it just basically says
18 this is an issue that ought to be aggressively looked
19 at, because we are going to do ourselves a big favor
20 if we can reduce those skin contaminants at the time
21 of collection.

22 Nonetheless, the skin contaminants aren't
23 necessarily the ones that are going to create the
24 biggest problem to the patients. In actual fact, some
25 of the cultures that were done in Dr. AuBuchon's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 laboratory may not have been false positives. They
2 may well have been true contaminants at a very low
3 level.

4 They went back to confirm and, in fact,
5 they had died off in the original. I think that
6 certainly is not out of the question.

7 The gram negatives -- Forty percent of the
8 contaminants were gram negatives, including some
9 bacteria that I scratch my head in terms of how they
10 got there, some of the *Serratia* species, in
11 particular. Those are clearly bacteria that can grow
12 to very high levels, even though they are in a
13 refrigerated setting. They certainly can create very
14 significant endotoxic sepsis in patients.

15 There is one, the *Yersinia enterocolitica*,
16 I would guess, may have been an intrinsic contaminant
17 in from the blood of the patient, and that's an
18 infrequent issue, but certainly historically one that
19 occasionally has occurred. I think those who remember
20 the platelet collection at the NIH, I think, back in
21 the 1970s where there was a donor with chronic
22 osteomyelitis.

23 So those are other donor issue. But those
24 gram negative bacteria bother me, and I think that is
25 an issue that has to be dealt with.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Then one comes to the opening discussion
2 that we had this morning on reducing risks or errors
3 in our system and the need to begin looking at
4 problems on a systemwide basis.

5 When we do that, I run right up against a
6 problem with having individual hospitals around the
7 country being responsible for culturing each and every
8 unit of platelets that's going to sit around for more
9 than three or four days.

10 The question that Dr. Chamberland asked,
11 you know -- well, maybe there needs to be some sort of
12 a system between the collecting agency and the
13 hospitals and so on -- I think there's a lot that has
14 to be very carefully thought through and worked out
15 here.

16 I'm concerned with this system that
17 requires us to go into every single unit of platelets,
18 take off 5 ml aliquot, send it for culturing and so
19 on. They are not insolvable problems. What we need
20 is to make sure that we've got some good -- the best
21 minds thinking about this and good research in this
22 area.

23 That's maybe an area where NHLBI and the
24 CDC and the FDA need to collaborate together to put
25 the money out there, get the right protocols in place

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to help address this so that we can move forward in
2 the years ahead.

3 I think this is an exciting development
4 and, clearly, I'm very pleased with the information on
5 the quality of the platelets themselves, and let's see
6 if we can address the contamination issue.

7 CHAIRMAN NELSON: Yes.

8 DR. HARVATH: I wanted to follow up on
9 that a bit, and also to ask Dr. AuBuchon if you and
10 Dr. Brecher and others who have taken this type of
11 approach with the BacT/Alert system have considered
12 combining your efforts in a multi-center approach, and
13 perhaps approach the FDA, since you are already
14 implementing this in your blood programs.

15 You mentioned that you needed an n, I
16 think, of 13,000 for the BacT/Alert system, and I'm
17 not sure how many times they may have asked you to
18 sample the same unit. But would you be able to
19 approach that n of 13,000, let's say, with the groups
20 who are taking this approach currently in the country
21 to combine your effort and data to justify using that
22 particular culture system?

23 DR. AuBUCHON: The problems in conducting
24 that trial -- and we did have some discussions,
25 preliminary discussions, about it -- really related to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 logistics and cost. In discussions with the agency
2 about how this would be put together, we really faced
3 a dilemma.

4 One approach would be to culture on Day
5 One or Day Two, whatever the -- let's call it the test
6 point of culture was -- and then culturing on a later
7 date and using this second culture as the gold
8 standard, anticipating that if the unit were truly
9 contaminated, we might miss it on the Day One or Day
10 Two culture, but we would certainly pick it up on the
11 later culture.

12 The agency was of the mindset that we
13 would need to perform that second culture on Day Five,
14 since that's the day of outdate. Well, if we were to
15 hold all of our units until Day Five in order to
16 culture them, we would not be able to transfuse them
17 essentially. We would outdate most of them.

18 That would then force the sponsor of that
19 trial to not only pay for the culturing but to pay for
20 the units, which would have been enormously expensive,
21 well over several million dollars.

22 The other approach would be to allow for
23 the transfusion of the unit on Day Six or Day Seven
24 after culturing it on Day Five. That, however, would
25 require an IND, IRB approval, and informed consent to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the patient, which although I would have no ethical
2 concerns going to a patient and asking for their
3 consent to receive a cultured unit on Day Six or Day
4 Seven, practically that was a huge problem when you
5 multiply it by 13,000.

6 So we have not proceeded along that way.
7 I would like to propose a simplified approach whereby
8 a second culture conducted at least 24 hours after the
9 first one or at the time of release could be the gold
10 standard culture against which the earlier one could
11 be compared. But I lack a sponsor for such a trial.

12 DR. FALLAT: You had a very nice
13 presentation, Dr. AuBuchon, and you make a very cogent
14 argument that this is a big problem. I kind of share
15 the questions about the ability to expand your
16 hospital across the country, but we haven't heard
17 anything more about the UVA decontamination system,
18 and where does that stand, and where is the data on
19 that, and is there more of that data from your -- You
20 know, can we hear a little more discussion about what
21 some of the other solutions to this contamination
22 problem are, beyond just culturing?

23 DR. AuBUCHON: Well, those -- I think you
24 probably will be hearing more about the psoralen
25 inactivation systems in the future. I shared the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 concern that Dr. Slichter mentioned as to whether or
2 not platelets treated in this method will be able to
3 be stored for seven days and yield a successful
4 clinical result.

5 *In vitro*, it looks like they may.
6 However, *in vivo* we already have data at five days
7 that they are not the same as untreated platelets, and
8 they would -- although if we have to transfuse more,
9 that would not confer any additional risk relating to
10 bacterial viruses, because they would be inactivated.

11 One always has to raise then the issue of
12 will we have enough platelets if, all of a sudden, we
13 are having to transfuse twice as many. Will we be
14 able to produce twice as many platelets? I doubt it.
15 And the other issue of the toxicity of the technique
16 itself, particularly when you start transfusing more
17 of this material -- does that become the overriding
18 concern then?

19 DR. SLICHTER: Well, maybe I can address
20 that a little bit about the pathogen inactivation.
21 I mean, I think the companies that are proposing this
22 technology have a lot of *in vitro* data and *in vivo*
23 animal model systems that the pathogen inactivation
24 process works, but you know, when they went before the
25 FDA, the FDA did not ask them to do an infectious

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 disease trial with the pathogen inactivated product,
2 because the numbers would have been gargantuan.

3 So the FDA, I think, accepted their animal
4 model systems, their *in vitro* contamination data
5 suggesting that the process does inactivate a wide
6 variety of bacteria, viruses and protozoa, and then
7 the FDA, I think rightly, wanted to concentrate on the
8 fact of what is the quality of these products and,
9 specifically, do they provide hemostasis.

10 So I think, in support of the pathogen
11 inactivated platelets, hemostatically they are every
12 bit as good. I think part of the reason for that is,
13 even though the count doesn't go up as high and they
14 don't survive as long, as I've mentioned, I think you
15 need very few platelets in order to provide
16 hemostasis.

17 So I'm not surprised that the hemostatic
18 efficacy was similar, because after all, the counts
19 did go up. The platelets did survive. They just were
20 not as good as the noninactivated product.

21 So I think that we do have available to us
22 two separate methods to extend storage, either detect
23 or inactivate, and I think that, you know, although I
24 didn't discuss it, they collected an enormous amount
25 of adverse event data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I mean, every time the patient reached for
2 a Kleenex, it was recorded. Between the control and
3 the treated arms of the trial, there was no evidence
4 of any adverse consequences, and these people were
5 transfused for up to 28 days, and in some of them they
6 got a second cycle of either pathogen inactivated or
7 control platelets for an additional 28 days, if that
8 particular patient needed a second course of platelet
9 transfusion therapy.

10 So I think there is a lot of data on the
11 fact that there are to adverse events in the patient
12 related to the transfusion of this product.

13 CHAIRMAN NELSON: Okay. If there are no
14 other burning comments at the moment, I would like to
15 take a break now. People can check out and what have
16 you. If we could do it like in 20 minutes or so, so
17 we could -- because people are going to have to catch
18 planes at the afternoon.

19 (Whereupon, the foregoing matter went off
20 the record at 10:34 a.m. and went back on the record
21 at 10:58 a.m.)

22 DR. SMALLWOOD: Are the individuals
23 present that will be making presentations during the
24 open public hearing? Dr. Bianco and Dr. Valeri? They
25 are out in the hall? Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN NELSON: Well, someone please
2 tell Dr. Bianco, if he doesn't come, I'm going to give
3 his talk. Is Dr. Valeri here? We are supposed to
4 have a committee discussion, which we have already
5 had, sort of.

6 DR. SIMON: Dr. Nelson, we might in this
7 interlude just ask, have we given the FDA -- I mean,
8 is this what they want from us?

9 CHAIRMAN NELSON: Yes. You know, I could
10 probably give an erroneous summary, but to say that
11 the Committee is very interested in the concepts
12 presented and the idea that it might be feasible to
13 put in some detection systems that could be routine,
14 if not more widespread, and if that was possible, we
15 then could move to seven days.

16 Whether or not these two decisions are
17 linked, in my mind, they sort of are. But in a way,
18 they are a little bit separate, too, in that the issue
19 of the function of the platelets after seven days and
20 whether or not that increases the contamination risk
21 is still a little bit of an open issue.

22 You know, I think the data that were
23 presented were quite interesting. My understanding
24 was that there were more -- not in transfusion
25 medicine, but I know Dr. Yamatovian from the Cleveland

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Clinic has reported on this, and Dr. Gilcher I heard
2 yesterday from Oklahoma Blood Centers says they
3 routinely culture platelets.

4 Now whether or not, you know, they follow
5 a FDA approved protocol, I guess, is another thing,
6 but the fact is that I think there are more centers
7 that are actually doing cultures and basing some
8 transfusion medicine decisions on these data. But the
9 fact is it's not a -- you know, it's not a routine
10 procedure at the moment.

11 I guess one of the issues is what are the
12 steps that we would need to move to make it routine.
13 One might be a clinical trial. I think one of the
14 issues is the fairly high rate of false -- apparently
15 false positive cultures, and if all of those units
16 were discarded, it would certainly nullify the
17 advantage of the ones that would be salvaged by the
18 five to seven-day cultures.

19 DR. SIMON; I think he had that in his
20 financial analysis, the workup of the false positives.

21 CHAIRMAN NELSON: Well, right. According
22 to his, but if in fact only one culture were taken and
23 there weren't a five-day or a culture taken later to
24 confirm whether or not it was a false positive, if the
25 unit was just destroyed based on a single positive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 culture, then we could actually go backwards in terms
2 of the number of units that would be -- you know, that
3 we would lose units. Yes, Dave?

4 DR. STRONCEK: You know, I've sat in on
5 some other discussions on the problem with bacterial
6 contamination of blood, and there really isn't a lot
7 of great data. There's a few studies, and the data we
8 saw this morning, while it's interesting and it's
9 hopeful, there's only -- what? -- 2000 units we saw.

10 So that's not -- With the low incidence,
11 it's not a lot of data. One thing, though, I'm
12 confused about. What if -- Maybe Jim can answer the
13 question, or Vostal. What is involved in doing one of
14 these protocols?

15 You know, superficially I would think a
16 person would have to go through their IRB and get
17 consent to culture blood and then extend platelets to
18 seven days, but some -- and you may even have to go
19 through the FDA to get an IND or something.

20 Are there a lot of barriers or is all this
21 -- I didn't get that part from the discussion, and is
22 that creating a barrier for people to do this?

23 DR. VOSTAL: Well, I think the studies
24 would have to be done under IND. Jim has been talking
25 about these studies for a couple of years now. One of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the problems we had with him going ahead and using
2 seven-day old platelets is we weren't really sure or
3 we didn't have any data demonstrating that the current
4 storage condition would produce a good platelet.

5 You know, now he's got data that we could
6 extend the shelf life up to seven days. You know,
7 that opens up the door for using that extension, as he
8 was saying, to sort of pay for the cost of the study,
9 you know.

10 If you could have a detection system or
11 you could do a trial under IND where you are going to
12 be looking at the effectiveness of the detection
13 system, platelets you culture at Day Two and then a
14 confirmatory culture maybe at Day Five or two days
15 later, and then pay for the cost of that study by
16 reducing your outdating of the platelets.

17 So I think we have -- You know, we are
18 moving toward being able to use seven-day old
19 platelets, but I think one thing that maybe wasn't
20 clear up front is that there are two issue.

21 One issue is whether the platelets will
22 work. So it's platelet efficacy. The other issue is
23 the detection system, you know, or decontamination
24 system. We have to take care of the bacterial
25 contamination problem first.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We may be doing it out of sequence that we
2 are looking at data on the efficacy of the platelets,
3 but we certainly would not approve any of these -- or
4 bags for extending shelf life -- without having some
5 kind of a system in place to take care of the
6 bacterial contamination problem.

7 DR. STYLES: Could this Committee come up
8 with some sort of statement that we endorse the
9 continuation of research in that direction, which is
10 that we feel that there is adequate -- this is only my
11 suggestion -- adequate data to suggest that the
12 platelets are effective enough at seven days that then
13 you -- because you made a very good point. Clearly,
14 we wouldn't go forward with any sort of
15 decontamination if the platelets weren't any good at
16 the end.

17 Maybe this Committee's role is to state
18 that we feel fairly comfortable, if everyone agrees to
19 that, obviously, that the data that exists supports
20 the idea that these platelets are functional enough so
21 that efforts to go into contamination are warranted or
22 suggested.

23 DR. VOSTAL: Well, I think that would be
24 good. It would encourage people getting into that
25 research. You have to keep in mind that the data we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 saw today was only on a single platelet storage
2 system, and that data could be different for different
3 bags, different manufacturers.

4 So we would need to see data from each
5 manufacturer to approve them for extension to seven
6 days.

7 CHAIRMAN NELSON: I also wonder if some
8 thought could be given to the study design that might
9 be acceptable to the FDA, given the costs that were
10 mentioned. I know Dr. Yamatovian from Cleveland did
11 a study in which there was a -- Platelets, I think, as
12 I recall the study, were randomly either cultured or
13 not, and then the patient was given standard platelets
14 or cultured platelets, and they looked at febrile
15 episodes. They looked at etcetera.

16 A study like that -- It seemingly maybe
17 could be done with perhaps less cost if you didn't
18 have to pay \$7 million for the platelets that cultured
19 at Day Five.

20 It seems to me that maybe there are study
21 designs that could generate larger numbers at less
22 cost that might provide data that would be useful for
23 us to see the effectiveness of culturing platelets.

24 DR. HOLLINGER: I think along those same
25 lines, I think Dr. Allen brought that up nicely, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 much of the bacteria -- some of the bacteria that are
2 seen probably are coming from the skin.

3 There were, I think, some studies that at
4 least suggested you could reduce that substantially,
5 not entirely but substantially, by the simple method
6 of just removing 15 to 30 -- I don't know what the
7 exact number -- 15 to 30 ml of blood initially at the
8 start, and that one would consider the FDA suggesting
9 to manufacturers that they incorporate something in
10 their technology that would do that.

11 It's not going to eliminate it all, and
12 you are still going to have to have, I think, these
13 other methodologies, whether it's pathogen
14 inactivation or detection. But it would still give
15 you that little bit buffer for completeness there. So
16 I think that would be another thing.

17 DR. VOSTAL: That's a great idea. I think
18 actually, it was a year ago that we had a discussion
19 here at the Committee where we discussed the version
20 of the 30 ml blood collected. There was a clinical
21 trial done in Europe that demonstrated it could be
22 effective.

23 There was an *in vitro* study done by Steve
24 Wagner at the Red Cross, showed that we could model
25 the removal of 90 percent of the bacteria in the first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 30 cc's. So I think the outcome of that discussion
2 was that the Committee recommended this is a good
3 idea, and we encourage the manufacturers to put this
4 in place.

5 The only problem with that is, you know,
6 you have to take it on faith. We don't really have
7 any data what the current infection rate is, and you
8 wouldn't know if you are actually improving things or
9 not.

10 MR. DUMONT: Larry Dumont from Gambro BCT.
11 A couple of comments on Dr. Hollinger's point, and
12 then a question for FDA on it.

13 First of all, on the removal of the first
14 aliquot of blood, I think a lot of people are familiar
15 with those studies. Actually, in France they have an
16 interesting report where they have looked at this
17 problem, and they said the number one danger is the
18 skin contaminants, and the two things that need to be
19 done to address that -- number one is proper
20 antiseptics of the skin, and they have a national
21 system.

22 So they implemented a universal retraining
23 of all their phlebotomists, and they went out and
24 taught people how to do it correctly and not to re-
25 palpate after they decontaminated and things like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that.

2 Then they also instituted this mandatory
3 removal of the first aliquot that comes through the
4 needle. They were able to measure reduction in the
5 septic transfusion reactions. Didn't eliminate it,
6 but it was a substantial reduction. So that certainly
7 is an effective approach.

8 I think the data from Johns Hopkins
9 demonstrates that every needle puncture carries an
10 incidence risk of about 70 per million of having a
11 septic transfusion reaction. That's not even bacteria
12 in the bag, but in fact a clinical septic transfusion
13 reaction. So you can do the numbers from that, and
14 that's in a pretty controlled situation.

15 My question to FDA, which they probably
16 can't answer right here, but some of the logistics of
17 such a clinical trial -- One of the points that Dr.
18 AuBuchon brought up was actually consenting patients,
19 if you were under an IRB and IND type mode.

20 I know there are some options where you do
21 not have to always consent a patient with a clinical
22 trial. So if there was an IND approved through FDA,
23 and these could be -- trials could be set up, does the
24 FDA think that it would be possible to have a trial
25 where you would not have to consent every patient to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 receive a seven-day-old platelet?

2 DR, VOSTAL: Well, that's a really tough
3 question. I think we would have to take that to our
4 clinical branch for discussion and consideration. I
5 think, from my point of view, I would think there
6 would be -- you know, you should require a consent up
7 front.

8 DR. BIANCO: I think that Larry's -- Celso
9 Bianco, America's Blood Centers -- should be taken
10 into account, because it's not going to get worse than
11 what it is now. If anything, it will be better or it
12 won't work. So I think here is a situation where, if
13 everything is done according to the current system,
14 present rules and things like that, and if you have a
15 way of sampling those bags that is more effective than
16 we have today, that probably it would be worth
17 discussing it with IRBs and all that. Thank you.

18 DR. LEW: The other thing I was going to
19 add, though: Could the study design be different
20 where, instead of demanding that they do it on Day
21 Five and then thus using older platelets, then do it
22 before the time of release, just before release, just
23 doing the study design a little bit differently.

24 You could actually collect data on dose
25 response to give you a hint if it's going to be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 problem at Day Five. That might be more acceptable,
2 I think, to the public as well.

3 Sitting on the board -- Sitting on the IRB
4 at my institute, I can tell you for sure we would not
5 allow older blood without informed consent.

6 CHAIRMAN NELSON: Dr. Smallwood just
7 reminded me that we are now in the open public
8 hearing. So if anybody wants to make a comment, and
9 is Dr. Valeri here now? Okay, because he asked --
10 and, Celso, you are listed, but was that your speech,
11 what you just said?

12 DR. BIANCO: I have said enough. This has
13 been a very healthy, very informative discussion.
14 Thank you.

15 CHAIRMAN NELSON: Okay. Well, are there
16 any other comments, and what does the FDA -- What
17 would the FDA like for us to do rather than just
18 discuss the issue?

19 DR. VOSTAL: The point we were actually
20 looking for in this discussion was: The design of
21 these trials to look at the way the bags can store
22 platelets is such that you would do them -- As Jim was
23 showing, you do a survival at Day Five, and you do one
24 at Day Seven, and compare the two.

25 Pretty much, we all agree that there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 going to be decrease in the survival and recovery at
2 Day Seven. Our question is: When we see these
3 systems come to us, you know, what should be the
4 acceptable difference? Should a ten percent
5 difference or a 20 percent difference be adequate or
6 should we set some kind of an absolute limit for
7 platelet efficacy?

8 CHAIRMAN NELSON: I wonder on the issue of
9 the informed consent. I agree that, you know, given
10 where we are, the informed consent for seven-day, you
11 would have to consent a patient. But patients might
12 consent if it were coupled with a cultured bag.

13 In other words, if the patients -- If we
14 are saying -- you explain, you know, here's the way
15 things have been done. There is some risk. We are
16 trying to reduce the risk by culturing the bag and
17 reducing the -- and actually improve the detection by,
18 you know, if there's contamination, to allow enough
19 time actually to be sure we detect it and divert those
20 units, so that we may give you something that's a
21 little -- may be a little less effective in terms of
22 the platelets, and the estimate is ten percent or
23 something. But it may also be a little more -- a
24 slightly likelihood that it might be a little more
25 safe.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Therefore, it isn't that, you know, we
2 want you to take an inferior product that may not stop
3 your bleeding, but we want you to -- we are offering
4 you something that we think, you know, might decrease
5 some risk associated with the procedure.

6 DR. STYLES: I don't think it's a problem
7 with the study in itself. I just think that they have
8 to have informed consent. So what I'm hearing,
9 though, the problem is going to everyone of these
10 patients every time you have to do a blood
11 transfusion. That is going to be very, very hard to
12 do.

13 DR. HOLLINGER: Is Dr. AuBuchon still here
14 or has he gone?

15 CHAIRMAN NELSON: He's gone.

16 DR. HOLLINGER: I guess he's gone. I
17 thought I heard him to say -- and maybe one of the
18 other Committee members or somebody here could correct
19 me. But I thought he said that some of the blood --
20 I mean, they culture it on Day Two, but that
21 presumably some of the platelets are given before they
22 get an answer back.

23 If that's the case, I was wondering what
24 he does then, let's say, on Day -- say, platelets are
25 given on Day Three, but on Day Four, Day Five, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 culture is positive. Do they then go back and tell
2 the physician I have a positive culture with a *staph.*
3 aureus or something like this to the physician or the
4 patient or -- I wasn't sure if anything was done with
5 that information.

6 It turns out possibly, although Dr. Allen
7 mentioned it may have just been a negative culture
8 because the bacteria were gone at that point. But if
9 it were really a truly positive culture, would that
10 make a difference? Does anybody --

11 DR. SIMON: Yes. He would have to, I
12 believe. From the data he gave, that hasn't happened,
13 and the system almost always catches the positives
14 within the day. So he has that day, and it's very --
15 Those that are transfused on Day Two are highly
16 unlikely to have an adequate amount of growth to cause
17 a problem.

18 DR. HOLLINGER: But, Toby, just a
19 question. If you have some bacteria -- Again, I'm not
20 sure how this works. If you have some bacteria in a
21 platelet culture -- I mean in platelets, and it's
22 given to an individual, even though it's a low
23 concentration, I presume that once it goes into an
24 individual, that there would be growth of that --
25 potentially growth of that bacteria in the individual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-1133

www.nealrgross.com

1 at 37 degrees.

2 DR. SIMON: But potentially. But many of
3 these people have no problem.

4 DR. HOLLINGER: I understand that, but I
5 just wonder. I mean, is it because there are other
6 immune mechanisms going on that are preventing that,
7 and there are probably many of them getting
8 antibiotics and other things?

9 CHAIRMAN NELSON: You know, there are all
10 kinds of procedures associated with bacteremia. You
11 go to the dentist. You get a proctoscopy. You get
12 TUR, almost 100 percent of people will have -- and you
13 know, they are cleared. These patients may not clear
14 them quite as well, but I think a negative culture on
15 a patient doesn't mean a thing.

16 DR. STYLES: You know, you were talking
17 about all of this, but I think what Dr. Vostal said
18 about, before any of that can even go forward, is the
19 problem of establishing a standard of minimum platelet
20 efficacy.

21 To my mind, the problem is there are so
22 many ways of measuring platelet efficacy, and one will
23 have to decide on whether one is going to use an *in*
24 *vivo* versus an *in vitro* measure with the attendant
25 difficulties of each one of those and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 interpretation of them.

2 So maybe the Committee could discuss --
3 Well, what is actually the question before us, I
4 think, from reading the statement was: Is there a
5 minimum level? My response to that would be it
6 depends on what you want to use as your measure.

7 Then can we decide on a measure that we
8 would recommend to be used, because that's really,
9 again, I think, the first question.

10 DR. VOSTAL: I think we sort of accept
11 radiolabeled studies as a surrogate for platelet
12 efficacy, and platelet efficacy would be the way
13 platelets circulate and stop bleeding. But those
14 are -- If you want to do a bleeding study, it's a very
15 large study.

16 So historically, we have accepted
17 radiolabeled studies on products or on platelets that
18 have been stored under differing conditions. So for
19 us to evaluate these new conditions out to Day Seven,
20 we would still look at the radiolabeled studies.

21 The numbers we were looking for is, you
22 know, what's the minimal recovery, and what's the
23 minimal survival that would be still useful as a
24 platelet product.

25 I had some discussion with Sherrill during

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the intermission, and she was giving me some of her
2 ideas about that. She thinks that it's a five-day
3 survival 50 percent, somewhere around 50 percent
4 recovery. Did I get that right, Sherrill?

5 DR. SLICHTER: Yes. The point is that I
6 think that patients don't do the same thing with
7 platelets as normals. Okay? So what I've tried to
8 show you is that, as soon as you are thrombocytopenic,
9 your survival is reduced proportionate to how
10 thrombocytopenic you are, but your recovery is, in
11 fact, the same as in normals.

12 So Jaro and I were discussing at the break
13 -- He asked me this question, and you know, I think we
14 have five-day stored platelets. That's the accepted
15 end of the storage interval. So I think we have to
16 get -- If we are going to extend storage, I think it
17 has to be the same as or better than, conceivably.

18 What I have, I think, already documented
19 is that in Plasmalyte seven days is better than five
20 days in plasma. So I think there are ways that we can
21 get a good quality product.

22 So you are toying with the fact that you
23 need very few platelets, 5-10,000. So even -- But I
24 think that we have to give a platelet product that is
25 as good as the patient can use.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4133

www.nealrgross.com

1 So in other words, if the survival of the
2 donor platelets in the patient is only two days, then
3 I think we have to have a product that will survive at
4 least two days in normal volunteers and expect it to
5 be two days in patients. But, you know, some people -
6 - their platelets survive four, five, six days between
7 transfusions, and those are not common, because
8 patients have a zillion things happening to them.

9 So I don't know that you want to have a
10 standard that is 20 percent less for recovery and
11 survival, say, than five-day. So I would prefer to
12 have us think about a recovery that's relatively close
13 to normal, because most patients can get a recovery
14 that's pretty close to normal, and then a survival
15 somewhere of four, five, six days.

16 I would be very comfortable as a clinician
17 saying this is a good quality product. This is as
18 much -- The patient doesn't need an eight, ten-day
19 survival, because they never have an eight, ten-day
20 survival. So why should we aim for that as a gold
21 standard, and we don't have that now.

22 DR. VOSTAL: Just a -- I wonder if I
23 could get you to put a number on that recovery,
24 because some of your studies are showing recovery of
25 80 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. SLICHTER: Well, yes, and as I
2 mentioned, I'm just dumbfounded at how good the
3 recoveries are, and I think it may be that somehow the
4 manufacturers, unbeknownst to us, gave us a bag or a
5 storage material or gosh knows that's better than what
6 we've had, and also, you know, the data that I showed
7 that the higher your platelet count, the higher is
8 your recovery, which is an unknown, at least to me
9 anyway, potentially biologic phenomenon.

10 So I would not hold this to a 70-80
11 percent recovery for the product, but I think
12 somewhere around 40 to 50 percent would be fine with
13 me.

14 DR. STYLES: Can you review again how you
15 define platelet recovery? I'm sorry.

16 DR. SLICHTER: Yes. It's the increment
17 which is the pre -- the post minus the pre, and then
18 adjusted for blood volume. So 75 times the increment,
19 because 75 ml is the usual blood volume determination.
20 Then divide it by the number of platelets transfused.

21 So what you are trying to look at is, of
22 the platelets that I transfused, how many of them
23 circulate following transfusion? The normal recovery
24 is somewhere around 60 to 65 percent, because normally
25 a third of the platelets are pooled in the spleen.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So if you asplenic, it's like 90 percent.
2 So, you know, whether -- but these donors, we have had
3 a 90 percent recovery donor come back and be
4 retransfused in another set of experiments, and they
5 are still 90 percent.

6 I think I showed you the 5,7 day data with
7 the Plasmalyte that, my gosh, the recovery was exactly
8 the same in the same donor, within a few percentage
9 points. So that's how the recovery is calculated.

10 So you can think of it as how many do I
11 put in, and how many circulate.

12 MR. DUMONT: Larry Dumont. I wanted to
13 comment on the number. First of all, I think one has
14 to be really careful about the number, because there
15 are differences between laboratories and centers when
16 these studies are done.

17 Dr. AuBuchon didn't show all the details
18 of that data, but since I designed the experiment and
19 did the analysis, I can tell you that the two centers
20 -- There was a significant difference between
21 Dartmouth and Norfolk.

22 You know, you can adjust for that in the
23 analysis, of course. So you have to be very careful
24 about a specific number. I think the studies that are
25 done to make the argument, it's imperative that those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are well paired studies because of this random problem
2 that we have.

3 Then just to reemphasize, too, what
4 Sherrill said about the differences between subjects
5 in these normal donors. I mean, we have some donors
6 or subjects that they may have a recovery of like 40
7 percent, and they always have a recovery of 40
8 percent, and you have others that have a recovery of
9 80 percent, and they always have a recovery of 80
10 percent.

11 So it's also very critical to pair things
12 so that you can adjust for those in the analysis. So
13 I would be very worried about an absolute recovery
14 number, an absolute survival number without this
15 comparative and being able to adjust for all these
16 other confounding factors.

17 DR. STRONCEK: So it's too bad Jim's gone
18 -- or Dr. AuBuchon is gone. I hope the FDA didn't pay
19 for his ticket. It would have been nice to have him
20 here for the rest of the discussion.

21 Anyway back to the study design question,
22 I think it's going to be very -- You know, I agree.
23 You have to get informed consent, but I think,
24 thinking through how a person would do that -- this
25 would be an extremely difficult study to design and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 implement.

2 If I were going to ask my IRB to give
3 seven-day platelets, I would not want to give them
4 unless I had no more five-day platelets on the shelf,
5 because we have heard there might -- We're not quite
6 sure that they are sterile, and we don't know -- and
7 it looks like they are not going to function as well.

8 So you would want to give five-day
9 platelets first. So I would not want to have my
10 fellow go up a route of platelets and say okay, to a
11 patient, you can either get nothing or you can have
12 this old platelet, you know, when you're bleeding,
13 please sign.

14 So, you know, you would probably want to
15 prospectively get everybody to consent to the
16 protocol. The problem becomes, if you get half the
17 people that don't -- You know, you say, well, here,
18 there's a possibility we might give you old platelets
19 versus another product -- you know, our standard
20 product, which is five days.

21 So you know, on a Monday morning when you
22 are short of platelets, you have eight people that
23 need platelets. You have five products that are in
24 date. Well, the people that don't consent to the
25 study, they are going to get the fresh ones, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 few people that do consent would always get the old
2 ones, and it gets very, very tricky to figure this
3 out.

4 DR. ALLEN: I think we have to be very
5 careful. I mean, fortunately, committees don't often
6 design studies. I think we have to be very careful in
7 terms of how we couch this in the concept.

8 The frequency of contamination is not
9 going to go up between five and seven days. What
10 might go up or change is the level of bacterial
11 concentration in that period of time, although, as Dr.
12 AuBuchon and other speakers earlier said, by five
13 days, if you've got a bacteria such as *Serratia*
14 *marcescens* or one of those others listed in the study
15 that is very happy to grow in a cold environment in a
16 plasma enriched environment, it's not going to make
17 any difference whether you've got levels at five days
18 or at seven days.

19 Most of those bacteria can reach
20 concentrations of 10^6 to 10^9 bacteria per ml within 24
21 to 48 hours. So I think we have to be very cautious
22 about how we couch the terminology, and I think it
23 would be very good to go back and look at what
24 happened in the -- was it in the Eighties when they
25 went back -- or Seventies when they went back and made

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for that brief period of time -- enabled seven days? -
2 - and see if we can tease out from the literature of
3 those days exactly what happened and what data were
4 available.

5 It's not the level of -- or it's not the
6 frequency of contamination that's going to create the
7 problem. I would almost wonder if in that additional
8 period of time whether what is happening is that you
9 are getting bacterial die-off and the endotoxin rate
10 is going way up.

11 I mean, it's not a simple answer, and I'm
12 not concerned that we are going to create a less safe
13 environment because the frequency of bacterial
14 contamination is going to go up between five and seven
15 days.

16 I think, if the issue is one of platelet
17 efficacy at first, I think the data are beginning to
18 be accumulated that we in fact do have effective
19 products out to seven days. You know, I think you can
20 couch your patient consent very well in terms of the
21 making available platelets to them that might -- you
22 know, to the patient population that might not
23 otherwise be available when they are badly needed.
24 That perhaps is the benefits that's there.

25 I think the study design is very critical,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and exactly how one provides effective -- or good
2 information to the patients as one gets informed
3 consent is a very critical issue. But, you know, in
4 terms of the potential risk of bacterial
5 contamination, I think we have to look at how we couch
6 that message very carefully also.

7 CHAIRMAN NELSON: Yes?

8 MR. NELSON: I'm Ed Nelson from Pall
9 Medical. Just a couple of points. Point of
10 clarification on what Jim presented: The Gordon
11 Archer studies that he presented looking at five-day
12 and seven-day were not data that were submitted to the
13 FDA in their deciding on seven-days.

14 They reviewed data that, in fact, Toby
15 Simon and Scott Murphy -- studies of theirs, and on
16 average I think the five-day studies or a series of
17 them averaged between 46 and 51 percent at five days
18 and 40 percent to 45 percent at seven days. That's
19 really the sort of data that I would expect to see now
20 unless the methods have changed somewhat, which they
21 may have.

22 Secondly, I sort of would like to support
23 what Larry said about an actual, exact cutoff for
24 approval. Depending on what patient -- or what normal
25 subjects you pick, you can get a mean ranging from 40

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.

(202) 234-4433

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 up to 60. Obviously, you don't try to pick subjects,
2 but that can happen.

3 So we would be more in favor of doing an
4 actual double labeled comparison study within the same
5 subject to look for differences.

6 CHAIRMAN NELSON: Thanks. A couple of
7 maybe brief comments. If we want to get out by 3:30,
8 unless it's anything critical, we need to break in
9 five minutes or so.

10 DR. DOPPELT: One quick question. We've
11 been focusing mostly on platelet numbers and survival,
12 and what really counts is the function of the
13 platelets. So my question is how difficult is it to
14 do *in vivo* tests for the function of the platelets?

15 I mean, for example, is the correction of
16 bleeding time of value?

17 DR. SIMON: I tried doing those studies
18 for many years, and I think others would agree, they
19 are very difficult to do. But they can be done. I
20 think they have -- Sherrill has shown more through
21 evaluation of hemostatic effectiveness, because the
22 bleeding time studies were just nonreproducible and
23 difficult to do.

24 DR. STYLES: And the bottom line really is
25 need for further transfusions or platelets. That's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 why those endpoints, I think Sherrill showed -- Dr.
2 Slichter showed were -- Those are the ones they looked
3 at where the clinicians' bottom line was going to be
4 do I need to give them more platelets because of this
5 or are they having red cells or more bleeding
6 complications; because those -- You mentioned the
7 bleeding time is pretty inaccurate, risk for -- risk
8 of bleeding. That's been done over and over in a lot
9 of surgery studies.

10 DR. FALLAT: Well, I couldn't agree more
11 that this is not the forum to be developing a
12 protocol. But I think we have clearly demonstrated
13 that we have a major problem here with infection and
14 that we have a real need to try to extend the time.

15 So we have three different problems,
16 extending the time and correcting the contamination by
17 either culturing or by perhaps using a decontamination
18 method. The fourth problem is we don't have enough
19 money to do it.

20 I would suggest that the FDA sponsor a
21 workshop which would combine industry, academia, and
22 the various blood banks and so forth to really hassle
23 out these issues and really in that forum decide how
24 best to proceed. I don't think this is the forum to
25 do that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CHAIRMAN NELSON: Thank you. I agree.

Okay. Let's break now and come back at 12:30, and we will try to end by 3:30 this afternoon.

(Whereupon, the foregoing matter went off the record at 11:39 a.m.)

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(12:37 p.m.)

1
2
3 DR. SMALLWOOD: I would just like to
4 remind all of the speakers, if you have presentations
5 to make, I hope you have seen the gentleman over here
6 to my left to have your presentations loaded onto the
7 computer.

8 We have a full session this afternoon. I
9 would also like to advise speakers to please speak as
10 long as necessary, but to move quickly through the
11 program, because our Committee members will be
12 leaving, and we do want to give full consideration to
13 this topic. Dr. Nelson.

14 CHAIRMAN NELSON: Thank you. The first
15 topic is bacterial and fungal contamination of human
16 tissue intended for transplantation. To introduce the
17 topic, Dr. Ruth Solomon from FDA.

18 DR. SOLOMON: Good afternoon. This is the
19 final topic of this BPAC meeting. This topic is being
20 presented today to inform the BPAC members about
21 recent reported cases of bacterial contamination
22 associated with musculoskeletal tissue allografts.

23 FDA is concerned about transmission of
24 communicable disease by human tissue intended for
25 transplantation, since we regulate this tissue. Next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 slide.

2 As you may know, there has been a recent
3 report of sepsis and death in a young recipient of
4 fresh osteochondral tissue allograft from a cadaveric
5 donor following knee surgery that he had. The
6 organism that was cultured from his blood was
7 *Clostridium sordellii*.

8 For those who are a little rusty on their
9 microbiology like I was, *Clostridium* is an anaerobic,
10 spore forming bacillus that is normally found in the
11 human GI tract.

12 Investigations of this case were performed
13 by the Minnesota Department of Health, the CDC, and
14 FDA. There have been additional reports of bacterial
15 and fungal contamination of tissue allografts. Next,
16 please.

17 To give you a preview of this session, I
18 will be summarizing the current and future FDA
19 regulations that address this issue. Then Mary
20 Malarkey from our Office of Compliance at FDA will
21 talk about microbial contamination and cross-
22 contamination during processing of tissue, including
23 the guidance that recently published.

24 Dr. Marion Kainer from CDC will update us
25 on CDC's ongoing investigation of allograft associated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 infections. We will also hear presentations from Dr.
2 Ted Eastlund who will present the tissue bank
3 perspective, and Dr. Michael Lemp who will present the
4 eye bank perspective. Next.

5 FDA has been regulating human tissue
6 intended for transplantation since 1993 when it issued
7 an Interim Rule. The interim rule was then finalized
8 in 1997 and became effective in January 1998. It is
9 codified in 21 CFR Part 1270.

10 The legal authority for our promulgating
11 these regulations is Section 361 of the PHS Act, which
12 states that the Federal government can propose
13 regulations that control communicable disease
14 introduction and spread.

15 The main focus of the interim and final
16 rules was on donor screening and testing for HIV,
17 Hepatitis B and Hepatitis C, because of concerns about
18 imported tissue for which donor screening and testing
19 were not being done.

20 With that as its main focus, there are
21 very few requirements in the current regulations that
22 address processing. Next slide, please.

23 1270.31(d) in the current regulations says
24 that there shall be written procedures prepared,
25 validated, and followed for prevention of infectious

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 disease contamination or cross-contamination by tissue
2 during processing. Infectious disease agents can
3 include, but are not limited to, bacteria and fungi.
4 Next slide.

5 Compliance with 1270.31(d) and all
6 requirements in Part 1270 is determined during
7 inspection of the tissue establishment. Recently, we
8 promulgated some additional final rules under 21 CFR
9 Part 1271 which published January 2001 and became
10 effective in April.

11 These regulations require that tissue
12 establishments register with the FDA and list their
13 products and update the registration and product
14 listing annually. Next slide.

15 As you probably know, FDA has proposed two
16 regulations which we have received comments on and are
17 in the process of finalizing, but they have not been
18 finalized yet. These are the suitability
19 determination for donors of human cellular and tissue
20 based products, a proposed rule that issued in 1999,
21 and current good tissue practice for manufacturers of
22 human cellular and tissue based products, inspection
23 and enforcement, a proposed rule which was published
24 in January 2001.

25 I would now like to briefly review some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the requirements in the GTP proposed rule. Remember
2 that these requirements are not currently in place,
3 but they would become effective after the GTP proposed
4 rule is finalized.

5 These are the sections of the proposed
6 rule that I think focus on the issue today: First of
7 all, the GTP requirements are intended to prevent the
8 introduction, transmission and spread of communicable
9 disease through the use of cell and tissue products,
10 by helping to ensure that the products do not contain
11 communicable disease agents and that the products do
12 not become contaminated during manufacturing. By
13 manufacturing, we mean recovery, processing, storage,
14 labeling, packaging, and distribution. Next, please.

15 Then another proposed requirement is that
16 establishments should establish and maintain a quality
17 program whose functions would include: Investigating
18 product deviations and complaints; ensuring that
19 appropriate corrective actions are taken, both short
20 term and long term; performing audits at least
21 annually; and reporting the findings to management.
22 Next, please.

23 In addition, there would be requirements
24 to have process controls. The establishment would
25 have to control and monitor manufacturing processes to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ensure that each product is not contaminated and is
2 manufactured so as to prevent transmission of
3 communicable disease by the product.

4 There would be in-process monitoring and
5 control: Representative sampling; control of the
6 product until required inspection and tests were
7 completed and specific requirements were met. Next,
8 please.

9 There would also requirements for process
10 validation when the results of a process cannot be
11 fully verified. Validated processes would have to be
12 monitored to ensure that the specified requirements
13 continued to be met.

14 When any changes to or deviation from a
15 validated process occurred, revalidation would be
16 required, and any process related claim in labeling or
17 promotional materials would be based on a validated
18 process. Next, please.

19 There would also be requirements for
20 receipt and distribution of tissue products. There
21 would be procedures established for receiving and
22 accepting or rejecting products for processing,
23 distribution or any other step in manufacturing. Each
24 incoming product would be inspected for contamination.
25 Next.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In addition, there would be requirements
2 for tracking; that is, maintaining a method of
3 tracking a tissue product from the donor to the
4 recipient and vice versa, from the recipient to the
5 donor. Next, please.

6 Lastly, there would be requirements for
7 reporting to FDA of adverse reactions involving the
8 transmission of a communicable disease, if the adverse
9 reaction was fatal, life threatening, could result in
10 permanent impairment of a body function or permanent
11 damage to a body structure, or necessitated medical or
12 surgical intervention to prevent permanent impairment.

13 Also product deviations that could lead to
14 an adverse reaction would also be required to be
15 reported to FDA. Just to remind you that at the
16 present time adverse events following tissue
17 transplantation are not required to be reported. They
18 can be voluntarily reported through FDA's MedWatch
19 system. Next.

20 Although we are not asking the Committee
21 to vote on any particular questions, we would like to
22 have a general discussion of possible mechanisms to
23 prevent bacterial and fungal contamination and
24 communicable disease transmission by human tissue, and
25 these possible mechanisms will be presented by Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Kainer.

2 FDA and CDC investigations are ongoing.
3 Dr. Kainer will mention that currently investigations
4 are being performed to identify risk factors
5 associated with *Clostridium* infection post-allograft
6 transplantation. CDC is working with states on that.

7 In addition, CDC is working together with
8 AATB to do a survey of tissue banks to determine
9 current processing methods and quality control
10 procedures.

11 Lastly, the goal is to develop sterilizing
12 methods which would eliminate bacteria, fungi and
13 bacterial spores without adversely affecting the
14 tissue allograft quality.

15 We are going to be holding questions until
16 the Committee discussion, but the next speaker, who is
17 Mary Malarkey, cannot stay until the end, and she will
18 address questions immediately after her talk.

19 CHAIRMAN NELSON: Thank you, Dr. Solomon.
20 Are there any questions or comments directly related?
21 Okay. Dr. Malarkey.

22 MS. MALARKEY: Good afternoon. I am
23 pleased to be here today to participate in this
24 session about a very important topic for FDA, and that
25 is our concerns regarding microbial contamination and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cross-contamination of tissue during processing. Next
2 slide, please.

3 To that end, I would like to speak about
4 what we've been doing over about the last ten months.
5 That is the evolution of our concerns; what the
6 current thinking is at FDA, and this includes what
7 current industry thinking is as well; some information
8 sharing and gathering activities that have been
9 underway and the results of those activities; and
10 finally where we are going in the future. Next slide,
11 please.

12 In late 2000 there was an *E. coli*
13 transmission by cancellous bone chips. A sample was
14 taken in the operating room and cultured, and
15 following surgery the next day the patient developed
16 signs and symptoms of sepsis.

17 The blood cultures on the patient were
18 positive for *E. coli*, as were the culture results from
19 the preop culture. Wound re-exploration was
20 necessary, and the patient did recovery but, of
21 course, required antibiotic treatment and a longer
22 hospital stay, and one can expect not a very pleasant
23 outcome altogether. Next slide, please.

24 FDA was not notified of this incident, and
25 it came to light during an inspection in May of 2001.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Many of the items associated with this incident were
2 documented on the FDA 483, which is our list of
3 inspectional observations.

4 At that time, we realized that the
5 manufacturer had withdrawn some, but not all, of
6 associated tissue from the same donor. I should say
7 that during the course of the inspection, that was
8 done. So a total recall was performed. Next slide,
9 please.

10 The result of this was a Class 1 voluntary
11 recall because of the harm that had been caused and
12 the potential harm that additional units of tissue
13 could have caused to potential recipients. Class 1 is
14 our most severe designation in terms of health hazard
15 evaluation.

16 We published this in our FDA Weekly
17 Enforcement Report, as is required under our
18 regulations under Part 7, on August 1, 2001, and I
19 should say that this is an ongoing investigation with
20 respect to the tissue bank. So I can't comment much
21 further on what is going on in that regard. Next
22 slide, please.

23 Around the same time we became aware of a
24 voluntary recall due to potential mold contamination.
25 This affected about 1300 units of tissue. Again, this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is an ongoing investigation. So the same applies.
2 And of course, the very tragic fatality in Minnesota
3 more recently, and we have become aware of other
4 transmissions, if you will, as identified by our
5 colleagues at CDC. Next slide.

6 Our current thinking: Well, the industry
7 standards -- and I'll go through some of those in a
8 moment -- speak to control and prevention of
9 contamination and cross-contamination of tissue during
10 processing with respect to microbial agents.

11 As Dr. Solomon said, our current
12 regulations require validation of -- well,
13 preparation, validation and following of procedures in
14 this regard. Next slide, please.

15 Now I have excerpted some of the industry
16 standards. These are certainly not all inclusive, but
17 some that I feel that speak to the subject at hand
18 today.

19 From the American Association of Tissue
20 Bank standards for tissue banking, we have -- The
21 expectation is the standard operating procedures
22 manual will establish a list of organisms which
23 necessitate discard, sterilization and/or disinfection
24 of tissue.

25 Further, basically, the regulation under

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 1270.31(d) is reiterated within their standards. That
2 is, there must be written procedures that are
3 prepared, validated and followed with regard to this
4 issue. Next slide, please.

5 Microbiological culture samples should be
6 taken prior to any treatment of the tissue with
7 disinfecting agents or antibiotics, and this is, of
8 course, to guard against false negative results from
9 the incoming tissue.

10 Also cells and/or tissues with bacterial
11 contamination may be released, but only if adequate
12 measures are taken to identify and eliminate those
13 microorganisms.

14 The one thing that is somewhat lacking, we
15 believe, in the current standards for tissue banking
16 is, if microbial testing is performed in-house at the
17 tissue bank, there is very little guidance on how that
18 should be performed, what the methodology should be,
19 the sampling techniques, etcetera.

20 On the other hand -- next slide, please --
21 there is an AATB Technical Manual that was published
22 in 1992 on musculoskeletal tissues, and this goes into
23 great detail on requirements for microbial testing and
24 sampling methodologies.

25 One of the important discussion points in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this document is the importance of bacteriostasis and
2 fungistasis testing. Again, for those of you who
3 aren't up on your microbiology, what this is is --
4 It's an evaluation of any inhibitory effect that the
5 test article may have on recovery of microorganisms
6 during the course of a sterility test, put in simple
7 terms.

8 In this case, it is very important, since
9 antibiotic soaks and soaks in other bacteriostatic
10 rather than bacteriocidal agents is often performed.
11 Also, there is discussion of the various sampling
12 methods such as destructive versus swab testing. Next
13 slide.

14 Now in regard to the EBAA standards, we
15 are talking here, of course, about other than *in situ*
16 recovery -- that is, if a whole globe is taken and
17 then further processed in a biological safety cabinet.

18 The standards are not very specific, but
19 they do give information on equipment cleaning and
20 maintenance, but they don't really go into
21 environmental controls or validation with regard to
22 this processing.

23 We do understand that microbial cultures
24 of eyes are generally not taken at tissue banks --
25 excuse me, at eye banks, and that's not the issue I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 trying to get through here. It's just the processing
2 itself and controlling any further introduction of
3 contamination to the tissue. Next slide, please.

4 Well, what do our regulations say? Well,
5 Dr. Solomon already addressed 1270.31(d), which is
6 very important here.

7 There is also -- next slide, please -- a
8 very important definition of processing under
9 1270.3(p). Basically, processing is any activity
10 performed on tissue outside of recovery, and this
11 would include any steps to inactivate and/or remove
12 adventitious agents. Next slide, please.

13 There is also 1270.31(e), and this speaks
14 to verification rather than validation. That is, if
15 you are using current processes that are part of
16 technical manuals, for example, that you would want to
17 verify the effectiveness of those procedures. But it
18 wouldn't necessarily necessitate, if you will, full
19 validation. Next slide.

20 So just to reiterate, processing includes
21 all activities performed on tissue outside of the
22 realm of recovery. So this then would include
23 testing, microbial testing, both pre- and post-
24 processing, and any other activities that are
25 undertaken on the tissue even at the procurement site,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 such as storage or labeling. Next slide, please.

2 So in summary, we believe that the current
3 regulations do require validation or verification of
4 these procedures to prevent contamination or cross-
5 contamination of tissue during processing with respect
6 to microbial agents, and we believe that the current
7 industry standards support that thinking. Next slide.

8 What have we been doing since these
9 concerns arose? Well, we have taken on several
10 information sharing and gathering activities. In
11 regard to tissue banks that we have found some
12 problems with in this regard, we have sent Untitled
13 Letters.

14 These are regulatory letters which
15 describe our current thinking and our expectations.
16 They don't quite rise to the level of a warning letter
17 or a more serious action, but they do warn firms that
18 these are our expectations.

19 We recognize that guidance would be
20 necessary to the industry in regard to our current
21 thinking, and we began some outreach programs in this
22 regard, both internal and external. Next slide,
23 please.

24 In terms of gathering of information, we
25 sent a request to all the FDA district offices and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 asked that, during the course of their inspections of
2 tissue banks, they would review and document certain
3 issues. This began about mid-August of 2001.

4 The next few slides go over the request
5 that we gave to the field and asked them to address
6 during the course of the inspections and documented in
7 the reports. I won't read through each and every one
8 of them, but basically we wanted to know if the firm
9 has procedures in place in this regard; whether the
10 procedures are validated or verified based on the
11 scientific literature; -- next slide -- are the
12 procedures being followed; some questions regarding
13 microbial testing, how it's performed, what standards
14 are used, what types of sampling methods are used.
15 Next slide.

16 Finally, is there any evidence of release
17 of contaminated tissue, and finally, how are the
18 finished products labeled? Next slide.

19 IN terms of outreach, we participated on
20 a panel at an AATB one-day validation symposium back
21 at the end of November. I want to say, this was a
22 very intense program, quite intense, very well put on
23 program, and provided a lot of information to the
24 tissue industry.

25 We are also going to be involved in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 presentations at the AATB annual meeting about a week
2 from now, and Dr. Kainer from CDC will also be there.
3 So the Department will be well represented. Next
4 slide, please.

5 I should also say that we also are doing
6 internal training with regard to the field
7 investigators with all of these new developments to
8 keep them abreast of our current thinking.

9 Probably very, very new is our Guidance
10 for Industry which was actually just published or just
11 issue March 8. This is the Guidance for Industry on
12 validation of procedures for processing of human
13 tissues intended for transplantation. A notice of
14 availability was published in the Federal Register
15 Wednesday, March 13th.

16 What I have done for the Committee is
17 there's some manila envelopes that should be in front
18 of you that contain copies of my slides, because I
19 think there were updates, as well as the guidance and
20 the Notice of Availability for your information. Next
21 slide, please.

22 Now just briefly what the guidance says.
23 It gives our current expectations with regard to
24 viruses, bacteria, fungi and TSE associated prions.
25 We say that currently we expect that procedures will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be prepared, validated and followed to prevent
2 contamination or cross-contamination of tissue during
3 processing by viruses, bacteria and fungi.

4 We talk about validation versus
5 verification, what that means, and we give some broad
6 examples about how these studies may be undertaken.
7 Next slide, please.

8 With regard to TSE associated prions, we
9 acknowledge that technology is not quite there to do
10 full validation studies. However, we expect, as
11 technology progresses, that this requirement will be
12 readdressed.

13 For those tissue banks that are currently
14 engaged in high risk processes with respect to TSE, we
15 strongly encourage heightened screening and recovery
16 procedures be put into place to minimize the risk, and
17 we speak very briefly as to the current regulations
18 and the proposed GTPs with regard to commingling and
19 pooling. Next slide, please.

20 Finally, we let industry know that these
21 procedures and data will be reviewed during
22 inspection, and any deficiencies will be noted on the
23 FDA Form 483. Next slide.

24 The Notice of Availability that just
25 published the day before yesterday: We are soliciting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 comments on this guidance, but this guidance was
2 issued for direct implementation due to the public
3 health concerns involved here.

4 We are asking industry, if they would, to
5 submit information on what current methods are in
6 place, and we wish to have further public discussion
7 on this issue and hope for -- We recognize that we
8 will need more additional, specific guidance in the
9 area of bacterial and fungal contamination of tissue.
10 Next slide.

11 With regard to our information gathering,
12 the results there -- and I want to stress that current
13 resources as they are do not permit us to do an
14 exhaustive review of all establishment inspection
15 reports that are prepared as a result of inspections
16 of tissue banks. This was a project that we undertook
17 because of the current situation.

18 We reviewed 60 establishment inspection
19 reports with regard to the information that we
20 requested from the field. This is a small percent --
21 It's not everyone out there, obviously, the whole
22 universe. So I also want to stress that.

23 I've done the breakdown here also to show
24 that a lot of these sites aren't really doing any
25 processing. There's distributors and testing labs for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 donor screening activities that would not be
2 considered processors. Next slide, please.

3 With regard to the problems identified,
4 again I want to qualify this, and I'm not saying that
5 these problems are everywhere or they are completely
6 lacking. There are different degrees, as is always
7 the case, but we did see in our review that there is
8 lack in many cases of full validation or verification
9 of procedures in this regard.

10 There also seems to be a lack of clarity
11 as to what processing is. This was especially at eye
12 banks, for example, that do the whole globe and then
13 do further processing, and also at procurement sites
14 where, for example, bacterial testing is performed on
15 the tissue after recovery.

16 Unfortunately, also we saw in some cases
17 procedures are in place, but they are not always being
18 followed. Next slide.

19 We did see problems with microbial
20 testing: Lack of verification or validation of
21 sampling and testing procedures. For those of you who
22 are familiar with destructive versus swab testing,
23 both have their pluses and minuses.

24 With destructive testing, you are really
25 evaluating the entire test article, but you can only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 really use a representative sample of the entire lot.
2 So what is a representative sample is one of the big
3 issues here. To be representative, one has to assume
4 that the process is consistent and uniform. That is
5 consistent form time to time, and uniform with respect
6 to each piece of tissue being treated in the same
7 manner.

8 IN regard to swab testing, you can
9 actually look at 100 percent of tissue with this
10 method. However, it has its own drawbacks, mainly the
11 recovery of whatever is of interest from the test
12 article, and then the ability to recovery from the
13 swab into the microbiological media. So in the
14 biopharmaceutical industry we have seen data anywhere
15 form 30 to 80 percent recovery in this regard.

16 Lack of bacteriostasis and fungistasis
17 testing: As I mentioned earlier, this could lead to
18 false negative results, and this is a big concern.
19 Even for contract testing, we weren't always sure
20 whether this was addressed, this inhibitory effect of
21 the test article. Next slide.

22 We saw some inconsistencies at times in
23 handling of incoming positive microbial results.
24 There are often various procedures in place, depending
25 on what the microorganism is it that is identified as,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 but in some cases we saw that there is some retesting,
2 reprocessing, and reworking going on until the desired
3 results are obtained.

4 So, in fact, there is very little
5 rejection of tissue. We understand that it's very
6 precious, but in some cases it may be in the best
7 interest not to perhaps proceed with processing of
8 certain tissue. Next slide, please.

9 So finally, where are we going? Well,
10 clearly, we believe we need to develop more specific
11 guidance in respect to this area, and we do want to
12 work with industry and other scientists in the agency
13 as well as in the Department at CDC to develop this
14 guidance.

15 We will continue to monitor the validation
16 and verification procedures and activities in industry
17 through inspection and surveillance, and we are
18 prepared to take enforcement actions as necessary.
19 This is never our preference. We would much prefer
20 that industry take heed and do what is right here, and
21 I think it's really up to all of us, industry,
22 regulators and scientists, to ensure the safety of the
23 tissue supply.

24 On my final slide -- last slide, please --
25 I have got some -- where you can get the guidance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234 4433

www.nealrgross.com

1 document on the CERR website. The Notice of
2 Availability is also under the DOCKETS Website, and I
3 believe for the Committee I have put that website on
4 the last slide.

5 Thank you.

6 CHAIRMAN NELSON: Thank you. Any
7 questions? Dr. Schmidt?

8 DR. SCHMIDT: It used to be common
9 practice for the orthopedic surgeons to have a freezer
10 outside the operating room, and they know what they
11 put it, and they took it out.

12 Now I expect with the current financial
13 climate at the hospitals, the administrators would
14 rather buy them a freezer than sign up with a licensed
15 organization to get their material for them. I'm
16 curious as to are they part of the industry? How many
17 of them are there?

18 We have an orthopedic surgeon, and I
19 wonder if he would speak to the status of that. Does
20 this fall through the cracks?

21 DR. DOPPELT: I'm the orthopedic surgeon.
22 It used to be in years past common practice for small
23 hospitals and so forth to keep, for example, femoral
24 heads, say, for grafts in other patients.

25 As the whole field has progressed in terms

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of the need for various serologic testing and
2 sterility testing and so forth, basically, those
3 places have essentially dried up. They don't exist,
4 because number one, they can't afford to do all the
5 testing. Number two, neither they nor the hospitals
6 want the liability, if they are not going to follow
7 all the rules that are basically promulgated. So,
8 basically, they don't exist anymore.

9 DR. CHAMBERLAND: I may have missed it,
10 but can you give us some sense of what the denominator
11 is in terms of number of tissue banks, and I believe
12 also isn't there a differentiation between those that
13 are licensed and -- or just so what is the universe of
14 tissue banks that we're dealing with? Then also some
15 sense of, up until these recent events, what FDA's
16 ability has been to perform routine inspections and
17 whatever?

18 I would imagine you can only inspect per
19 year some fraction of what's out there.

20 MS. MALARKEY: Unfortunately, I am unsure
21 as to exactly how many registered banks we have
22 currently. Dr. Solomon may have that information. I
23 do know that with the 1271 registration rule, actual
24 tissue processors were supposed to all have registered
25 by a given time, and then there is a three-year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 implementation for other cellular therapy based sites
2 to register.

3 It is also important to note that, as my
4 slide indicated, not all of them are full service
5 tissue banks or necessarily performing processing. So
6 I would defer to Dr. Solomon.

7 DR. SOLOMON: Okay. We have currently 460
8 registered establishments in our database. Eighty of
9 those voluntarily registered. They were not required
10 to register now, but would be required to register
11 when all of our rules are in place -- for instance,
12 reproductive banks and hematopoietic stem cell banks.

13 Of the -- So that leaves us with about 380
14 that had to -- were required to register. Out of
15 those, we recently tallied that more than half of them
16 either distribute only or test only.

17 On the form that they have to fill out to
18 register, we ask what functions they perform. So we
19 wanted to know how many distribute only and test only
20 for some inspection priority purposes, and also we
21 have also heard that the Congress and the public are
22 saying, you know, why do you have 460 banks when we
23 only expected you to have about 150 tissue and eye
24 banks.

25 I think, based on the people that have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 joined the -- voluntarily joined the professional
2 organizations in the industry, but I think that
3 distributors and test labs would not be likely to join
4 AATB or EBAA. So that might account for some of the
5 discrepancy in the numbers.

6 DR. CHAMBERLAND: And then, Dr. Solomon,
7 up until recent events what has been your capacity to
8 do inspections of the banks that you are aware of?

9 DR. SOLOMON: Do you want to take that?

10 MS. MALARKEY: I was going to say, I think
11 as of now we have inspected all of the tissue banks
12 that are full tissue banks. Is that -- about 160-
13 some, based on that math when you take out the
14 distributors and testing labs.

15 I think it is fair to say that we cannot
16 get out to every one every year, and there is some
17 priority given based on the activities and based on
18 their prior history. If we saw problems, we would
19 obviously want to go back in a more timely fashion.

20 DR. SOLOMON: But I believe currently the
21 aim is to inspect every two years.

22 MS. MALARKEY; Yes.

23 CHAIRMAN NELSON: You reported a couple of
24 instances, one of which was only detected during an
25 inspection. Do you think that there -- What do you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think the level of unreporting of recognized events
2 might have been, and what is the plan to correct or
3 get all of events reported, investigated?

4 MS. MALARKEY: Well, I believe the -- In
5 answer to your first question, I don't really know
6 what the universe is out there; that is, how many
7 times this has occurred without our knowledge. I
8 believe that Dr. Kainer has some information perhaps
9 on that.

10 I certainly don't want this to sound like
11 we believe it's a rampant problem, but it is out
12 there.

13 In respect -- I'm sorry, sir, your final
14 question?

15 CHAIRMAN NELSON: Well, I guess the
16 guidance or after these rules are promulgated,
17 theoretically, that would more effectively required
18 reporting, I guess.

19 MS. MALARKEY: Yes. Certainly, the adverse
20 events, as Dr. Solomon stated, would be reported. So
21 that would give us an idea when this occurred, and we
22 would be able to act on it.

23 DR. DOPPELT: I'd just like to say that I
24 think the issue of data gathering and reporting is a
25 serious issue and a potential -- and a clear gap in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4133

www.nealrgross.com

1 the entire system.

2 The AATB does inspect banks and requires
3 that they have an adverse file -- you know, any
4 problems with the tissue that they have distributed.
5 The problem is that they send out a form with the
6 tissue that the hospital is supposed to report back
7 to, and they may or may not get it, and they can get
8 it, and they may or may not get a response.

9 So the system is there, but it doesn't
10 respond very well in terms of getting that information
11 back, and I think, clearly, one of the things is that
12 somehow you have to develop a system where, if there
13 is a problem, at least the AATB is notified, the CDC,
14 FDA, etcetera; because if you are going to try and
15 establish trends, you would like to do it early on,
16 not two years after the fact.

17 MS. MALARKEY: Absolutely.

18 DR. DiMICHELE: When you cited the
19 evolution of concerns, you started in the year 2003.
20 Yet I'm assuming that tissue banks have been in
21 existence for a while. I don't know how long, and
22 maybe you can educate me on that.

23 I'm just trying to understand what the
24 previous history has been, you know, in the absence of
25 regulation in terms of infections. I guess maybe what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.

(202) 234-4433

WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 people are thinking is that there have been
2 infections. There just hasn't been systematic
3 reporting, and we just don't know what's happened
4 before this time. Is that --

5 MS. MALARKEY: Well, as Dr. Solomon says,
6 we have been regulating the tissue industry since the
7 early Nineties, 1993, and the focus initially was more
8 on the transmission of viral infectious disease agents
9 such as Hepatitis C, B and HIV.

10 I can't speak to what happened pre-
11 regulation, but that has been the focus, and our
12 attention has certainly gone elsewhere as a result of
13 recent -- more recent events.

14 CHAIRMAN NELSON: Okay, thank you. The
15 next presentation is Dr. Marion Kainer from CDC.

16 DR. KAINER: Could I have the first slide,
17 please? Good afternoon. Thank you for allowing me
18 the opportunity to update the Committee on these
19 infections.

20 Musculoskeletal allografts includes
21 tissues such as bone, tendon and menisci. In 1999
22 650,000 allografts were distributed in the United
23 States. This compared to 350,000 in 1990. Next
24 slide.

25 Once consent is obtained from a donor,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 tissue is procured by sometimes several tissue
2 procurement or organ procurement organizations. They
3 can involve skin banks, eye banks, solid organs, as
4 well as musculoskeletal tissue.

5 One tissue procurement organization may
6 send tissue to several tissue processors. Thus, a
7 single tissue processor may then distribute it to
8 several tissue distributors, and then it gets
9 implanted into multiple patients. One donor can give
10 rise to about 130 tissues.

11 The tissues you see down at the bottom are
12 bone, tendon, meniscus, and bone screws. Next slide,
13 please.

14 In November of 2001 a 23-year-old man in
15 Minnesota had reconstructive knee surgery using a
16 femoral condyle -- that is a bone-cartilage --
17 allograft. Three days later he developed pain in his
18 knee. There was rapid progression to shock, and he
19 died the following day. Blood cultures obtained
20 premortem grew *Clostridium sordellii*. Next slide.

21 The tissue came from a donor who I will
22 refer to as Donor A. That donor had no signs of
23 sepsis. He had no risk factors for *Clostridia*
24 infection. The body was refrigerated 19 hours after
25 death, and the tissue was procured 23.5 hours after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 death. A single tissue procurement organization was
2 involved, and all tissue was sent to a single tissue
3 processor who I will refer to as Tissue Processor A.
4 Next slide.

5 A total of ten tissues were implanted into
6 nine patients located in eight states. That includes
7 the patient in Minnesota. We contacted the health
8 care providers of all recipients of the tissue, and
9 identified one additional symptomatic patient, a 17-
10 year-old who had a femoral condyle and meniscus
11 implanted.

12 He developed septic arthritis and fever
13 with absolutely no response to first generation
14 cephalosporins which have no anaerobic cover. He was
15 admitted to hospital eight days after surgery with a
16 dramatic response to ampicillin-sulbactam, an
17 antibiotic which has got excellent anaerobic cover.
18 No anaerobic cultures were taken.

19 There were 19 non-implanted tissues still
20 at Tissue Processor A, and these were cultured at CDC.
21 *Clostridium sordellii* was isolated from two tissues,
22 one fresh femoral condyle and one frozen meniscus. Of
23 note, all processing cultures at Tissue Processor A
24 were negative.

25 Let me just take you through some tissue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 processing. The tissue bank receives unprocessed
2 donor tissue. It is debrided and this is a knee
3 showing after initial debridement. Then different
4 parts are taken out of the knee to get the parts which
5 you want, and this was trying to get the patellar
6 tendon.

7 This is the femoral condyle. This is what
8 was implanted into the Minnesota patient who died, and
9 meniscus tissue. Okay.

10 Now let me take you through what happens
11 at Tissue Processor A. At Tissue Processor A you get
12 the allograft, and at the same time there is a bit of
13 companion tissue which may be a sliver of cartilage,
14 for example, from a femoral condyle. Those are
15 processed identically.

16 After the debridement, you have the
17 allograft, the femoral condyle or the meniscus and the
18 companion tissue, and they are placed into a working
19 container.

20 This antibiotic solution is added. In the
21 container you still may have residual vegetative forms
22 of *Clostridium* species, and you also may have
23 *Clostridium* spores. After some time -- next slide --
24 the antibiotics will kill the vegetative forms of the
25 *Clostridia*. They will have no effect on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 *Clostridium* spores. Next slide.

2 After having been soaked in this
3 antimicrobial solution, the allograft tissue is
4 packaged. The companion tissue is cultured. The
5 companion tissue is placed into a culture medium, and
6 you may also have a transfer of some of the
7 *Clostridium* spores, and over time -- next slide -- you
8 will have growth of those *Clostridium* spores into
9 vegetative forms, and that is how you detect a
10 positive culture. Next slide.

11 Now let's go through this again. Same
12 process. Next slide. But instead of just
13 transferring the actual companion tissue, you are now
14 transferring antibiotics as well. So what happens
15 now?

16 You get some of the *Clostridial* spores
17 germinating, becoming vegetative, but they get killed
18 by the antibiotics, and therefore, the cultures are
19 negative, something which we call bacteriostasis, and
20 so one would not know that you had *Clostridial* spores
21 present. Next slide.

22 So we hypothesized that the discrepancy in
23 culture results between Tissue Processor A and CDC
24 were due to bacteriostasis. That is, that there was
25 residual antibiotic transferred along with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 companion tissue.

2 Another fact is that the companion tissue
3 may not be representative of the whole allograft,
4 partly because it is statistically only a small
5 sample, but also the surface area-volume relationship
6 is such that you may have much better penetration of
7 the antibiotic-antifungal solution into smaller
8 companion tissue than you do into the actual
9 allograft.

10 This would result or explain in the false
11 negative results, which Tissue Processor A
12 experienced.

13 CDC published in the December 7th MMWR the
14 case in Minnesota and also alluded to four other cases
15 of septic arthritis due to contaminated anterior
16 cruciate ligament allografts, and we solicited
17 additional case reports. I will now discuss these
18 additional cases.

19 First of all, the definition: For
20 purposes of this report, an allograft associated
21 bacterial infection was defined as: A surgical site
22 infection at the site of an allograft implantation
23 occurring within 12 months of allograft implantation
24 in an otherwise completely healthy patient who has no
25 predisposing risk factors for infection, such as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 diabetes.

2 Cases could be culture negative if they
3 were diagnosed by infectious diseases physicians or
4 surgeons and you had diagnostic such as a knee
5 aspirate or operative findings which supported the
6 diagnosis of a surgical site infection.

7 We excluded any cases where the only
8 organisms isolated were *Staphylococcus aureus* or
9 *Staphylococci spp.*, since these are common causes of
10 surgical site infection, and we did not want to
11 contaminate our sample, unless in these cases --
12 sorry, back on slide -- unless there was additional
13 epidemiologic or microbiologic evidence suggesting
14 allograft contamination.

15 By epidemiologic evidence, I mean that you
16 had two recipients who had *Staphylococcus aureus* and
17 they both had tissue from the same donor. That would
18 be the additional evidence. Next slide, please.

19 We ascertained cases by notices on
20 electronic list service, through the MMWR, Food and
21 Drug Administration, and through some state regulatory
22 authorities. As of March 11 we had a total of 26
23 cases of allograft-associated infections which met the
24 preceding case definition.

25 Thirteen or 50 percent of these allograft-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 associated infections were due to *Clostridium* species.
2 Twelve of those were due to *Clostridium septicum*, one
3 due to *Clostridium sordellii*. The *Clostridium*
4 *sordellii* was the patient who died in Minnesota.

5 Of note, 11 of the 13 or 85 percent of the
6 implicated allografts came from one tissue processor,
7 Tissue Processor A.

8 Now to what tissues were involved:
9 Tendons required for ACL reconstruction, eight; two
10 femoral condyles; two bones; and one meniscus. Most
11 of these were frozen. The femoral condyles were
12 fresh.

13 Now in 11 or 85 percent of these 13 cases,
14 additional evidence implicated the allograft as a
15 source of infection. That is, there was a common
16 donor or cultures of nonimplanted tissues were
17 positive. All 13 allografts were processed
18 aseptically, and there was no terminal sterilization
19 for any of these.

20 Now to some of the clinical features of
21 these patients. These are young patients. The median
22 age was 35, ranging from 15 to 52. Symptom onset
23 occurred at a median of 8.5 days, but ranged from two
24 to 85 days.

25 Many of these patients required multiple

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reoperations, often requiring removal of the
2 allograft. They required prolonged intravenous
3 antibiotic therapy, and some had serious complications
4 from the pick lines or central lines, including
5 secondary bloodstream infection, embolization of the
6 pick lines, and several required total knee
7 replacements because of ongoing pain and stiffness
8 and, as we mentioned before, there was one tragic
9 death.

10 Now to the non-*Clostridium* species
11 infections. There were 13. Eleven of these were due
12 to gram negative rods. Two were culture negative, and
13 five of these are polymicrobial. In eight or 62
14 percent of these infections, additional evidence
15 implicated the allograft, i.e., a common donor or
16 microbiology. We are still investigating the others,
17 because we have not contacted all the other recipients
18 of those tissues.

19 Now what about the allograft implicated
20 here? Ten were tendons used for ACL reconstruction,
21 which were frozen, one fresh femoral condyle, one bone
22 which was freeze dried, and one frozen meniscus.
23 Three allografts were reported to have undergone
24 irradiation.

25 Now nine patients had allografts which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 originated from six tissue processors which are
2 currently AATB accredited. Fifteen patients had
3 allografts which came from two tissue processors which
4 are currently non-AATB accredited, and in two patients
5 we still have not traced back which tissue bank
6 actually did their processing, because just because
7 something is labeled as one tissue bank doesn't mean
8 they did the processing. Next slide.

9 So of tissues processed at Tissue
10 Processor A, 85 percent -- accounted for 85 percent of
11 cases of the *Clostridium* species infection, and 54
12 percent of the total cases of allograft-associated
13 infections.

14 So what are factors which may have led to
15 the release of contaminated tissue at Tissue Processor
16 A? First of all, they used an antibiotic/antifungal
17 cocktail or solution, and that is nonsporocidal.
18 Their pre-packaging cultures had a false negative
19 result. They did not perform any pre-processing
20 cultures, and they went outside industry standards
21 with respect to tissue retrieval time limits.

22 So let me just go through what happens to
23 tissue. You have a death of a donor. Tissue is
24 procured. Tissue is processed, and tissue is
25 released.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Tissue Processor A used an
2 antibiotic/antifungal solution which is nonsporicidal.
3 The cultures, which are taken post-processing, were
4 false negative because of bacteriostasis. They did
5 not take pre-processing cultures. They did not take
6 procurement cultures, and there was a significant time
7 lag in terms of before the tissue was procured.

8 So this combination of events probably led
9 to the contamination -- contaminated tissue being
10 released by Tissue Processor A. How could you avoid
11 this? Have a sporicidal method, by far the simplest
12 method, but it's not as easy as that.

13 Ethylene oxide is associated with poor
14 penetration of tissue and has also been associated
15 with immune-mediated synovitis. Gamma irradiation,
16 particularly at high doses, can impair the
17 biomechanical properties of tissues.

18 So in the past it's very much been trying
19 to get a balance between the need for sterility and
20 the need to keep biomechanical function. There are,
21 however, some new technologies which are focus of
22 research and development.

23 There are some promising low temperature
24 chemical sterilization methods which appear to be
25 sporicidal and which don't affect the biomechanical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 properties of tissue, once implanted.

2 I will now describe the steps which were
3 provided to Tissue Processor A by CDC to reduce the
4 risk of allograft-associated bacterial infections:

5 Whenever possible, to use a method that
6 can kill spores to sterilize tissue. If a
7 nonsporicidal method is used, it is important to
8 remember that aseptically processed tissue is not
9 sterile, and health care providers should be informed
10 regarding the risk of bacterial infection.

11 So if you are using a nonsporicidal
12 method, how can one decrease the risk of releasing
13 contaminated tissue? One: Culture tissue before
14 suspension in the antimicrobial solution. You,
15 therefore, don't have a problem of bacteriostasis.

16 If *Clostridium* species or other bowel
17 flora are isolated, all tissue from that donor that
18 cannot be sterilized should be discarded.

19 Second, culture methods should be
20 validated to ensure that residual antimicrobials do
21 not result in false negative results, and performing
22 both destructive and swab cultures should be
23 considered to increase sensitivity. The recommended
24 time limits for tissue retrieval should be followed.

25 After receiving a report of a potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 allograft-associated infection, remaining tissue from
2 the donor should not be released until it is
3 determined that the allograft is not the source of
4 infection.

5 Health care providers of recipients of
6 tissue from implicated donors should be contacted to
7 determine where there are additional cases, and a
8 sample of nonimplanted tissue which underwent the same
9 processing method as the implicated allograft should
10 be cultured by an independent laboratory using a
11 validated method, so we don't run into a problem of
12 bacteriostasis again.

13 Other steps were included: To perform a
14 one-time audit of unreleased tissue inventory to
15 estimate the proportion of unreleased tissue inventory
16 that may be contaminated with microorganisms or
17 spores.

18 So in conclusion, to reduce the risk of
19 allograft-associated infections, if nonsporicidal
20 methods are used, then process and quality control
21 measures should be in place to reduce the risk of
22 releasing contaminated tissue, but the best way to
23 move forward is to have methods to sterilize tissue
24 that do not adversely affect the functioning of tissue
25 when transplanted into patients. Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN NELSON: Thank you very much, Dr.
2 Kainer. Questions? Yes?

3 DR. DiMICHELE: Thank you for all that
4 wonderful information. I have two questions.

5 The first is with respect to Tissue Bank
6 A and potential predisposing problems to what
7 happened. I noticed in the last report that the
8 tissue -- that basically, when you had to go back for
9 tissue verification, you went to the medical examiner,
10 suggesting that this body was in the care of the
11 medical examiner.

12 The question in another document of
13 patients who die a traumatic death with respect to
14 predisposition to *Clostridia* has been raised. I'm
15 just wondering whether the victim or the person who
16 died, the cadaver from whom these tissues came -- was
17 he a trauma victim? Do you know? And is there any
18 question as to whether harvesting from trauma victims
19 actually increases this problem?

20 My second question relates to the fact
21 that -- again, the question I asked before, in that
22 there hasn't been a lot of bacterial contamination
23 problems at least presented to anyone in CDC or FIA
24 before. Even in the case finding data that you
25 presented, there doesn't seem to be quite consistent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 clustering.

2 In other words, given all the tissue banks
3 that are involved, there haven't been many that have
4 been implicated and, certainly, this one that's been
5 overimplicated and everything that we are seeing. Is
6 this, in your estimation, an isolated problem or is
7 this a more global problem that we are dealing with?

8 DR. KAINER: With respect to the harvest
9 of tissue, the donor for -- Donor A -- he did not die
10 of trauma. So that does not represent a risk factor.

11 There have been some studies performed by
12 other investigators, some from overseas, which show
13 that the chance of finding positive blood cultures or
14 bone marrow cultures at the time of procurement
15 increases in patients who have died -- in donors who
16 have died from trauma, specifically for *Clostridium*
17 species, in particular.

18 That does not happen so much for the other
19 bacteria, and the time interval from donor death to
20 tissue recovery also -- The increase in *Clostridial*
21 species -- It's predominantly *Clostridial* species
22 which increase with that time interval.

23 With respect to your second question,
24 there is currently no centralized required reporting
25 system. It is -- I don't believe that we have an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 epidemic, but we are certainly seeing much larger
2 numbers when we had initially thought, partly because
3 clinicians never used to even consider the allograft
4 as being a source of infection.

5 Most orthopedic surgeons involved in the
6 care of these patients believed that the allograft
7 they implanted into their patients was sterile. So
8 they would never have even reported it in the past.
9 So I don't necessarily think it's a new problem, but
10 something which probably has not been recognized.

11 You now have an industry which is greatly
12 increasing the number of allografts from 350,000 in
13 1990 now to 650,000. I don't -- Even though there is
14 a cluster around one tissue processor, and I think
15 there was a combination of events at that particular
16 tissue processor which led to the release of
17 contaminated tissue, but the problems are still, I
18 believe, something which the whole industry needs to
19 take note of. There are at least eight tissue
20 processors involved at the present time.

21 Does that answer?

22 CHAIRMAN NELSON: Are there any
23 guidelines, either by the Association or FDA or
24 anybody, about which donor, if you will -- that's what
25 you call the person who died -- the characteristics.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In other words, how soon after death the tissue must
2 be taken or any characteristics.

3 I assume, if he died of sepsis, he
4 wouldn't be acceptable. But if he had a gunshot wound
5 to the abdomen or, you know, something like that, are
6 there any guidelines that pertain to which donor is an
7 acceptable donor?

8 DR. KAINER: My understanding is that
9 there are industry guidelines from the AATB which
10 specify the time limits for tissue retrieval, and my
11 understanding is that you can retrieve up to 24 hours
12 after death if the body had been refrigerated at 12
13 hours after death.

14 With respect to whether you can reject a
15 donor or not, I'm not entirely sure whether the
16 industry guidelines specify those details, but the
17 next speaker will probably be able to assist with that
18 question.

19 DR. ALLEN: Two or three quick questions.

20 Let me just confirm what you said early on
21 about this one tissue donor. He was not refrigerated
22 until 19 hours after death?

23 DR. KAINER: That is correct.

24 DR. ALLEN: And what is the potential
25 within a tissue processing plant for contamination and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 subsequent transmission of the contamination to other
2 specimens rather than intrinsic contamination? Was
3 there any evidence of that based on your examination
4 of the processing unit?

5 DR. KAINER: We had the opportunity to
6 observe some of the processing at Tissue Processor A,
7 and there really did not appear to be a lot of
8 opportunity for cross-contamination of tissue at
9 Tissue Processor A. I believe the tissue came in most
10 likely contaminated, and then the process there failed
11 to eradicate or reduce the number of *Clostridium*
12 spores efficiently.

13 DR. ALLEN: And given that Processor A had
14 a large bulk of the *Clostridial* infection
15 subsequently, that was -- it was a problem inherent in
16 their processing methodology, as you described, that
17 enabled that?

18 One other final question. How frequently
19 or as a standard of practice are antibiotics with a
20 broad range of coverage used for the recipient at the
21 time of surgery, and does that in fact mitigate some
22 potential low level contamination of tissues that are
23 being implanted?

24 DR. KAINER: So far, most -- There is no
25 orthopedic guideline as to what antibiotic to use, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 probably 95 percent of the orthopedic surgeons
2 involved in these cases have used a first generation
3 cephalosporin, Cephalozolin, one dose.

4 If patients have received a femoral
5 condyle, that operation is more painful. They stay in
6 hospital a little longer, and they may have additional
7 doses of cephalozolin, maybe up to 48-72 hours, but
8 that is a standard preoperative antibiotic cover
9 given.

10 DR. ALLEN: Yes, and that really is much
11 more to do with the prevention of a nosocomial
12 surgical wound infection rather than contaminate --
13 you know, dealing with contamination.

14 DR. KAINER: That is correct.

15 DR. ALLEN: Thank you.

16 DR. DOPPELT: Yes. I might just add:
17 After most orthopedic surgical procedures, including
18 allografts, usually you give Anceph, either one dose
19 or for 24 hours, depending upon the case. If they are
20 penicillin allergic, it's Vancomycin.

21 So usually, but it's not given assuming
22 that there is going to be some transmission of
23 disease. It is given just in the usual course of
24 management.

25 In regard to -- If I can just add one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thing in regard to the donor screening, I believe Dr.
2 Eastlund has some words on that, but there is a
3 uniform donor screening form that we use as well as do
4 OPOs. So there are strict criteria that you are
5 supposed to follow in terms of no evidence of
6 infection and no sepsis and so forth.

7 In this particular instance, Bank A, they
8 did not adhere to the standards in the industry, which
9 is -- or they were sort of splitting hairs, let's say.
10 As you pointed out, the body is -- the donor body is
11 supposed to be refrigerated as soon as possible,
12 preferably within 12 hours, and the procurement is
13 supposed to be done and completed within 24 hours.

14 So in this particular instance, the
15 information that Dr. Kainer has is that the body
16 wasn't refrigerated until 19 hours. Procurement, I
17 believe what you were saying was that it started at 23
18 1/2 hours.

19 So that's kind of splitting hairs and,
20 depending upon how large the procurement is, it could
21 go for several hours. So they would have been outside
22 the window for refrigeration, also outside the window
23 for actual time of retrieval.

24 DR. CHAMBERLAND: Do either you or do FDA
25 have any estimate of what proportion of the universe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of tissue processors Tissue Processor A accounts for?
2 In this particular investigation, to date it's
3 accounted for a little more than 50 percent of the
4 identified infections, but I am curious as to, number
5 one, what proportion of the processing market does it
6 encompass.

7 Then just also for my own information, is
8 Tissue Processor A a discrete entity located in one
9 physical location or is Tissue Processor A some sort
10 of a corporate entity that might have multiple --
11 offices is not the right word, but facilities located
12 around the country?

13 DR. KAINER: I'll answer the second
14 question first. Tissue Processor A predominantly
15 processes tissue all at one site, but I believe they
16 have arrangements with some other tissue processors
17 who also process some of the tissue. All this tissue
18 was processed at one facility -- all the implicated
19 tissue was processed at one facility.

20 With regard to market share or denominator
21 data, I have attempted to get that information, and
22 I'm not privy to it. I'm sorry. But my understanding
23 is that they have a reasonably large market share for
24 the femoral condyles and the menisci, and a relatively
25 -- a smaller market share for the anterior cruciate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ligament tendons.

2 DR. DOPPELT: I believe that's right. I
3 think that Bank A does a fair amount of banking of,
4 let's say, fresh frozen in situations where you want
5 viable chondrocytes or you want the viable
6 chondrocytes and a menisci or something.

7 I don't know -- I'm not privy to their
8 absolute numbers, but I believe it's a fair statement
9 -- maybe Dr. Eastlund when he speaks can add to it,
10 but I think that there are about 73 or 75 accredited -
11 - AATB accredited tissue banks which probably accounts
12 for about 98 percent of the tissue that's distributed
13 and used in the United States.

14 So if you sort of work backwards, overall
15 they probably represent a fairly small part of the
16 market share.

17 In regards to that, I would just add one
18 other thing. I mean, I think this is an exceptionally
19 important issue, and you know, we need help from
20 wherever we can get it. But I would point out that in
21 terms of the incidence of infection, if you look at --
22 Dr. Kainer has identified 26 or so. Now there may be
23 some more, but let's say 26 over three years, and
24 there is roughly now about 850,000 grafts done a year.

25 If you do the math, that turns out to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 about .001 percent. infection rate. If you look at
2 surgical procedures in general, you've got 500,000
3 post-op infections in about 25 million procedures done
4 a year, which represents about two percent.

5 So in this particular instance, the
6 infection rate related -- or associated -- I shouldn't
7 say related -- associated with allografts is .001
8 percent compared with the general surgical infection -
9 - post-op surgical infection rate of two percent. And
10 the orthopedic community, depending upon what cases
11 you are doing, it could be anywhere from, you know,
12 one or two percent, maybe even lower if you are just
13 doing arthroscopic procedures. So --

14 CHAIRMAN NELSON: I noticed in your
15 database you excluded people who had an underlying
16 condition, and, you know, many people who have a knee
17 replacement, I guess, don't have an underlying
18 condition, but there are circumstances where somebody
19 had some immune deficiency. So they might even be
20 more susceptible.

21 I wondered, do you think that that may
22 have affected the total numbers that you -- I
23 understand why you did it, and it's right to do it
24 that way, because you wanted data that were clean and
25 that were -- and to estimate, you didn't want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 contaminate it with what could have been an endogenous
2 infection.

3 Nonetheless, when you start to estimate
4 the rates and .01 percent, it may be higher than that
5 if we take into account a more susceptible patient
6 population.

7 DR. KAINER: Sure. There were multiple
8 patients who were excluded because they had underlying
9 conditions, and we just did not want to include them
10 in this database. So these are 26 patients where they
11 were healthy patients undergoing elective procedures,
12 not life saving procedures, elective procedures to
13 improve the quality of life.

14 Unfortunately, for most of these they
15 ended up having a far worse quality of life than they
16 had prior to surgery.

17 DR. LEW: One thing that might help if you
18 are going to do this prospectively to try to gather
19 data, particularly if you are saying it's such a rare
20 event, that if you could include in it collection of
21 the organism, only because it could address the issue
22 if there is something in the manufacturing processing
23 and then patient-to-patient contamination, you could
24 do the genetic testing and see.

25 Antibiotic profile is sometimes useful.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but for *Clostridium* I don't think so. So, you know,
2 that might be worthwhile, particularly if it is rare
3 enough. You could really get a lot of data from
4 clusters.

5 DR. KAINER: We have collected this data
6 retrospectively. Unfortunately, most of the isolates
7 were not available for genetic fingerprinting,
8 although two of the cases which were reported in the
9 December 7th MMWR of *Pseudomonas aeruginosa* -- they
10 were genetically identical in pulsed-field gel
11 electrophoresis.

12 DR. STYLES: Just a quick comment about
13 what you were saying about surgical infections. My
14 impression is that overall those are underreported,
15 and I suspect from the data that you have said that
16 some of these infections are underreported.

17 I think it behooves the orthopedic
18 community or any of the communities that use tissue
19 bank tissue, if you will, to really ramp up their
20 reporting of incidents, because I think what may be
21 happening is surgical infections that are being
22 attributed to the surgeon could in essence be not
23 their fault.

24 I mean, I feel sorry for the orthopedic
25 surgeon that had cared for this unfortunate patient in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Minnesota, and I think that it would actually be to
2 their own benefit to -- and would be helpful in
3 determining not only the true incidence of problems
4 but how the FDA's interventions actually impact that,
5 to go back to the actual communities using the tissues
6 and say let's try and gather more data here because,
7 you know, just my experience with these sort of things
8 is these infections in a number of cases could be
9 related to a variety of things and are not generally
10 reported or further explored.

11 DR. DOPPELT: Well, yes, I agree. I think
12 there may be more cases, and I'm sure if Dr. Kainer
13 investigates, we will find that there are some more.
14 But let me just say that, in terms of post-op
15 infections, it's not that it isn't being reported. It
16 is being reported. It's just not being reported to
17 the right people.

18 Every hospital has their own infection
19 control program. So they know about every infection.
20 Each department has their monthly QA reports in which
21 they go over their infections.

22 The problem is that the tissue banks that
23 are providing the tissue aren't getting the
24 information back. The CDC isn't getting the
25 information back. The FDA isn't getting the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 information back.

2 So you know, that goes back to what I had
3 said before, that we have to somehow develop a system
4 where this information is reported to the interested
5 party so they can take action appropriately.

6 DR. HOLLINGER: Are there -- You mention
7 at the bottom there -- you said there are methods to
8 sterilize -- Are there methods to sterilize tissues
9 that would not adversely affect the things, and what
10 was -- For example, what --

11 DR. KAINER: There are some. I know that
12 there is at least one company which has got a low
13 temperature chemical sterilization method, and I
14 believe that they have validated that and have
15 implanted bone with that, and I believe there is some
16 soft tissue about to -- Some soft tissue is also about
17 to undergo that.

18 Certainly, Tissue Processor A is actually
19 working very hard at trying to get to that as well,
20 but they haven't implemented it as yet.

21 DR. CHAMBERLAND: Just in follow-up to
22 some of the comments that were made about reporting,
23 can you share with us, are there any discussions
24 underway within CDC, CDC-FDA collaboratively, or
25 perhaps trying to consider what possible approaches to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 perhaps more active or systematic surveillance might
2 be undertaken to try and get a better estimate of what
3 the true level of allograft-related infection might
4 be?

5 DR. KAINER: Ideally, what we would really
6 like is to have a very simple system for clinicians to
7 report to, so that they have sort of like a one-stop
8 shop. They would report the condition once, and it
9 goes to all the relevant right people instead of
10 asking the Commission to report to six different
11 people, the consequences being that they don't report
12 any at all.

13 Any such system requires a motivation of
14 people. It is voluntary, and also requires resources.
15 But it is something we are certainly very interested
16 in exploring wider, also in conjunction with the
17 American Academy of Orthopedic Surgeons.

18 Tissue processors would like to have from
19 tissue processors denominator data so that one can
20 actually work out the rates of infection, and not just
21 denominator data of total tissue but also by type of
22 tissue and type of processing, so that one can
23 identify what processing method is a problem and then
24 can remedy that.

25 So that is what the idea system would be,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 but then there are proprietary issues, and it is
2 confidential market share information which tissue
3 processors may not want to share.

4 So there are some challenges ahead, but
5 that would be the ideal system, to also have the
6 denominator data, so that patients and health care
7 providers can have informed consent about what is the
8 actual risk.

9 DR. SOLOMON: Could I comment? As I
10 mentioned before, reporting of adverse events is not
11 currently a requirement, but we have proposed it. We
12 have received several MedWatch reports on tissues, but
13 again that's voluntary.

14 I also would just like to comment that, if
15 we discover a case of contamination on inspection, if
16 the inspection is ongoing, we are not at liberty to
17 make that public and to share that information.

18 DR. CHAMBERLAND: You can't share that
19 with state and local health departments or CDC, if you
20 are investigating?

21 DR. SOLOMON: I don't believe we --

22 DR. CHAMBERLAND: So those entities would
23 have to independently -- through an independent source
24 learn that information, if there were adverse events
25 associated with allograft transplantation. They would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have to come to other entities through another
2 channel?

3 DR. SOLOMON: As I said, right at the
4 beginning of an ongoing investigation we could not
5 make that information public. If we issued a warning
6 letter, for instance, that is public information, and
7 there is some data that we have shared with Dr.
8 Kainer, but I just wanted to make the point that we
9 are somewhat restricted in what we can make public.

10 DR. LINDEN: On the issue about reporting,
11 in New York we do have mandatory reporting for adverse
12 events, and we license the transplant sites as the
13 hospitals as well as the tissue banks.

14 Unlike the blood banks which are really
15 excellent in the reporting, the tissue banks -- it's
16 erratic. There are some that report a ton of trivial
17 things, but in this particular case there were a
18 couple of these events that did occur in New York that
19 were not reported to us. Tissue Bank A is licensed by
20 New York and didn't report these to us.

21 So even if you have a mandatory reporting
22 requirement, that doesn't mean people are necessarily
23 going to report. I agree absolutely that sharing of
24 information, however we can get people to report it
25 and share that between public health agencies, would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be a really, really positive thing to happen.

2 DR. HOLLINGER: I think you're right, and
3 I think that, you know, one of the problems is that
4 the tissue banks as well as other organizations don't
5 get the reports from the clinicians or the people who
6 see the problem in the first place. That's really one
7 of the big issues.

8 Part of that is because of the questions
9 that are often asked clinicians and others to do. You
10 know, sometimes these require a fair amount of
11 information which I -- It depends on what you want
12 your adverse reports to say.

13 If you are looking for an early
14 surveillance of problems that are occurring, then you
15 don't have to ask a lot of questions. I know you like
16 to have it. People like to have it for reports and
17 look at this and so on. But if it's for an early
18 surveillance, then it doesn't require a lot of
19 information. Got an infection, and here's what it is,
20 and then it's a requirement then, I think, of the
21 tissue bank and so on when they start seeing things or
22 even other organizations to then go back and try to
23 ferret out a lot more of that information.

24 I find sometimes that there is just -- You
25 know, you sit down and you say I don't have the time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 to go through this, and you end up not doing it rather
2 than at least sending some information off to the
3 organizations that could use it.

4 CHAIRMAN NELSON: I was rather struck with
5 the importance of *Clostridium sordellii* in this, and
6 I wondered. You know, one method to alert to a
7 problem, if somebody got a *Yersinia* blood culture in
8 somebody who was transfused, that would immediately
9 send a bell that, you know, maybe it was the
10 transfusion.

11 I wondered to what extent *Clostridium* in
12 a post-operative patient should alert the fact that it
13 may be the allograft rather than, you know, a
14 bacteremia or operative infection or something. Do
15 you have any sense on that?

16 DR. KAINER: We have actually collaborated
17 with the Emerging Infections Network, and the
18 questionnaire has gone out to 500 infectious disease
19 physicians to actually report all *Clostridium*
20 infections and what proportion of those were actually
21 allograft associated.

22 So we will, hopefully, get some data on
23 that.

24 DR. STRONG: Strong, Seattle. I might
25 also mention that, although a lot of tissue banks do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 get reports -- for example, in Seattle I think about
2 95 percent of our transplant records are returned, but
3 it's generally cosmetic things: Did they like the way
4 it looked? Was it packaged properly?

5 We rarely get the longer term follow-up
6 clinical information which is really the important
7 information.

8 DR. DiMICHELE: Two questions. Are there
9 standards for non-banked tissue that's transplanted,
10 you know, taken out of someone and put right back into
11 someone else, hearts, kidneys, you know, those kinds
12 of organs?

13 Secondly, are there other international
14 standards that might be helpful in helping us
15 reestablish our own in this country?

16 DR. KAINER: With respect to standards for
17 organ transplantation, I'm really not the best person
18 to answer that at all, and I'm not aware of many
19 additional standards internationally.

20 I know that in Australia they don't --
21 there is some work going on at the present time in
22 revising some of their standards, but I haven't done
23 a call-out to the international community yet. But
24 representatives from AATB probably will be able to
25 answer that question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com