

1 DR. STRONG: I think I can help answer
2 that. Again it's Strong in Seattle.

3 I have visited many of these countries,
4 and most of the standards that have been developed
5 have come from AATB, because the original standards
6 were developed in AATB. So they have followed along.

7 So I think, if anything, the other
8 countries, including the European Association of
9 Tissue Banks, the Asian Pacific Association, have
10 followed AATB standards, but they are probably even
11 slightly behind our own, although I must say that in
12 Asia, for example, because they have such difficulty
13 with donors for cultural and other reasons, that the
14 majority of their tissue is irradiation sterilized.

15 So one could question which is the safer
16 way to go, but they do use the high dose sterilization
17 in those countries.

18 DR. SIMON: I believe -- I'm not an expert
19 on this, but I believe the organ procurement is
20 separately regulated through other Federal statutes
21 and, I guess, not by FDA but UNOS is under some other
22 part.

23 DR. SOLOMON: Yes. That's correct. HRSA,
24 Health Services and Resources Administration,
25 regulates organ transplantation. FDA does cells and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3791

1 tissues.

2 In terms of whether autologous tissue is
3 removed and then put back into the same individual, we
4 have that as an exemption from registration. So that
5 is not regulated by FDA. The hospital JCAHO probably
6 has standards, but that was an exemption in our
7 registration rule.

8 DR. SIMON: I was going to say, you know,
9 there's a little bit of sort of de javu or interest as
10 a blood banker sitting in on this discussion, because
11 when the public became concerned that blood was
12 transmitting infection, there certainly was a
13 regulatory response from FDA who had some very strict
14 regulation.

15 I guess the FDA clearly knows how to do
16 it. If there's a sense that the voluntary regulation
17 through the tissue banks is not adequate and that the
18 current state of regulation is not adequate, then I
19 think the same rulemaking process, regulatory process,
20 that was used, that has been used in blood banks,
21 could be used here.

22 If there's a sense that this is a very
23 rare breakdown in the system and this is not an
24 ongoing problem, then perhaps one needn't go in that
25 direction, but it seems to me that that's where we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 here.

2 CHAIRMAN NELSON: Okay. In order to try
3 to meet our deadline, I would like to move to Dr.
4 Eastlund who is from the University of Minnesota for
5 the next.

6 DR. EASTLUND: Good afternoon. My name is
7 Ted Eastlund. I am the Blood Bank Medical Director at
8 the University of Minnesota, and I'm happy to come
9 here to give you some words representing the American
10 Association of Tissue Banks of which I've been a
11 President in the past.

12 Some of my early remarks can't always be
13 attributed to AATB, though, because at the end we will
14 make sure we'll discuss that for five minutes or so,
15 but I will try to take an equal amount of time to give
16 you some background on cadaver tissue donation, use,
17 complications of it, and what is done to prevent that,
18 and then go to the issue of the recent reports since
19 November of infections from allografts. I -- Well,
20 that's enough about me.

21 The American Association of Tissue Banks
22 has been in existence since 1976, and it is a
23 voluntary, as you know, professional organization
24 similar to the American Association of Blood Banks.
25 It has approximately 1200 members, and there's about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 74 tissue banks involved.

2 It is there to establish standards. It
3 also is there to inspect and accredit, and it also
4 certifies tissue banks. So hundreds of tissue bank
5 specialists that are certified are operating in tissue
6 banks in the United States.

7 To start -- next slide. And you should
8 have a handout also that has all of these slides. So
9 we will go quickly this first five minutes or so.

10 I've listed in here from a while back a
11 multiple of tissues that are used routinely in the
12 United States, and many of them are structural, the
13 ones on your right on your handout and up here -- or
14 your left up here, I should say. The others are more
15 metabolic and for replication and, of course, blood
16 fits into that area. Next slide.

17 But in tissue banking, most commonly it's
18 thought of as these types of tissues, not exclusively
19 but bone with hundreds of thousands of bone
20 components, products being used every single year;
21 corneas with who knows exactly, 40-50,000 corneas
22 transplanted a year, or so; skin; tendons; cartilage;
23 and heart valves. Next slide.

24 Because this recent exposure of risks for
25 bacterial infection came up with tendons and bone, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 thought I would just say a few things about that.
2 Bone banking, bone transplantation was done by
3 pioneers for close to 50 years, and it really usually
4 meant large pieces of bone, unprocessed, which are
5 shown right here with a person with a not so malignant
6 giant cells tumor of the distal femur, and a chunk of
7 donated bone was implanted over there to the right.
8 Next slide.

9 Equally so, up on the top of the humerus
10 up here, an equal amount of bone was put there to
11 replace to replace the cancer. And indeed it works,
12 and it was successful, and this type of tissue with
13 bone banking went on. You might say it was not large
14 scale bone banking, but it went on for quite a while.

15 When cyclosporin came around to suddenly
16 make organ transplantation very useful and common, it
17 led to the required request legislation in 1987, and
18 suddenly there was an explosion of available
19 donations, and cadaver tissue donation became a very
20 large availability. Next slide.

21 With it, and even before, came another
22 very common use of bone, and that is to revise those
23 hip implants who became loose, a fair percentage,
24 after 20 years. For instance, it might protrude into
25 the pelvic cavity or it might migrate upwards. Next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 slide.

2 You could use a piece of bone, commonly
3 even in one way as a femoral head, and shape it, screw
4 it in, and use it. Next slide. Or if it was erosion
5 of the proximal femur for loosening, then you could
6 take a section of cadaveric proximal femur and place
7 it into that site. Next slide.

8 In the November and in the December MMWR
9 articles, it was prominent about sort of living, fresh
10 osteochondral allograft, the Minnesota case, and also
11 in December came four patients from two tissue banks
12 where patellar tendon for anterior cruciate ligament
13 repair were associated with infections in recipients,
14 and it's those cases that led to notification to AATR
15 to start becoming active and involved in evaluating
16 these and look for preventive measures and look at
17 existing standards and practices.

18 So this is the anterior cruciate ligament
19 that can be repaired. Next slide. With it, you can
20 see on the top a bone tendon block. The bone is a
21 little butty on each end, but that's part of the
22 patellar bone, the patellar tendon of a cadaver, and
23 also the proximal tibia piece of bone. Next slide.

24 It shows you that you can screw into place
25 the bone on the proximal and the distal part of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 knee and have an equivalent of a new ACL. Next slide.

2 This is the bone screws that can hold in
3 the bone, and in these cases bone screws are involved
4 also. Next slide.

5 Now go to the different kinds of
6 infections that can be and have been, I should say,
7 clearly transmitted -- clearly transmitted from bone
8 graft. Not a question of association with, but were
9 documented cases in the literature.

10 We can't go over all the viruses and other
11 proteins that can infect, but you can see bacteria.
12 All the way across the top is the number one or most
13 common thing that -- not in numbers -- but it's there
14 for all those tissues. Next slide.

15 In bone we have known for years that
16 Hepatitis, HIV, tuberculosis and bacteria has been
17 transmitted in the past. Next slide.

18 Matter of fact, the oldest case, in the
19 Fifties, was actually tuberculosis where, you know,
20 you used to collapse the lung, plombage, put in
21 things, take the ribs, and you can use them in other
22 patients and transmit tuberculosis into spine,
23 surgical recipients. Next slide.

24 But when you look at sort of prevalence of
25 how common is it, some of the pioneers, Mankin and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Tomford and many others, these surgeons here even are
2 involved with that organization, the early bone banks.
3 They looked and they found around out of 300
4 recipients had a bacterial infection that they thought
5 possibly was related, but when you looked at
6 clinically, you didn't see there was any clearcut
7 evidence that these were really causing the infection,
8 except on the bottom one where three recipients had
9 *Serratia*, and indeed it was from the same donor.

10 So there was some indirect evidence that
11 it could have been the bone after all, but it kind of
12 represents what I have sort of learned over the years,
13 that if it is caused by an allograft, it's very, very
14 rare and even probably rarer than this. Next slide.

15 Then a few years ago it showed that
16 processing can actually introduce things to cause
17 allografts to cause infections, and in this case it
18 was actually a pericardium used as an equivalent to
19 dura to replace a dural defect in the craniotomy
20 surgery.

21 In this case you see they had balanced
22 salt solution, which is an *in vitro* reagent used to
23 wash the pericardium. That had *anthropi* --
24 *Ochrobactrum anthropi*, and also three recipients
25 developed the same in their meningitis infections.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So processing in this case an equivalent.
2 Three years before that it was shown in processing a
3 pancreatic islet cells infected plenty of patients
4 with *Enterobacter cloacae*. So processing itself can
5 also contribute. Next slide.

6 What do we do to prevent this? Just like
7 blood donations, just like organ donation, any of the
8 transplantation, it's donor health screening, physical
9 exam, blood tests, autopsy if it's a cadaveric donor,
10 and tissue processing steps. Next slide.

11 Medical history is similar. First of all,
12 for blood donors you asked the same questions, but you
13 ask a lot more, because not only are you trying to
14 reduce transmissible infection and malignancy, but you
15 can have conditions that can make the tissues unfit
16 for use. So all those things are looked for, and then
17 the universal same questions for HIV and Hepatitis
18 behaviorals. Next slide.

19 The testing that is required by AATB is
20 HIV antibodies, Hepatitis B surface antigen, HTLV,
21 syphilis and HCV. Of these, HIV and Hepatitis B
22 surface antigen, I think, have FDA approved kit with
23 caveric blood. Next slide.

24 Physical exam: It's a little bit more
25 than a blood donor, but we indeed look for injectable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 drug sites, but also behaviors of HIV infection and
2 also trauma over donated sites or sites of infection
3 in the patient physically also. Next slide.

4 So in general, it's the same. But the
5 most important things to reduce the risk are the fact
6 that it is voluntary, the fact that medical history
7 screening takes place first before you do testing to
8 avoid an unnecessary high rate of false negatives, and
9 then there's donor procurement and testing. Next
10 slide.

11 The processing is alluded to already.
12 First of all, the collection: It's done in a morgue
13 or in a surgical suite, very commonly with prescribed
14 methods for cleaning and preparing the room, cleaning
15 and preparing the body, sterile drapes, sterile
16 equipment, aseptic surgical procedures, preserving
17 blood vessels for the embalmer, and also bacteriologic
18 testing is frequent. Next slide.

19 At processing, which is already alluded
20 to, the clean rooms that are used are similar to
21 medical device type clean rooms, controlled
22 environment, debriding and cutting into the desired
23 shapes, disinfection steps, sterility testing, final
24 packaging and, for many tissues, final sterilization
25 steps. Next slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now what came up again since December were
2 these cases; first of all, the Minnesota case of a
3 death. We won't go over that. Next slide.

4 Then two other cases, two other tissue
5 banks, a Florida tissue bank and a Texas tissue bank
6 in the December MMWR by the CDC. In one, which I will
7 call one case, but it was two patients, they had
8 infected ACLs. These were not *Clostridium*s but
9 *Klebsiella* and *Citrobacter*, and also they found the
10 same organisms on the bone at the processing tissue
11 banks.

12 What happened here was the final release
13 procedures were inadequate. They thought everything
14 was done, but it really wasn't irradiated, even though
15 they said it was and distributed it. So it was a
16 mistake, an error of release. That's what caused this
17 whole thing. Next slide.

18 Whereas, on the other one it was the case
19 of two patients who had *Pseudomonas aeruginosa* during
20 ACL repair, arthroscopically putting in a patellar
21 tendon implant. Both patients three weeks later
22 developed a serious *Pseudomonas aeruginosa* infection.
23 It was in the same surgical center, different
24 arthroscopes, different surgeons, different days of
25 surgery, but it was from the same donor. Not only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that, but it was from the same left knee.

2 Now -- next slide -- but since this was an
3 AATB accredited tissue bank and it was the only one we
4 knew at that time that was AATB accredited, we
5 inspected them. Two inspectors thoroughly inspected
6 it and could not find any evidence that it really was
7 the donors that were involved.

8 These other findings which looked like
9 enough to say it was from the tissue actually might be
10 circumstantial, because when we looked at it -- I
11 won't take time to go over all the details, but there
12 were no signs on the physical exam type or blood
13 testing during processing.

14 This was in a clean room, Class 100 with
15 Class 100 area for the critical processing, and in a
16 larger Class 1000 procedure. The testing that was
17 done: First of all, monitoring of clean room during
18 that time period was totally normal. No *Pseudomonas*.

19 Then when it was irradiated, it was done
20 in the normal fashion. When it comes back, you look
21 at certain things to see was it truly irradiated, and
22 I've listed those things.

23 The spore strips that were put in there
24 that are infectious -- they were no longer -- there
25 was no growth. The cold process, companion pieces

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from the same tissue that was processed and came back
2 with no growth.

3 The digital indicator, sort of like an
4 irradiation visual indicator only these change color
5 at 1.5 million rads, not 2500 in blood -- they changed
6 color. Dosimetry -- that is, testing to see what dose
7 was actually applied at the irradiation facility --
8 that demonstrated it was 1.6 to 2.0 megarads, and
9 other tissues from other donors in the same box all
10 came back no growth.

11 So we looked at it as saying, okay, this
12 was irradiated. There's not any question about that.
13 And yet everything points to this donor. Next slide.

14 So we came to the conclusion that we
15 cannot tell you what happened at this accredited
16 tissue bank or what happened in the recipient to cause
17 the *Pseudomonas* infections in two patients at the same
18 place.

19 It could have been the tissues, but we
20 found no evidence. We found evidence that it probably
21 wasn't, but you can never say for sure. Matter of
22 fact, what puts a question in your mind: If you look
23 at the D_{10} value for *Pseudomonas aeruginosa*, the D_{10}
24 value is the dose of irradiation that will eliminate
25 90 percent of the bugs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Well, this has a very tiny one, and these
2 numbers will show you that it reduces 300 logs of
3 bacteria, even with 1 megarad, and make a 1.6 to 2.0.
4 Next slide.

5 Just as another illustration that other
6 things can have an impact at the surgical site, and
7 I'm sure this was looked at, but even last week they
8 showed that some bronchoscopes can transmit
9 *Pseudomonas aeruginosa* because of some loose ports,
10 and that was at Johns Hopkins. Next slide.

11 Last, I'll just go over old information
12 that shows, even taking clean sterile packs of
13 supplies and a sterile clamp and putting it into
14 media, you can have up to 2.7 percent on average in
15 multiple sites of contamination and bacteria. So you
16 all know that OR sites can also contribute to this.
17 Next slide.

18 So in summary, we have reacted to the two
19 cases -- that is, two tissue banks, the MNWRs and
20 working with the CDC in December where one of the
21 patellar tendon cases in two patients was clearly from
22 tissue bank error, but the other one -- oh, and then
23 the other one that we looked at, we could not figure
24 it out. So basically, we were left with the Tissue
25 Bank A one where there was a failure of inadequate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 control of bacterial contamination.

2 They have been the cause of focus of
3 attention on these things by our organization:
4 Microbial control during allograft processing; final
5 release procedures; the existing state of reporting
6 and investigation of infections; and also the lack of
7 a need for studies on the prevalence of infections in
8 these patients. Next slide.

9 What we've done since then is form a
10 special task force of which I've been the Chair, and
11 we inspected the tissue bank involved, and it looks
12 like we have some more to look at, and we have
13 reviewed our own standards and actually made some
14 changes in that already regarding procurement
15 cultures.

16 We are going to be working developing
17 guidance documents and taking some of the ones that
18 were mentioned in our technical manuals regarding
19 bacteriostasis, regarding culture requirements and
20 sampling requirements at the -- and look at those to
21 see which ones require more standard setting versus
22 voluntary guidance.

23 At our workshops next week at the mid-year
24 meeting and our annual meeting, we will be covering in
25 detail with CDC and others infectious complications,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 types and prevalence standards, regulations,
2 sterilization steps, disinfection steps, and the
3 bacteriologic testing. Next slide.

4 So a final comment is that we really are
5 thankful that we have been working with the CDC and
6 actually look forward to further working with them and
7 collaborating in both investigations, also in
8 prevalence studies and in preventive steps, and in
9 general we have been in agreement with them about the
10 steps they recommended to Tissue Bank A.

11 Most of these recommendations have already
12 been in our standards or manuals already.

13 Thank you. Any questions?

14 CHAIRMAN NELSON: Thank you. You know, in
15 contrast to the *Clostridium*, the *Pseudomonas* is a
16 ubiquitous aquatic organism. Was there any storage or
17 exposure to aqueous solutions or anything like that in
18 these cases, you know, after they left the tissue
19 bank?

20 DR. EASTLUND: Well, during processing, of
21 course, it's used with on-site manufactured sterile
22 deionized water. That had -- We looked at the
23 records. All the quality control testing and the
24 routines were all normal, and nothing there; whereas,
25 implantation -- that's a different question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I'm sure there was plenty of flushing and
2 irrigation, but that's at the time of surgery inside
3 the joint, and not necessarily storage.

4 DR. DOPPELT: Well, I just wanted to make
5 a few comments. In one of the -- You mentioned the
6 bronchoscopy and the *Pseudomonas* there. For those of
7 you who don't know how arthroscopic procedures work,
8 usually the equipment is sterilized the day before
9 and, if you are doing multiple procedures, the first
10 cases that you do, because you have like two or three
11 scopes in the hospital -- the first set, the first
12 couple of cases are done with what was already
13 sterilized the night before, ethylene oxide.

14 Now there's newer methods with ionization.
15 When you are getting to your third or fourth case, the
16 equipment may be just placed in some bacteriocidal
17 solutions. So it isn't really as sterile and clean as
18 if it had been sterilized the night before.

19 For those of us, for example, who do
20 arthroscopy on patients who have already had
21 allografts or who have total joints, like a total
22 knee, we insist that those cases be done as a first
23 case so that we know that the equipment has been
24 properly sterilized.

25 So in this particular instance, we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 tissue coming from one bank, two pieces of tissue,
2 that the recipients ultimately got *Pseudomonas*. If I
3 understand what Dr. Eastlund is saying, and I believe
4 I agree, that I don't see how that tissue could have
5 left the bank with *Pseudomonas* being on it.

6 I mean, you have to eliminate what's
7 impossible, and anything else, no matter how unlikely,
8 is possible. You know, the log order for
9 sterilization was -- I mean, just there could not be
10 *Pseudomonas* on that tissue. So it has to have
11 occurred someplace later.

12 Then I just wanted to add one comment that
13 Dr. Simon -- in response to Dr. Simon. The AATB does
14 have standards, and the FDA has regulations in terms
15 of procedures for processing. In 1996 we had required
16 in our standards that you shall, must, get pre-
17 processing cultures.

18 There was perhaps some overconfidence that
19 the final cultures and the processing of
20 decontamination and washing and so forth would
21 eliminate virtually everything and that it would be
22 appropriate to change that to "you should" obtain pre-
23 processing cultures, but you still have to get final
24 cultures.

25 Now Dr. Kainer has pointed out that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are, obviously, some faults with that, because of
2 issues of bacteriostasis. We have since gone back and
3 had the Task Force on Sentinel Events and the
4 Standards Committee review all of this, and it was
5 their recommendation, and the Board approved it, to go
6 back and insist that all banks, all accredited banks,
7 have pre-processing cultures.

8 Nevertheless, the AATB had done a review
9 of the banks that were accredited, and they had
10 reviewed 50. 45 or 46 out of the 50 still continued
11 all these years -- I mean since '96 -- still continued
12 to do pre-processing cultures. So the majority of
13 tissue that was processed and distributed had always
14 had pre-processing cultures.

15 So we have tightened things up, but that
16 has been the case, that the pre-processing cultures
17 for the most part have been done and will be done.

18 DR. SIMON: The question I would like to
19 address, which is a continuation of my prior comment,
20 of course, to Dr. Eastlund, our speaker and perhaps
21 also to Dr. Doppelt: Given the outstanding job that
22 it appears that the AATB is doing with its member
23 banks, but assuming that it's not a legal requirement
24 to be a part of that, do you feel that the FDA needs
25 to create a regulatory process, environment, paradigm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that is more similar to what it does for blood?

2 DR. EASTLUND: Well, first of all, the FDA
3 has good tissue bank practices in the -- ongoing, not
4 yet finally implemented, and has a number of things
5 there, and they have the inspection capacity already.
6 So I'm not the one to ask, but I'm not sure -- I think
7 it's sitting there.

8 DR. SIMON: Well, you know, yeah, I would
9 agree, it's sitting there. The question is does more
10 need to be done with it, because, for example, we
11 heard a lot about, well, you know, we can't get people
12 to report this. Well, among the blood banks, you know
13 that the FDA has ways of making sure that we do what
14 they expect us to do, and it's been a very firm
15 regulatory process.

16 I guess the question is: Is that needed
17 here or is that not the case or is it adequate? You
18 seem to be saying you think it's adequate.

19 DR. EASTLUND: Well, in many areas -- I
20 mean, for instance -- I can't answer the resources of
21 the FDA, but reporting requirements -- that's still an
22 open issue, because tissue banking sit right in the
23 middle of a couple of monolithic establishments.

24 One of them is blood banks who are
25 responsible for the traceability in a hospital for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 blood. You call ten years later from a blood center,
2 and you can find out the recipient. If you are a
3 recipient, you can call ten years later and find out
4 who the donor was.

5 So tissue banking is not quite like that.
6 On the other hand is the medical device manufacturers
7 who are responsible for tracking, and they have the
8 patients -- or the orthopedic surgeons filling out a
9 form that goes to the manufacturer who is responsible.

10 So tissue banks are in between. Is it the
11 tissue bank, manufacturer, responsible or is it the
12 hospital that's really responsible to keep this flow
13 going?

14 We are in between, but in the early
15 Nineties the American Association of Tissue Banks went
16 to JCHO to try to get their agreement on setting up
17 standards so that hospitals were responsible for
18 logging in, for proper monitoring and storage, and for
19 keeping track of the recipients.

20 We also went to AABB who also has a
21 standard in the area of what's called tissue
22 dispensing facilities that requires, again on a
23 voluntary basis, that the facility that uses the
24 tissue, that has gotten it from an outside supplier,
25 is responsible for this traceability.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So it's there, but how it sits with
2 requirements for the FDA to hear about it is another
3 story. I don't think at this point, although the new
4 emergency regulation, I guess, implies some of that
5 maybe about mandatory reporting of deaths.

6 Currently, you may get very good actions
7 by a tissue bank if they get a report of a positive
8 culture and they investigate it and find out it was
9 nothing that was related to the tissue, they will send
10 back -- they will do a root cause analysis and stuff,
11 and send back a letter to the surgeon in the hospital.
12 But it ends there.

13 If they find a true infection from their
14 tissue, then they still report it to the hospital, but
15 they don't necessarily report it to AATB or FDA. So
16 I'm not sure where that sits as far as the requirement
17 to report to FDA a transmitted disease by the tissue.

18 DR. SOLOMON: Could I just clarify? The
19 way FDA regulates blood and the way FDA regulates
20 tissues is quite different. Blood components are
21 licensed products. They have been regulated since the
22 Seventies or maybe even earlier.

23 I don't know if Linda could talk to that -
24 - Dr. Smallwood. But anyway, they are regulated both
25 under the PHS Act, which gives us the authority to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 require that they be licensed, and also under the FD&C
2 Act, which gives tremendous authority in terms of GMPs
3 and other things that you find us doing to blood
4 banks.

5 Also for blood components, in order for a
6 blood bank to get a license it has to submit an
7 application to FDA, and the application is reviewed in
8 terms of the SOPs, and various QC data have to be
9 submitted, and then FDA grants that blood bank a
10 license. So we have quite a bit more regulatory tools
11 when it comes to blood banks.

12 Now tissue has only been regulated since
13 '93, and a conscious effort was made then not to be
14 over-heavy handed. So that the authority under which
15 we regulate tissues comes just from the PHS Act, and
16 it's Section 361 which speaks to communicable disease.

17 In other words, tissue banks are not
18 licensed. Tissue banks do not have to submit an
19 application with any data and get approved. Tissue
20 banks do not have to follow GMPs.

21 As I mentioned before, we are trying to
22 get GTPs in place, but they are not effective yet. So
23 there's quite a difference in the degree of regulation
24 and the legal authority behind the regulation.

25 DR. HARVATH: I was wondering, for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 AATB's experience the thoroughness to which the
2 history of your donors, your cadaveric donors -- what
3 kind of compliance do you get with your tissue banks?
4 I mean, if there's a piece of missing information, for
5 example, someone who may not have an extensive medical
6 history file -- If there is a piece of missing
7 information, does that disqualify the tissue from
8 being harvested?

9 DR. EASTLUND: Well, there is a whole list
10 of required information that must be -- just like a
11 blood donor's history. There's always more
12 information out there that you could always get also.

13 For those required -- Another difference
14 is that required information comes from the next of
15 kin. So you can always say, well, this is not a
16 direct interview of the donor. So there is that
17 issue.

18 There is also the same issue as there is
19 in blood donors of more information out there you
20 could go after if you wanted to. Now if it's an
21 autopsy that is done, we need to look at that, and it
22 does not require that there is an autopsy.

23 On the blood side, if a person had
24 Hepatitis five years ago and was reported to the State
25 Department of Health, the blood bank won't know that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 necessarily. I mean, so there is always more
2 information you could have.

3 The basic required information must be
4 obtained or you do not use that donation. You do not
5 make the collection, period. So there is a prescribed
6 amount that must be there, period. You can just say
7 that the sources maybe are different than a living
8 donor.

9 DR. HARVATH: In your experience as an
10 AATB inspector site visitor, do you find that there is
11 absolute compliance with those requirements?

12 DR. EASTLUND: For many years, there's
13 been very compliance. Otherwise, they wouldn't be
14 accredited. Not all banks are accredited tissue
15 banks, but that's an absolute. You don't get
16 accredited unless you do it, period.

17 DR. FINLAYSON: I hesitate to try and add
18 anything to Dr. Solomon's magnificent description of
19 tissue, but inasmuch as this is the Blood Products
20 Advisory Committee, I wanted to add one small
21 historical fact on blood regulation.

22 I believe the Public Health Service Act,
23 as it was amended in 1944, mentioned blood, and I
24 believe that the first blood bank was licensed in the
25 mid-1940s. Actually, there were blood products which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 were licensed even earlier than that. Albumin, for
2 example, was first licensed in 1941, immune globulin
3 in 1943, and blood grouping and typing agents at the
4 same time.

5 So it's not since the Seventies. It's
6 more like the Forties.

7 DR. STYLES: I don't know if anyone else
8 was -- or maybe I'm just naive, but I was rather
9 struck by the lack of oversight by the FDA in this
10 area. I would have thought that anytime you -- I
11 mean, look at all the oversight in xenotransplantation
12 from animals, and yet, gosh, when I read through this,
13 I was like you mean they are not already requiring
14 this?

15 I mean, I don't know that I speak to the
16 issue here, but I don't know if anyone else was a bit
17 stunned by that.

18 DR. HARVATH: I can only speak to it as my
19 experience when I worked at FDA, but just in the area
20 of hematopoietic stem cell transplantation, you know,
21 FDA has not regulated that, you know. So I mean this
22 whole proposed approach to cell and tissue based
23 therapies was really an attempt to become more
24 inclusive of these areas, and there's been enormous
25 amounts of work throughout the 1990s, especially from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 1995 on, in trying to capture this information and try
2 to work with the different communities involved in
3 these areas of cells and tissues.

4 Some of the areas have been very
5 cooperative in working with the agency, and others
6 have been quite skeptical of FDA becoming involved in
7 regulating that area.

8 DR. STYLES: I will say that I was struck
9 that it seems like the organization has been very
10 forthcoming and seems to be, at least from
11 appearances, very cooperative with the overall
12 process, which I think will probably, you know, mean
13 that people won't come down on you when you cooperate.
14 But I just wanted to point out I was just amazed by
15 that. I didn't even know that about it. I thought
16 they were all -- I thought it was a blood product.

17 DR. LINDEN: On that issue I have a little
18 bit of perspective about regulation of tissue banks.
19 We in New York have been regulating them since about
20 1989, and there has been great improvement.

21 I mean, my major comment would be this is
22 a slow process. You can't adopt a regulation and
23 expect everybody is going to just comply overnight.
24 When you set standards, it may take a couple of visits
25 before people really fully understand what is expected

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-1433

www.nealrgross.com

1 of them.

2 It's not like the blood bankers who are
3 very compliant, really understand the expectations.
4 Additionally, with tissue banks there are a lot of
5 other challenges. As was mentioned, you are not
6 getting the history directly from the donor. Things
7 are technically more difficult.

8 Most of the -- Many of the facilities are
9 for profit, and not that there is anything wrong with
10 that, but that there are proprietary and competitive
11 issues that we don't see as much in blood banking.
12 But there have been great improvements, and I think
13 that FDA really has the right idea of adding to their
14 existing regulatory structure with the Good Tissue
15 Practices.

16 That will be a big improvement, but we all
17 have to understand that's going to be a slow process
18 that will take some time. But I think we are really
19 making steps in the right direction.

20 DR. LEW: This may have been mentioned
21 earlier, but how many are really involved with the
22 AATB group, and how many tissue banks are totally
23 separate, and does FDA's rule, I'm assuming, is for
24 everybody, not just those involved with this
25 organization?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. SOLOMON: That is correct. You have
2 to follow FDA regulations whether you are accredited
3 by AATB or EBAA or not. It has no relationship.

4 DR. LEW: So what percentage are a part of
5 this AATB group, and what percentage aren't, and is it
6 public information to know when you do your
7 inspections? Is there a difference in terms of which
8 one violates these rules more often?

9 DR. SOLOMON: I wish we had someone from
10 Compliance to answer that. I can tell you that, in
11 terms of prioritizing the inspections, if a bank is
12 AATB or EBAA accredited, that would be a lower
13 priority in terms of FDA visiting them than a bank
14 that was not accredited.

15 I don't really know the numbers. I think
16 it's about 60 percent of the tissue banks are
17 accredited. I don't really know.

18 DR. EASTLUND: Well, I'm not sure either.
19 I think Sam could help, but I have heard someone say
20 that over 90 percent of the tissues used is from
21 accredited tissue banks. That is, bone tissues.

22 The other question is what percent of the
23 infections were from accredited tissue banks. There's
24 two different questions. It looks like, from your
25 data, there are -- Of course, the bulk of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 *Clostridium* ones were from a nonaccredited bank, and
2 the other bacteria are still ongoing investigations,
3 including a couple of AATB accredited banks.

4 So I'm not sure of the answer. Sam, do
5 you have an idea about the percentage of nationwide
6 use of bone type tissue?

7 DR. DOPPELT: Yes. I think -- Well, I
8 don't have an exact figure, but for bone, as I said
9 before, there's about -- Overall, considering bone,
10 skin banks, etcetera, there's about 73 or 75
11 accredited banks.

12 For those that are doing musculoskeletal
13 tissue, the accredited banks account for, I think,
14 somewhere about 95 to 98 percent of the tissue that is
15 distributed in the United States. Now the other two
16 to five percent -- there could still be a fair number
17 of smaller banks. I mean, you only know what you
18 know, and you don't know what you don't know.

19 So I'm not sure how many other banks there
20 are. Membership in the AATB is a voluntary sort of
21 thing. So we don't have any way of forcing people to
22 join or follow the standards, and I think the proposed
23 GTPs will go a long way to sort of getting everybody
24 on the same page.

25 DR. EASTLUND: One point about that, if I

NEAL I GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 could just say that the -- to remind you that the bulk
2 of the tissue transplantation is bone, and the bulk of
3 these infections have been osteochondral and tendons
4 with just a little bit of bone.

5 So I think it would point to a general
6 good track record of most of the bone transplantation
7 that's going on.

8 DR. HOLLINGER: Obviously, I think that
9 tissue banks should be accredited. They all should be
10 accredited by some organization, whether it's the FDA
11 and/or -- sounds like the AATB. Sounds like they are
12 doing a very good job of regulation or at least
13 looking at their banks.

14 A question I had was, if you can tell me,
15 among the AATB banks what percentage of the tissue
16 banks -- or what percentage of the tissues that are
17 obtained are from the medical examiner's office, and
18 is there any difference between the cultures which are
19 pre-processed cultures and after the processing? Is
20 there a difference in the amount and number of the
21 tissues, the percentage of tissues that are destroyed
22 because they are contaminated?

23 DR. EASTLUND: I'll sort of rephrase the
24 question, too. I think you are asking what percent of
25 all the bone type tissue collected is from a morgue,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 whether it's a medical examiner or not, versus an
2 operating room.

3 That percentage has changed over the years
4 where it used to be bulk of it non-OR ten, 12 years
5 ago, to the bulk of it being OR or a thing that's even
6 more controlled, a collection facility produced and --
7 put in by the tissue bank, and that sometimes is in a
8 medical examiner's office, a dedicated room.

9 So now it's changed over to most of the
10 tissue is in a very controlled atmosphere, not the
11 morgue. And the morgue ones are done with a
12 prescribed amount of cleaning ahead of time. But for
13 numbers, I'm not sure if Jean or Bob or if Sam has a
14 number.

15 DR. SCHMIDT: If you are saying, Ted, OR,
16 this means living donors?

17 DR. EASTLUND: No. This is a -- The
18 routine is that, once someone dies, they may have a
19 temporary storage in the morgue, and they are brought
20 up into the OR. That's absolute routine.

21 DR. SCHMIDT: We've just really talked
22 about cadavers today, but aren't there bones from
23 living people and other parts?

24 DR. EASTLUND: That's right. The most
25 common is the femoral head, which I alluded to. Well,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I think I did -- the femoral heads from total hip
2 replacement. That has died off quite a bit, because
3 there's such a large need for bone, and that can
4 provide a small amount.

5 So the amount of living donor bone has
6 declined, but it's still there, to a degree. And that
7 is under the same standards. It's a little different,
8 though, because since you are a living donor, you can
9 be retested, and that's a requirement six months later
10 to be retested. And they also get hepatitis B core
11 testing, at least in our standards in accredited ones,
12 and retesting for HIV and HCV at the end of six
13 months.

14 That was a long history, but that was set
15 in place because semen transmissions back in '85 in
16 Australia, and that's a routine for all of our semen
17 banks also, the same retesting plans.

18 DR. DOPPELT: I was just going to just
19 sort of reemphasize one point, so there isn't any
20 confusion. I think very few procurements now are done
21 in morgues. I don't know -- I don't have a figure,
22 but clearly, as Dr. Eastlund said, the numbers have
23 shifted.

24 So when an individual is pronounced dead
25 in a hospital and they are a suitable donor, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 procurement occurs in that hospital, usually in that
2 hospital operating room.

3 DR. HOLLINGER: We're going to talk about
4 this in a minute, but I'm not sure that's true for
5 corneas.

6 DR. DOPPELT: You're right. That's a
7 different --

8 DR. DiMICHELE: I just wanted to ask Dr.
9 Eastlund a couple of questions.

10 With respect to -- You said donors are
11 voluntary. That means that the people who are
12 determined to be suitable donors are people who have
13 already signed a little card that I'm willing to be a
14 tissue donor. Is that what you mean?

15 DR. EASTLUND: No. I was alluding to paid
16 versus nonpaid donors, whereas in blood it's
17 definitely a high risk to pay the donors. It
18 increases risk of infections. Whereas, in cadaver
19 tissue donor they are not paid.

20 It's not that they have agreed ahead of
21 time, and even though they have signed a donor card --

22 CHAIRMAN NELSON: What would they do with
23 the money?

24 DR. EASTLUND: Well, as you may know, this
25 is a hot issue in transplantation, because of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 shortage of organs. Right now, it's very much on the
2 cusp of giving money to the next of kin to help with
3 funeral expenses as a motivation or as a way to
4 increase the number of organ donors.

5 In tissue donors there is no payment.

6 DR. DiMICHELE: Well, then I have more
7 questions, because the question is, okay, so who is
8 determining the suitability of the donor then, and --
9 Okay, let me ask that question first, because I have
10 a follow-up.

11 DR. EASTLUND: The tissue bank staff
12 directly interviews the next of kin.

13 DR. DiMICHELE: Of everyone who dies?

14 DR. EASTLUND: That's right. When it's a
15 donor, a potential donor who dies, there is immediate
16 screening. A small percentage of those who are
17 approached actually meet the suitability requirements.

18 DR. DiMICHELE: And with respect to that,
19 one of the things that you said is in terms of the
20 history. You said that conditions -- you know, that
21 you check for conditions rendering tissues unfit.

22 Now I don't expect you to go through the
23 whole list of those, but are there a lot of conditions
24 or just a few conditions?

25 DR. EASTLUND: There's a lot, and it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 specific. If you have rheumatoid arthritis and
2 everything else is okay, you don't donate bone.

3 DR. DiMICHELE: So it's pretty stringent?

4 DR. EASTLUND: It is stringent.

5 DR. DiMICHELE: And lastly, you know, the
6 kind of regulation, again coming from the blood
7 industry, whatever, that we're kind of used to
8 requiring the FDA is very labor intensive, is very
9 financially intensive in terms of the resources that
10 are required to police the operations.

11 How does your organization fund itself in
12 terms of policing the -- or inspecting and ensuring a
13 certain quality of your member organizations?

14 DR. EASTLUND: American Association of
15 Tissue Banks, you mean?

16 DR. DiMICHELE: Yes.

17 DR. EASTLUND: Well, it has, of course,
18 dues, and that can be fairly expensive, but a large
19 portion is also annual meeting income. To give you an
20 example of American Society of Hematology, they
21 recently reported in their annual report, 40 percent
22 of their revenue was from their annual meeting, and
23 that's -- I'm sure for AABB it's another big bulk of
24 the whole revenue.

25 There is also fees, if you are accredited

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for inspection. So it's more fees for a tissue bank
2 than it is for an individual person. So it's a
3 member organization of professionals plus, you might
4 say, an establishment organization of tissue banks,
5 and there's different fee schedules for them.

6 DR. DiMICHELE: And how many people work
7 through the AATB?

8 DR. EASTLUND: Only about 1,200 members
9 and about 74 tissue banks or tissue establishments?

10 DR. DiMICHELE; No. How many people are
11 doing inspections?

12 DR. EASTLUND: Oh. Well, we have --

13 DR. DiMICHELE: Full time staff, I mean.

14 DR. EASTLUND: Two or three full time or
15 near full time tissue bank inspectors. But there is
16 also voluntary inspectors that accompany them. So you
17 have the professionals in tissue banks with inspectors
18 also. Not so much voluntary anymore? Okay. So they
19 are all paid inspectors, and it's the staff that do
20 that.

21 In our inspection of these problems that
22 develop, we've had one voluntary professional plus a
23 paid professional.

24 CHAIRMAN NELSON: Could we get your name
25 for the record?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. EASTLUND: Jean Moew, Executive
2 Director of the American Association of Tissue Banks.

3 MS. MOEW: Were you referring to the size
4 of the staff or the inspectors?

5 DR. DiMICHELE: I was referring actually -
6 - Yes, to the size of the staff and, you know,
7 inspectors. Who carries out this function, I guess?

8 MS. MOEW: Okay. We have seven people on
9 staff, and we have three consultant inspectors, and
10 that's the size of the staff. We develop standards,
11 inspect and accredit the bank, and certify personnel
12 who work in the bank. It is a lot of work.

13 DR. DOPPELT: I might just add one point.
14 As Dr. Eastlund mentioned, there is a fee associated
15 with being inspected. So, you know, the AATB doesn't
16 send these people out just for nothing, but that may
17 be one -- I'm not sure, but that may be one reason why
18 some banks that aren't accredited choose not to be,
19 because they don't want to pay an inspection fee.
20 They may or may not be able to pass muster when they
21 get inspected, but there is a cost associated with
22 being inspected.

23 MS. MOEW: Oh, and I meant to say that the
24 people who do our inspections are all, except for one,
25 former FDA inspectors, and one of them is a former

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 head of CBER Compliance. So we have, we feel, a very
2 professional group doing our inspections.

3 DR. ALLEN: Two questions. Health care
4 economics being what they are today, I assume that
5 hospitals charge for the use of the operating room for
6 harvesting of tissues, and I just wondered who
7 actually pays that? Is that the collection
8 organization that would pay that?

9 DR. EASTLUND: Yes. Number one, there are
10 no expenses that the donor family is responsible for.
11 Number two is there are some places that do not charge
12 for that. But number three is that the procurement
13 facility -- the procurement agency then has to pay
14 that.

15 DR. ALLEN: Okay. And second, what is the
16 background and training of the people who harvest the
17 tissue?

18 DR. EASTLUND: The qualifications of the
19 people who do the actual procurements vary quite a
20 bit. We happen to have over the years a mechanism of
21 certifying procurement specialists and tissue bankers.

22 So within the AATB accredited banks, they
23 have a course that is taking place and a certifying
24 exam, and it's quite comprehensive. Then, of course,
25 there is on-the-job training.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 As far as qualifications for education
2 ahead of time, it's widely variable, depending on the
3 tissue bank; whereas, some might be medically trained
4 in the beginning, there are some that are funeral
5 directors. We have physicians that do it, nurses,
6 medical technicians. It's a wide variety. Dental
7 assistants have been procurement specialists also, but
8 you have to go through the training first.

9 CHAIRMAN NELSON: I'd like to move --Yes?

10 DR. LEW: Yes, just one other thing. It
11 sounds like you all are very good about going to
12 different places and inspecting. But I'm just trying
13 to think in terms of what FDA has suggested, to gather
14 more information, and particularly to look at the
15 issue of infection.

16 Is it available to you, particularly from
17 your member people who belong to your organization, to
18 get data like on the percentage who have positive
19 cultures, what grew, and then after they are put in a
20 special antimicrobial solution, you know, how often do
21 they have to do that to sterilize it, and what do you
22 allow? Are there standards where, you know, after you
23 have tried once or twice to sterilize and it doesn't
24 work, then you have to get rid of it?

25 Is all that information easy for you to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 gather to offer to FDA for review?

2 DR. EASTLUND: It's obtainable, and
3 currently the CDC is working with the AATB for a very
4 detailed look at that.

5 CHAIRMAN NELSON: Okay. In order to try
6 to finish up, I would like to invite Dr. Michael Lemp
7 to talk about adverse reactions after corneal
8 transplants, the eye banks perspective.

9 DR. LEMP: Thank you very much, Dr. Nelson.
10 It's a pleasure to be here this afternoon.

11 What I'd like to do in the time that we
12 have here is to go through quickly some of the slide
13 material which you have all been provided, and share
14 with you some of our experience in eye banking.

15 I am Clinical Professor of Ophthalmology
16 at Georgetown and George Washington University. I'm
17 a corneal surgeon. I'm a former member of the EBAA
18 board, and I've performed about 3,000 corneal
19 transplants over the last 30 years, both here and
20 abroad.

21 What I'd like to do is share with you a
22 little bit of our experience in eye banking, which I
23 think might be germane to some of the interests that
24 you have here, because we have a fairly extensive
25 experience going back approximately 50 years in this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 subject. Next slide, please.

2 The first eye bank opened in 1944 in New
3 York City. Corneal transplantation actually has a
4 history that goes back about 100 years, but it's
5 really only been done in any large numbers for about
6 50 years. So it was really in the 1930s and Forties
7 a rare operation.

8 We had no system of getting tissue. It
9 was catch as catch can when donor material became
10 available. It was only in the 1950s that corneal
11 transplantation developed to the point where it became
12 more widely used, and particularly in the 1960s.

13 This is why that you find that the EBAA
14 was founded in the early 1960s. It's the oldest
15 association of transplant organizations. It went
16 through a series of developments. So that by 1980
17 medical standards were promulgated.

18 There are 93 member U.S. eye banks. There
19 is only one eye bank which is not a member of the EBAA
20 in the U.S. So it's a pretty inclusive organization.
21 In the year 2000, 46,000 corneal tissues were
22 collected by members of the EBAA. About 35-37,000 of
23 those were transplanted here in the United States, and
24 the rest of that tissue was sent abroad. Next slide.

25 What is the potential for transmission of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 infection through corneal transplantation? The change
2 here today is bacterial infection and perhaps fungal
3 infection.

4 There are two conditions in ophthalmology,
5 for those of you who don't deal with this every day,
6 that we worry about post-operatively in terms of
7 infections. One is infectious keratitis, and the
8 second is endophthalmitis.

9 I'd like to just show you a few pictures
10 and show you what this is. Keratitis is an infection
11 of the cornea -- next slide -- which means this is
12 what a corneal transplant lookslike, a modern corneal
13 transplant. The central area that you see has been
14 transplanted.

15 That very fine suture that you see holds
16 it in place. It's a 10-0 nylon suture. Those sutures
17 stay in place for a long period of time, because this
18 tissue is somewhat unique in its avascularity. It
19 takes a long time for healing to occur.

20 Now that has some implications for the
21 possibility of post-operative infection that are not
22 necessarily donor related, and so trying to sort these
23 things out as to what might be donor related and what
24 is not donor related is an issue that requires some
25 explication. Next slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 This is a picture that shows a case of
2 infectious keratitis post-operatively in a corneal
3 transplant. Keratitis is basically a bacterial
4 infection. It causes a breakdown of the corneal
5 epithelium and stromal involvement that you see here
6 without evidence of deeper involvement in the eye.
7 Next slide.

8 In contrast, you have a picture here of a
9 post-op patient. This was taken about 24 hours post-
10 op of the corneal transplant, and this patient has
11 endophthalmitis, which carries with it a much worse
12 prognosis, and that is an infectious condition in
13 which there are involvement not only of the outer
14 layer of the eye like the cornea but the interior
15 layer of the eye.

16 It carries with it a very guarded
17 prognosis. Even though many of these eyes are saved,
18 the visual potential is not high in many of them that
19 have this. That relates to many factors, including
20 the speed with which it is recognized and treated, but
21 also to the virulence of the organism.

22 The organism that we most fear in
23 ophthalmology in these cases is *Pseudomonas*, and
24 within 24 hours, because of the liberation of the
25 proteolytic enzymes that *Pseudomonas* has, you can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4133

www.nealrgross.com

1 irreversibly destroy eye tissue. So that's a real
2 concern of ours. Next slide.

3 Now let's consider what is the incidence
4 of post-operative infection after anterior segment
5 surgery. In ophthalmology that means surgery of the
6 front of the eye, and the major categories that we
7 fall into are cataract surgery, glaucoma surgery, and
8 corneal transplantation.

9 Now the overall incidence is actually
10 quite low. If you look at that, you can see that
11 cataract surgery is 0.08 percent incidence of post-
12 operative bacterial infections.

13 Glaucoma surgery to reduce the pressure in
14 the eye, making a valve-like opening in the eye for
15 aqueous to drain out, has a slightly higher incidence
16 but still quite low. When you combine cataract and
17 glaucoma surgery, which is what trabeculectomy is,
18 it's about the same incidence.

19 Penetrating keratoplasty or corneal
20 transplant has a slightly higher incidence of post-
21 operative bacterial infection, but it's still quite
22 low. Next slide, please.

23 This is another example of a severe case
24 of *Pseudomonas endophthalmitis* post-operatively. Next
25 slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now what are the factors that are unique
2 to corneal transplantation that might predispose it to
3 the slightly higher incidence of post-op infection?
4 These are non -- perhaps most of these are non-donor
5 issues.

6 There is a prolonged healing time. When
7 you put a corneal transplant in place, the average
8 healing time is a year, and sometimes a year and a
9 half, because you have to keep sutures in.

10 You have to keep sutures in for a long
11 time. They can loosen up. They can become a nidus
12 for infection, and that is frequently a site for post-
13 operative infections, and they can occur months, up to
14 a year or more after the surgery.

15 The epithelial surface is disrupted
16 itself, which makes a good spot for bacteria to
17 adhere, and many of the disease processes which
18 necessitate corneal transplantation alter the surface
19 defense mechanisms that make patients more likely to
20 get an infection.

21 Finally, we typically use corticosteroids
22 on an extended basis post-operatively, which makes
23 patients a little bit more susceptible to infection.
24 Next slide.

25 A couple of general statements. I'd just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 like to share with you briefly what some of our
2 experience is with this. There has been some interest
3 in this recently, and just to share with you three
4 relatively recent studies that have been done.

5 Now the question is: Is there a clinical
6 utility in performing routine cultures of tissue
7 that's taken to be transplanted?

8 Over the years, a number of places have
9 routinely cultured the donor rims, as we say. Just to
10 orient you once again, there are two ways of taking
11 corneal tissue, one of which is to enucleate the whole
12 globe, which has been done for many, many years, and
13 that's the way it was done routinely prior to the last
14 ten or 15 years.

15 The last ten or 15 years it's been much
16 more common to simply excise a bit of cornea and the
17 surrounding sclera and put that into a culture medium
18 and to transport it in that way. We have a good
19 culture media now which can keep the important cells,
20 the endothelial cells of the cornea, in pretty good
21 shape for at least a week post-operatively, and in
22 some cases longer than that.

23 It relates to a question that just came up
24 about 15 or 20 minutes ago. Where does this normally
25 happen? Well, nowadays it normally happens in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hospital, maybe a hospital morgue.

2 It may be in a funeral director's place,
3 wherever the tissue can be gotten, because it should
4 be gotten within 12 hours of the time of death. So
5 it's usually in those circumstances that the tissue is
6 taken.

7 Now despite the fact that I have said that
8 it is common practice, it has been over the years, to
9 take routine cultures, this has become a controversial
10 issue because of a number of studies that have been
11 done. Next slide.

12 The donor corneas undergo a sequence of
13 preventive strategies designed to minimize
14 contamination and transmission of infection, and these
15 are the standard things that go on with other tissues
16 such as chart review, social and medical interviews,
17 aseptic technique and antiseptic rinse of the cadaver
18 eye, etcetera.

19 These things are not particularly unique
20 to ophthalmology from the other tissue processing.
21 Next slide.

22 So you want to provide it with altering
23 its integrity, form or function, and that means, as
24 far as corneas is concerned, it's transparency. Next
25 slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It can't be sterilized and still be viable
2 for transplantation. The cells die, and the tissue
3 won't live. So it is preserved in a corneal storage
4 medium, and the medium also contains broad spectrum
5 antibiotics to discourage bacterial growth. Next
6 slide.

7 The EBAA promulgated the standards in 1980
8 for the practice of procurement and distribution of
9 corneal tissue. Next slide.

10 These are some of the things the eye banks
11 are required by EBAA's medical standards to have, and
12 they are fairly standard, and I think that they are
13 also typical of other tissue procurement procedures.
14 Next slide.

15 Once again, the EBAA has a very complete
16 procedures manual. A medical director and the eye
17 bank director are responsible for each bank for
18 assuring that the eye bank personnel comply with all
19 the applicable procedures, etcetera. Next slide.

20 The procedures manual also talks about
21 pre-ocular tissue recovery and donor preparatory
22 procedures, and they are fairly explicit, and this is
23 pretty stringently enforced.

24 The EBAA, in the process of certifying the
25 member eye banks, perform site visits. There is a two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to three-year inspection cycle. At any given time, 90
2 percent of the members of the EBAA are judged to be in
3 compliance with all of these.

4 At any given time, slightly less than ten
5 percent, a problem has been identified, and it's
6 usually in the process of being reconciled. So there
7 is a continual process going on. Next slide.

8 These are some of the things that we do.
9 Next slide.

10 And the contraindications. As was alluded
11 to in a question just a little while ago, are there
12 many exclusionary criteria? There are lots. These
13 are just a few of the ones that are sort of hot issues
14 now, exclusionary criteria, particularly the ones that
15 relate to prion disease and to active septicemia and
16 active bacterial or fungal endocarditis.

17 Social medical history is particularly
18 important in terms of transmission of conditions like
19 HIV. Next slide. Let's continue. Just go next
20 slide.

21 These are, once more, just a little bit
22 more about the various things that go through. You
23 can see that in your material that you have. Next
24 slide.

25 Now the issue of corneoscleral rim

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cultures: The EBAA says it's optional for eye banks.
2 What has basically happened over the last ten years is
3 that many of the eye banks and the corneal surgeons
4 have gotten away from getting corneal rim cultures.

5 There's a cost factor involved, but the
6 main reason was that over the past 15 years there have
7 been a number of studies looking at corneal rim
8 cultures and seeing what relationship they had to
9 proven cases of bacterial endophthalmitis.

10 The bottom line is there's not much. The
11 culture rate -- next slide; just keep going, and we'll
12 skip through that, too.

13 There is a recent study by Everts, *et al.*,
14 that looked at this. The culture rates that have been
15 reported range from about five percent to 32 percent
16 positive cultures in tissue that's collected. That's
17 a pretty high percentage in many of them.

18 I was one of the authors in one of the
19 studies. We found a 28 percent positive bacterial
20 culture in corneal rims in about 230 cases. In none
21 of those cases was there any post-operative infection,
22 and in most of the other studies, all of which have
23 been retrospective, that looked back, there's been
24 very poor correlation between what you find in the
25 corneal rim cultures that were taken and the cultures

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that you get from the offending organisms in real
2 post-operative infections.

3 That speaks to the fact that one of the
4 major sources of post-operative infections, when they
5 do occur, is the resident bacterial population in the
6 recipient rather than the donor. Next slide.

7 In this study five percent of the
8 corneoscleral rim cultures yielded microorganisms,
9 mostly coagulase-negative Staph. Two patients in this
10 series developed endophthalmitis, one with Staph and
11 one with Pseudomonas, within three months after
12 transplant, and each had a negative culture, and
13 neither patient's infection was temporally related to
14 the transplant procedure. In other words, it was a
15 long time afterwards. Next slide.

16 The authors of this study concluded that
17 preop donor corneoscleral rim cultures are unreliable
18 predictors of endophthalmitis, and the discrepancy
19 between the results of these cultures and subsequent
20 endophthalmitis, they believe, rendered them invalid
21 as a quality assurance procedure. Next slide.

22 There are several other studies. There is
23 one by Wiffen, *et al.*, that looked at the value of
24 routine cultures again. This study is of interest,
25 because it's one of the largest studies. It's over

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 1,000 patients that were looked at consecutively in a
2 university setting. Next slide. This was from Mayo.

3 The rim cultures are available as you see
4 here. There were three cases of endophthalmitis. The
5 rim cultures were negative in all three cases. The
6 rim cultures were positive in just under 20 percent of
7 the cultures that they took in the study. Next slide.

8 They concluded that routine corneal donor
9 rim cultures have no predictive value for the
10 infective complications of penetrating keratoplasty.
11 Next slide.

12 Finally, there is another study from New
13 York by Speaker, et al., that looked at some of the
14 causative organisms of endophthalmitis following not
15 just corneal transplant but other kinds of surgery.
16 Next slide.

17 They found that the organism isolated from
18 the vitreous, which is where you can get a positive
19 culture in the interior of the eye, was genetically
20 identical to organisms isolated from the patient's
21 skin, conjunctiva or nose in 82 percent of the cases,
22 and in two of two cases following corneal
23 transplantation.

24 It speaks to the fact that the primary
25 culprit is the resident bacterial population on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recipient. Next slide. Next slide.

2 These just show you that there's been lots
3 of studies that have been done about corneal rims at
4 this point. Next slide.

5 Once again, gram positive organisms are
6 the most frequently present on both the donor and
7 recipient external tissues, and they are the most
8 common causes of post-operative endophthalmitis. Next
9 slide. Next slide. Let's just skip over that.

10 So the Eye Bank Association of America has
11 an adverse reaction registry. It's been in place for
12 a considerable period of time. It seems to be working
13 pretty well.

14 We are certainly not satisfied with the
15 fact that we have any cases of post-op
16 endophthalmitis, but the incidence is quite low in
17 this regard. There is more detailed information about
18 the EBAA procedures which are very similar to those
19 for other tissue bank operations here.

20 One final thing that I would leave you
21 with is there is a study ongoing now to try to
22 identify the predictive factors that may give a clue
23 to a patient that's at higher risk for developing
24 post-operative endophthalmitis, and the results are
25 not in of that study, but it is in process right now,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and the EBAA will be monitoring that closely.

2 I think those are the points that I really
3 wanted to cover here. I thank you for your attention.

4 CHAIRMAN NELSON: Thank you very much.
5 Questions? Patients who get a corneal transplant --
6 do they have perioperative or preoperative antibiotics
7 or is that not used?

8 DR. LEMP: There is some variation in
9 that. Preoperative, no. Almost nobody does that
10 anymore. Perioperative, yes, but they are almost
11 never systemic. They are topical antibiotics, and
12 they are used varying times, anywhere from a few weeks
13 to a few months post-operatively.

14 CHAIRMAN NELSON: In some surgeries like
15 C-section, you know, that's dramatically reduced the
16 perioperative infection rate, the use of just a day or
17 the dose or two of antibiotics, when the problem is
18 with the endogenous flora of the patient.

19 DR. LEMP: We have the same experience in
20 ophthalmology, and the use has gone way down.

21 DR. ALLEN: That answered one of the
22 questions. The other one was just is it common or
23 uncommon to culture the anterior nares preoperatively
24 and, if there is *Staph. aureus* isolate, treat that
25 with topical antibiotics before surgery?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. LEMP: It's extremely uncommon. It's
2 not done. Actually, just as a point I will tell you
3 that as a surgeon one of the things that bothered me
4 a few years ago was the fact that you could get
5 contamination of the field by nasal secretions and
6 what-not.

7 One of the things that I was very
8 stringent about when we used to use a lot of general
9 anesthesia was that they used atropine to dry up the
10 nasal secretions, so you wouldn't have that kind of a
11 thing as a potential contaminant. But, no, they are
12 not routinely cultured. Maybe they should be, but
13 they are not.

14 DR. HOLLINGER: Despite the
15 recommendations and all the good information that
16 suggests the rim cultures are not predictive, what
17 percentage of ophthalmologists still culture the rim?

18 DR. LEMP: I'm not sure we have good data.
19 Pat, do we have good data? I don't think we do have
20 good data on that.

21 DR. HOLLINGER: I can tell you from
22 Houston, I serve on a medical board of the Lion's eye
23 bank which is one of the larger ones, I think, in the
24 country, and about 50 percent still culture. I think
25 we are in the process of trying to recommend -- make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 another recommendation to them that they probably
2 don't need to do so.

3 Some of it, I think, is because of
4 concerns about litigation and so on, probably more
5 than anything else. Usually, it's the older
6 ophthalmologists and sometimes the younger ones.

7 DR. LEMP: Habits are hard to change.

8 DR. DiMICHELE: Is there any correlation
9 data with infectious keratitis? I mean, most of the
10 data is association with rim cultures with
11 endophthalmitis, but what about infectious keratitis,
12 and is there any reason to care about that, if there
13 isn't? I mean, in other words, all this data that you
14 have shown us for endophthalmitis, but what about
15 infectious keratitis?

16 DR. LEMP: Well, number one, infectious
17 keratitis can lead to endophthalmitis, number one.
18 Number two, even in the absence of endophthalmitis,
19 infectious keratitis can destroy the integrity of the
20 corneal tissue, therefore destroy its transparency,
21 and the purpose for which you did the corneal
22 transplant is not served well.

23 We have less information about that, but
24 we do have some information, and actually there are
25 some slides in there that I didn't take the time to go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 over that break out the incidence of keratitis versus
2 endophthalmitis and also the relation to the corneal
3 cultures. They are not predictive for that either.

4 DR. STYLES: In your experience, is
5 keratitis more common than endophthalmitis?

6 DR. LEMP: Thank goodness, yes.

7 DR. STYLES: Then why does your registry
8 seem to indicate that the reported cases that
9 infectious keratitis is less common?

10 DR. LEMP: Because I don't think they are
11 reported as much, because they are not considered as
12 important, because --

13 DR. STYLES: So you are saying that you
14 have incomplete reporting then of your adverse
15 reaction registry with states. It specifically tells
16 them to report infectious keratitis, but you are
17 saying you suspect that it's not being reported?

18 DR. LEMP: That's a suspicion. I can't
19 prove it, but I know from a clinician and from a
20 clinical practice that what happens is that many of
21 these cases, if you recognize and treat it with
22 antibiotics within two days, it's gone, and you don't
23 have tissue destruction, and you have no consequence
24 from it afterwards and --

25 DR. STYLES: So you think you're just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 getting -- You're getting the more severe cases, and
2 the relatively benign cases are just being passed off
3 then?

4 DR. LEMP: I think that's probably true of
5 all reporting.

6 CHAIRMAN NELSON: How long -- You said the
7 nylon sutures stay in for a year. You then take them
8 out?

9 DR. LEMP: Usually, yes. They degrade
10 over about a two-year period, about between year two
11 and year three. They actually break down, and then
12 they can separate. Then a loose end sticks up and
13 becomes quite irritating.

14 The real reason that you take them out at
15 a certain point afterwards when you feel you've got
16 good wound integrity and you've got a good scar around
17 the edge is the fact that they exert a certain tension
18 on the shape of the cornea, and that affects the
19 refraction and whether you've got a lot of astigmatism
20 or what-not, and you try to get them out so it can
21 assume a shape you know what you're dealing with, and
22 you see how you can correct the refractive error
23 you've got at that point.

24 DR. FALLAT: How do you explain the large
25 number of rim cultures that are positive, five to 30

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 percent, in view of the fact that it's stored in
2 antibiotic solution? Is there an explanation for why
3 you have such a high percentage of positive cultures?

4 DR. LEMP: Well, that -- As Dr. Nelson was
5 saying, those cultures are taken prior to storage in
6 the antibiotic solution.

7 DR. FALLAT: What about prior to use?

8 DR. LEMP: Well -- Excuse me?

9 DR. FALLAT: What about prior to actually
10 putting it --

11 DR. LEMP: Sometimes they are taken, yes,
12 in both settings. You're right about that. Well,
13 number one, the antibiotics we use don't cover the
14 entire spectrum that we have, and so I think that a
15 lot of it has to do with -- The disparity in the
16 reporting that you get between five and over 30
17 percent also has to do with the efficacy of the
18 culture mechanisms that you use.

19 You are certainly going to get a higher
20 positive rate if you don't use transport medium and
21 things like that, and there's a big variation in that
22 from where these cultures are taken. But it's very
23 common. I think they are just contaminated, and I
24 think that the antibiotics that are used in the
25 solution are not completely effective in eradicating

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 them, really.

2 DR. LEW: I'm just wondering if we can
3 learn something from the different groups, because
4 EBAA is obviously an older, more established group
5 than the AATB. I notice that you have already this
6 adverse reaction reporting.

7 It's got holes in it, you know, as was
8 pointed out, but can FDA learn from this and have it
9 as a requirement for -- or request all the groups to
10 have something set up to do this?

11 Also I notice the differences that your
12 group mentions that you do go back and expect people
13 to train everyone appropriately, and it's in your
14 guidelines. I suspect when you go to do your reviews
15 on site, you want to have documentation of that. Is
16 that also required for AATB? Is that something that
17 they want to implement as well?

18 Instead of reinventing the wheel, take
19 what's good, and then elaborate that for FDA.

20 DR. LEMP: Good point.

21 DR. DOPPELT: Can I could just make a
22 comment? In the AATB standards, they do require that
23 each bank keep an adverse reaction file. So that
24 information is there. They don't -- currently, they
25 don't have to -- You know, every time an incident

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 occurs they don't have to report to the AATB.
2 However, if they are an accredited bank, we would
3 review that file when they come up for
4 reaccreditation, which is every three years.

5 I think one of the issues for the AATB and
6 for the FDA and CDC is, you know, what kind of
7 reporting structure do you want to have? When you
8 have an incident, when do you know about it?

9 Obviously, one of the messages here is
10 that we'd like to hear about it sooner rather than
11 later. So that's something that needs to be changed.
12 I mean, so the data is there. It's just that it's not
13 getting to the office in a timely fashion. So we have
14 some homework to do.

15 DR. LEW: What about the training issue?
16 Is that also a requirement, and you will check on that
17 whenever you go for site visits?

18 MS. MOEW: Yes. Training is required, and
19 training files are inspected at the time of
20 inspection, and they are maintained and documented.

21 CHAIRMAN NELSON: Your data never really
22 specified, of the keratitis and endophthalmitis, how
23 much was -- how many incidences were believed to be
24 because the cornea was contaminated or infected when
25 it was put in place, as opposed to the endogenous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 infections of the graft from the patient. Your data
2 aren't too clear on that.

3 DR. LEMP: We don't have data that are
4 clearer, because it wasn't looked at from that point
5 of view.

6 CHAIRMAN NELSON: Are there episodes, you
7 know, during your 40-60 year history or whatever, that
8 it clearly was, you know, either two eyes from the
9 same patient?

10 DR. LEMP: Oh, yes. But those are mostly
11 anecdotal things that we have. Clinically, the thing
12 that -- There are two things that we hang our hat on
13 in that type of thing.

14 Number one is the proximity between the
15 time of the surgery and the initiation of the
16 infection. Practically all of these will occur within
17 48 to 72 hours of the time you do the corneal
18 transplant.

19 Number two, what happened to the other eye
20 tissue that was -- Now that's not -- The second one is
21 not a really good predictor, because I've had my own
22 experience with that in which back 25 years ago I had
23 a case of endophthalmitis, a terrible case of
24 endophthalmitis, *Pseudomonas*, that occurred, and I
25 transplanted both corneas into two different patients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the same day. One got an infection. The other one
2 didn't.

3 Now that was prior to our taking donor rim
4 cultures. It wasn't commonly done at that time, but
5 I meant the two donors together didn't correlate into
6 -- didn't result in infection in both of the
7 recipients.

8 DR. ALLEN: What proportion of -- Well,
9 let me ask it a different way. How long after surgery
10 is the patient discharged home? I assume that many of
11 these are done in an out-patient surgical setting
12 today?

13 DR. LEMP: Usually about 45 minutes.

14 DR. ALLEN: My question, obviously, was in
15 terms of follow-up and where a post-op infection, even
16 one occurring within 24 to 48 hours, it's --

17 DR. LEMP: Usually, typically, the patient
18 is seen the next morning.

19 DR. ALLEN: But it's in an office setting,
20 not in a hospital setting where --

21 DR. LEMP: That's correct.

22 DR. ALLEN: So it's outside of the routine
23 infection control?

24 DR. LEMP: Usually, yes.

25 CHAIRMAN NELSON: We still have one person

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that wanted to make a statement, Wilson Burgess. Is
2 he here?

3 MR. BURGESS: Thanks for adding me on. My
4 name is Billy Burgess. I'm the Senior Vice President
5 of Research for Clearant, and we are a company that is
6 using new methods, we think, of gamma irradiation for
7 pathogen inactivation, and we've heard about gamma
8 irradiation some today, and I'm in absolute agreement
9 that conventional gamma radiation affects the
10 structural integrity of bone.

11 I think by the use of antioxidants and
12 some methods we've developed, we can maintain good
13 structural integrity. I just wanted to show you a few
14 slides, because we've really turned our attention to
15 the tissue program right now because of some thoughts
16 we had about emerging pathogens, concerns about not
17 being able to really process aseptically material that
18 is not sterile coming in.

19 You know, I'm not going to spend a lot of
20 time on the advantages. I'm not going to spend any
21 time on the advantages of gamma radiation. You know,
22 the main strength is that it's not discriminatory in
23 terms of pathogens.

24 If it's nucleic acid based gamma radiation
25 or inactivate, it's penetrating which we think it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 important for the tissue industry. It has a potential
2 to serve as a terminal sterilization.

3 There is no addition in our process of the
4 addition of toxic chemicals like the psoralens that
5 have to be removed later. It's scalable, which again
6 fits, I think, some of the needs of tissue, and
7 validation is relatively straightforward. Next slide.

8 The advantages of 50 versus 25 kilograys -
9 - 25 kilograys is really the tops that any tissue
10 bank, I think, would use -- comes in being able to get
11 resistance for us, to get complete inactivation of the
12 lipid enveloped viruses, and we've been able to get up
13 to 6 logs of reduction in the non-lipid enveloped
14 viruses, and to date the non-lipid envelopes aren't
15 the real disease problems, but in terms of the
16 potential for emerging pathogens we think the non-
17 lipid envelopes are a concern. Next slide.

18 I'm not going to give a big advertisement
19 for Clearant today, but the literature teaches against
20 the use of gamma irradiation for biologics as well as
21 for tissue. Our focus since we started about two
22 years ago has been on plasma derivatives and
23 individual therapeutic proteins.

24 We've been successful in a number of these
25 endeavors, and I think we've made significant progress

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on devitalized tissue. I'm not going to show you a
2 lot of the tissue data today. We can talk about it.

3 I was presenting to a bunch of investors
4 this morning, and finally relaxed for a bite of lunch,
5 and our President, Bill Drohan, came and said why
6 don't you see if you can get on the end of the BPAC
7 meeting, and so there's not a lot here, and I know
8 it's late. Next slide.

9 This is just a model that we use where we
10 take a bone chamber, a piece of cortical bone, drill
11 out holes, and then put various infections or
12 pathogens in it. In this case, this is a vial of
13 *Clostridium sordellii* that came from the ATTC, put
14 that bone into the gamma irradiator, and I'll just
15 show you some of the results that we've gotten to
16 date. Next slide.

17 This is inactivation porcine parvovirus in
18 that bone, almost 6 logs of inactivation of the
19 toughest virus we have in our hands to inactivate.
20 Next slide.

21 This is the *Clostridium* experiment. We
22 took the spores, put them in the bone, and then after
23 irradiation, there's zero, 25 kilograys. That's two
24 and a half megarads or 50 kilograys, 5 megarads, then
25 cultured those under anaerobic conditions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 What you can see is that gamma irradiation
2 is very effective in killing. We get about 8 logs of
3 reduction in terms of the dilution series where we see
4 *Clostridium* growth. You know, that's the *Clostridium*
5 growth there. We get about 8 logs of reduction, but
6 at 25 kilograys or two and a half megarads, we can
7 still pick it up in the stock in the first one to ten
8 dilution.

9 Contrast 50 kilograys. We are unable to
10 detect any residual *Clostridium* growth. The next
11 slide just shows an attempt to quantitate a little
12 better. These are the undiluted plates after 24
13 hours, a lawn of *Clostridium* growth. We can still see
14 the beginning of a lawn after 25 kilograys, no growth
15 after 50. Next slide.

16 This is the 10^4 dilution, unirradiated, 25
17 kilograys, were gone by 10^4 , and 50 kilograys,
18 obviously, no growth. Next slide.

19 10^6 dilution, still pick up colonies in
20 the unirradiated samples, none in the 25 or 50, and
21 then maybe one more slide.

22 Then, obviously, you know, *Staph.*
23 *epidermis*, *E. coli* -- those are all easy to kill with
24 gamma irradiation. We can kill everything. We can
25 spike into the assay at 25 kilograys, but we do have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this residual *Clostridium* spore content after 25
2 kilograys that we can't pick up with 50 kilograys of
3 gamma.

4 This was done at room temperature. These
5 were irradiated on dry ice where you can see the log
6 reduction in the 25 kilograys, a little more
7 problematic.

8 So that's really all I had to share, and
9 thanks a lot for giving me a chance to share some
10 data. But I think there are some effective means to
11 deal with the *Clostridium* and other resistant spores.

12 I know there's not a lot of data that we
13 put out there yet on the utility of gamma for doing
14 tissue. That's forthcoming. We've got a number of
15 tissue banks we are working with on this problem now.

16 DR. HOLLINGER: Did you say you could use
17 50 kilogray?

18 MR. BURGESS: We can. Everything that
19 we've done has been -- We didn't want to be another
20 step in the pathogen inactivation. So the 25
21 kilograys is great for most bacteria. It's pretty
22 effective for the envelope viruses. Envelope viruses,
23 we've got effective means in the plasma industry at
24 least to deal with those, but the recoveries that we
25 are seeing with 50 kilograys on things like IVIG are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 greater than 95 percent.

2 In terms of the tissue of the bone right
3 now -- You know, we can take conventional gamma,
4 irradiate bone, 25 kilograys, there's a 25-30 percent
5 reduction in the structural integrity. We can
6 reproduce the data that's in the literature pretty
7 well.

8 By the use of these antioxidants during
9 irradiation, at 25 kilograys the integrity in
10 structural testing is indistinguishable from
11 unirradiated statistically, and we are at about 90
12 percent retention of structural integrity after 50
13 kilograys which is well within the variance that we
14 see just from different tissue samples.

15 CHAIRMAN NELSON: May I ask, were all
16 tissues -- It was pointed out that more tendons and --
17 was a bigger problem, actually, than bone. That's
18 true for all the tissues? You can do the same thing?

19 MR. BURGESS: I can't tell you we're there
20 on tendons yet. I mean, we've been mostly active in
21 the bone program, because that's the biggest part of
22 the industry. But soft tissues are certainly on our
23 list.

24 We've got -- We haven't done a spike in
25 the soft tissues. We've got some recovery data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN NELSON: How far away are you
2 from marketing or offering or FDA licensure or
3 whatever it takes to have this available, do you
4 think?

5 MR..BURGESS: We've got one tissue bank
6 that we are -- I think we've agreed to everything but
7 the financials in terms of -- and in terms of plasma,
8 fractionated are the same deal. We're not negotiating
9 the science anymore. Other people are doing
10 negotiating the financials.

11 So with tissue we think it has potential
12 to be soon.

13 DR. DOPPELT: I do have a question. I may
14 have missed this. What are you adding as an
15 antioxidant?

16 MR. BURGESS: Well, it's not a cookie
17 cutter sort of procedure. Depending on the
18 therapeutic -- You know, there are two goals. There
19 is primary damage from gamma irradiation which we
20 can't control but doesn't really damage proteins. It
21 hits nucleic acid.

22 The secondary damage from gammas is the
23 problem, and that's the free radicals, reactive oxygen
24 species that are generated from the interaction of
25 gamma photons with water and oxygen.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So there are two approaches Clearant has
2 taken. One is to control things like moisture, water
3 to reduce the potential to generate those free
4 radicals, and then by the use of protectants or
5 antioxidants to protect the protein from any free
6 radicals that might be generated.

7 The most effective one that we've used is
8 ascorbate, which -- that's its normal function in our
9 bodies, to protect us from cigarette smoke and other
10 oxidants, and it proves to be an effective protectant
11 for gamma irradiation as well.

12 DR. DOPPELT: So what you are saying is
13 that, for example, bone morphogenic proteins and other
14 proteins would not necessarily be affected by the
15 radiation?

16 MR. BURGESS: Yes. We've done some work on
17 -- With tissue it's hard to say which BMP or which
18 factor you are going to work with. We've done some --
19 certainly, some work with the purified individual
20 factors and can maintain the mitogenic activity, the
21 differentiating activity of those proteins in a test
22 tube.

23 For tissue, I've shown you the structural
24 data. We've got some cell culture assays that you can
25 look for the BMP type activities *in vitro*. The real

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 test is to do ectopic implants and show that you can
2 stimulate ectopic bone formation in animal models, and
3 we don't have that data.

4 CHAIRMAN NELSON: Do your procedures have
5 to undergo like FDA licensure or be acceptable to AATB
6 or what are the criteria to get this to be an
7 available standard routine, ordinary procedure?

8 MR. BURGESS: Tom Lynch, who used to be at
9 CBER, is head of our regulatory, and I'd really rather
10 have him speak to those. But, clearly, there will be
11 FDA review for the therapeutics, the biologics. The
12 tissue depends probably on the timing.

13 CHAIRMAN NELSON: Dr. Solomon, our
14 instructions were to have a discussion this afternoon.
15 I think we've had a discussion. But is our discussion
16 adequate for your needs or do we need to do or say or
17 consider anything else? Okay.

18 So for once, we have met our target. So
19 we'll see you in June, I guess.

20 (Whereupon, the foregoing matter went off
21 the record at 3:35 p.m.)

22
23
24
25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

CERTIFICATE


This is to certify that the foregoing transcript
in the matter of: FDA CBRR

Before: Blood Products Advisory Committee

Date: March 15, 2002

Place: Gaithersburg Holiday Inn
Two Montgomery Village Avenue
Gaithersburg, Maryland

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


Kay Fouts

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com