

1 useful to us if we are trying to deal with the  
2 questions. The question you asked is whether there  
3 is enough data to do clinical research but then you  
4 are focusing on the efficacy side. We worded our  
5 question somewhat differently, and for a reason,  
6 and that has to do with what our regulatory  
7 authorities are. I would like to have this  
8 discussion within the context of what our  
9 regulatory authorities are.

10           So, your question bears some significant  
11 similarity to question number three, which I would  
12 like to take just a moment to read and explain the  
13 context of why it is worded that way. Are these  
14 data, referring to the clinical and preclinical  
15 data currently availability, sufficient to  
16 determine that ooplasm transfer does not present an  
17 unreasonable and significant risk to offspring and  
18 mother, and to support further clinical  
19 investigations?

20           The determination we need to make  
21 specifically is whether there is an unreasonable  
22 and significant risk. That is largely a safety  
23 determination, but what risks are reasonable and  
24 what risks are not reasonable is clearly linked to  
25 the issues of what disease is being treated, what

1 the prospective outcome is and how strong is the  
2 rationale. So, efficacy does figure in but we are  
3 not going to decide simply that because we don't  
4 think that this is going to work; you shouldn't  
5 study it in humans to find that out. So, the  
6 question is a little more safety oriented in the  
7 context.

8 DR. SALOMON: Right. We don't always  
9 agree on how I get there but I am trying to get  
10 there.

11 [Laughter]

12 If you will indulge me just a little  
13 longer, not too much longer--

14 DR. SIEGEL: Now that I am on record, you  
15 go where you want to go but I hope we will get to  
16 where we need to get.

17 DR. SALOMON: Fair enough. I don't want  
18 to delve too deep, I just want to stay on the  
19 surface here but I still want to just get a sense  
20 of the committee along the lines of where we are  
21 starting here. We have been doing a pretty good  
22 job of that and we have identified this sort of  
23 knife-edge balance between efficacy and safety and,  
24 in that case, what Dr. Siegel just said is  
25 absolutely true because what we are going to do

1 then is dive into the safety side. But I would  
2 just like to hear a few more minutes of the  
3 gut-level feeling at this point of should this  
4 discussion go more toward--we need to deal with the  
5 safety issues and then step in and say, okay, what  
6 is the good clinical design because we are going to  
7 go forward with clinical design, or we are going to  
8 say, no, this committee does not feel that a  
9 clinical design is appropriate now so we had better  
10 set a bar in preclinical studies for safety. I am  
11 trying to decide where we are going to go as a way  
12 of guiding myself. So, Dr. Murray and then Dr.  
13 Rao.

14 DR. MURRAY: I think I want to ask what  
15 for me, at least, is a prior question, one that I  
16 have to get an answer to before I can answer the  
17 one you gave me. There is an expression in my  
18 field, bioethics, which is that ethics begin with  
19 the facts and I don't know all the facts I need to  
20 know at this point. I have heard a lot of raw  
21 information. I would really like to hear the  
22 considered judgments of a number of the scientists  
23 around here about what we actually know about  
24 safety and, if not efficacy, about the plausibility  
25 of the mechanisms by which this intervention is

1 presume to have its positive effect.

2           I certainly have to defer to Jonathan  
3 about animal models and what is an adequate animal  
4 model, but it seems to me we were getting answers  
5 to some of those questions from animal data. They  
6 may not be animal models in some very cosmically  
7 broad sense but I feel a lot better about the risks  
8 for heteroplasmy now having heard the discussion  
9 that took place after lunch here. I am much less  
10 worried about it than I was when I first read the  
11 papers.

12           So, I think there is a lot of wisdom that  
13 has come in front of us today. It would be nice to  
14 see that digested, get kind of a best read on it,  
15 and then I would be ready to talk about the human  
16 trials.

17           DR. SALOMON: My response to you is you  
18 will be one of our bench marks. I will look to you  
19 to tell us you have heard enough information. That  
20 is important. Dr. Rao?

21           DR. RAO: As you said, I don't want to  
22 dive too deep into this but say that even though we  
23 may not have data for efficacy, maybe we have some  
24 data from the mouse models for a rationale for why  
25 one might want to do ooplasm transfer, and maybe

1 that may best be addressed by the doctor, I don't  
2 know which one; someone right at the end, where  
3 they had the mouse model which showed that if you  
4 have mitochondrial deficit you actually see  
5 degeneration which looks similar, and if you  
6 replace those mitochondria you actually see much  
7 less degeneration. So, there is a rationale in  
8 some sense that, yes, if you transfer something  
9 which is present in the cytoplasm you might see  
10 some improvement. That certainly doesn't address  
11 what happens in human but it does give you a  
12 rationale for why you may want to try and address  
13 that therapy.

14           On the safety side too, I think if one  
15 defines the problem and says that, well, what you  
16 are doing is a procedure which is very similar to  
17 what you are already doing in ICSI where you have a  
18 lot of expertise, then you have a lot of data,  
19 clinical data with humans in the appropriate model  
20 on safety. What you don't have in those models is  
21 safety in terms of the issues that were raised here  
22 in terms of heteroplasmy and in terms of what Dr.  
23 Mulligan raised in the sense of what happens with  
24 naked DNA transfer or what happens with chromosomal  
25 damage.

1           So, maybe we should compartmentalize it a  
2 little bit and say that there is a rationale. We  
3 don't have any data on efficacy maybe, and we have  
4 some data on safety, except in sort of critical  
5 issues.

6           DR. MULLIGAN: Yes, I think the data issue  
7 is very key to think about what would you consider  
8 the definition of data versus a rationale. I think  
9 that is the mystery we are having here. I think  
10 there is no data. I think that every scientist has  
11 to figure out where he wants to set the bar. Even  
12 if you set the bar really low, there is no data.  
13 Yet, there is some rationale, and the rationale,  
14 probably my bright ten-year old could come up with  
15 listening to me talk about how injecting things  
16 into cells can change their function. While we  
17 dance around all the embryo work, and whatever,  
18 yes, there is a rationale. It is a pretty simple  
19 rationale that, of course, you can profoundly  
20 affect the way a cell functions by introducing  
21 things into it. So, I think there is no data and I  
22 would like to have some controversy stirred up  
23 about that.

24           From the safety point of view, I think  
25 this is so clearly a gene transfer issue that the

1 safety issues ought to be focused on essentially  
2 what is an unwanted substance in the product that  
3 could have a safety effect. I can tell you from a  
4 background in gene transfer, and I am an expert in  
5 that little narrow part of things, and you can get  
6 very, very different efficiencies of gene transfer  
7 by doing the method in different ways, things that  
8 are typically efficiencies that are one tenth or  
9 fifth can be 40 percent if you do it differently.  
10 So, I see this no different than the whole  
11 regulatory process with gene therapy vectors where  
12 having someone say, well, that isn't going to  
13 happen, or there isn't enough DNA there, or we do  
14 this all the time is and it just can't happen.  
15 These guys are laughing. They have heard that  
16 before.

17           So, I would say that my concern, based on  
18 the whole process in the gene therapy field, is  
19 that this is an analogous case where setting the  
20 bar as low as you want for efficacy, there is still  
21 no data. But maybe there are some things that can  
22 be done. There is clearly some rationale but it  
23 ought to be focused on essentially what are you  
24 essentially doing? What are you injecting? And,  
25 what toxic substances or things that can cause some

1 risk are in it? I would think that trying to  
2 document what kind of tests, checking for whether  
3 or not there is chromosomal DNA or naked  
4 mitochondrial DNA are things that are supportable.  
5 They are not embryo types of things. And, those  
6 would be very important, as well as to characterize  
7 the consistency, as best you can, of what you are  
8 going to use, like count the mitochondria or  
9 measure the amount of DNA, just so that in the  
10 future you may be able to draw some correlations  
11 between some of the most obvious types of things.

12 DR. SALOMON: I think we will continue.  
13 That is a nice beginning to dive into where I  
14 promised Jay I would go in a few minutes, the  
15 safety issues, because I think that takes us there.  
16 Dr. Shoubridge and then Dr. Casper.

17 DR. SHOUBRIDGE: I don't think the problem  
18 with mitochondrial DNA is a real safety issue here.  
19 I think the chance of getting naked mitochondrial  
20 DNA to do anything real bad, or even getting it, is  
21 zero essentially in this kind of a procedure. When  
22 you can do subcellular fractionation, and you don't  
23 get much more severe methods than this, you just  
24 don't get naked mitochondrial DNA unless you  
25 isolate DNA. So, certainly the nuclear genomes is



1 another issue.

2           For me, the safety issue that revolves  
3 around heteroplasmy--it is almost impossible to get  
4 that information in humans because if we take our  
5 mice as an example and look at the tissue that had  
6 the strongest effect for selecting for one  
7 genotype, it took basically the mouse's lifetime to  
8 do that. It is quite a slow process. So, if we  
9 just extrapolate to the human it could take decades  
10 to find out whether that is ever going to happen.  
11 So, I don't think realistically we are ever going  
12 to have that information to go on.

13           But coming back to something, Dr.  
14 Mulligan, that you said earlier on, to me it is  
15 crucial to establish, and it would change the whole  
16 nature of the enterprise whether mitochondria are  
17 important here at all. There, I think Dr. Casper's  
18 mouse model, even though it may not be perfect, he  
19 has injected mitochondria and shown some effects  
20 there. And, I can think of a list of what I think  
21 would be pretty decent experiments, some of them  
22 genetic and some of them not, that would tell you  
23 whether mitochondria or at least the energy  
24 metabolism part of mitochondria are at all  
25 important in this process. If you could come to

1 the conclusion that they weren't, then we wouldn't  
2 even be having a lot of this discussion because the  
3 heteroplasmy issue would be a non-issue. It would  
4 be another factor and then maybe we would be  
5 interested in the biological effects of putting in  
6 pieces of spindles, or having a centriole, or  
7 having an RNA population, or something like that.

8           So, to me, it would be critically  
9 important to establish whether or not mitochondria  
10 are in fact important in human embryos in a  
11 research situation. I don't know if you would call  
12 that clinical research because the endpoint here  
13 wouldn't be pregnancies. You would have to have  
14 some other endpoint, like morphology objectively  
15 determined or some biochemical endpoint in an  
16 embryo. And you would have to use the mouse  
17 models. As imperfect as they are, it is the best  
18 we have.

19           DR. SALOMON: Dr. Casper and then I will  
20 take us into dealing with the first question on the  
21 safety issue.

22           DR. CASPER: You asked earlier about gut  
23 feeling responses also. I can tell you just from  
24 doing clinical IVF for many years and dealing with  
25 patients who have repeated fragmented of rested

1 embryos, it is my impression that it is not a  
2 condition that corrects spontaneously. So, I think  
3 the fact that there have been pregnancies produced  
4 in that group of patients with this procedure  
5 suggests to me that there is probably something  
6 that is working, although we don't have the numbers  
7 to actually support that.

8           So, I think what we have essentially at  
9 this point is the equivalent of a pilot study that  
10 demonstrates potential efficacy, and I think it is  
11 worthwhile to move on to some more significant  
12 research studies.

13           I think the most important thing, however,  
14 is to find out what it is that actually makes this  
15 work. I think it is also important to do away with  
16 ooplasm transfer because, first of all, we don't  
17 really want to have to subject women to egg  
18 donation in order to make this work. If we could  
19 figure out what the actual component is we could  
20 use that component perhaps without having to get  
21 donor eggs. Secondly, the cytoplasm injections  
22 also have that small but inherent risk of  
23 transferring genomic DNA as well.

24           So, I think there probably is some  
25 efficacy to this procedure. I think it probably

1 does warrant going ahead with clinical and animal  
2 trials, but on a more specific level to try to find  
3 out what it is that is actually working in the  
4 transfer.

5 DR. SALOMON: That is good. You touched  
6 on something for me. You know, I have been trying  
7 to decide for my own self, independent of my job as  
8 chair, when I say, well, we should do some clinical  
9 research at the same time we are advancing our  
10 understanding in the basic models. I am kind of  
11 leaning in that direction. Then I think of things  
12 like, well, if you really don't know whether it is  
13 the mitochondria or some sort of soluble element,  
14 maybe you ought to know that before you do the  
15 clinical studies and that has all kinds of safety  
16 implications, and we will come back to that.

17 The other thing is if you don't need to  
18 use an oocyte donor if you, for example, could do  
19 it from a human embryonic stem cell, you know, if  
20 you could do that then wouldn't that be an ethical  
21 step in the right direction in the sense that now  
22 you wouldn't be involving the invisible woman? I  
23 thought that was an interesting visual. Or, you  
24 could use somatic cells from the mother even.

25 So, there are some other questions here

1 that could have really profound implications as to  
2 how the procedure was done without saying that this  
3 procedure actually would work and, yet, get the  
4 benefits for the infertile mothers which I think  
5 was well articulated in the public comment period.  
6 So, that is a dynamic I guess we will have to deal  
7 with for the rest of the next hour or so.

8           Speaking in terms of risks to the  
9 offspring then, the FDA proposes four specific  
10 issues that directly affect risks to the offspring,  
11 all dancing around the concept of how the procedure  
12 might damage or alter the oocyte--mechanical  
13 damage, inadvertent transfer of chromosomes and  
14 chromosome fragments or cellular constituents,  
15 enhanced survival of abnormal embryos and risks  
16 with heteroplasmy. We don't have to do an hour  
17 discussion of this because we have already touched  
18 on a lot of aspects of this, but let's deal with  
19 these four specific issues of safety.

20           Number one, mechanical damage to oocyte  
21 architecture. What do you guys think? Dr. Rao?

22           DR. RAO: I just want to reiterate that  
23 there is a lot of data for ICSI and there is no  
24 difference in the procedure, except for additional  
25 volume injections, in terms of mechanical damage.

1 So, I would say, from what I have heard, that it  
2 seems that the amount of mechanical damage should  
3 be the same and there is data from lots of  
4 successful births.

5 DR. SALOMON: So, is that true? I have no  
6 clue. I mean, is it true that the amount of  
7 physical puncturing of the recipient cells is  
8 identical for ICSI as for that? That is a fair  
9 point from everything I have heard today. There  
10 are issues that you are injecting cytoplasm,  
11 whereas before you were injecting the sperm in some  
12 sort of natural buffer. Right?

13 AUDIENCE PARTICIPANT: [Not at microphone;  
14 inaudible.]

15 DR. SALOMON: So, would you say there is  
16 an incrementally, albeit incrementally small,  
17 difference with the ooplasm injection because of  
18 the volume issue? Fair enough.

19 DR. MURRAY: There are people here more  
20 qualified than I am to recite all the data on  
21 ICSI's impact on children but, as I recall it,  
22 there is some increase in various abnormalities  
23 over the natural background rate, although it is  
24 not an outrageous increase, and there is I think  
25 roughly a doubling of low birth rate among the

1 children, and low birth weight is a predictor of a  
2 lot of later problems. But, again, so far at least  
3 those have been deemed to be acceptable I guess by  
4 the people who employ them.

5 DR. SALOMON: So, the point here now is  
6 that ICSI is essentially close to, maybe slightly  
7 incrementally different but I think we can live  
8 with that incremental difference for safety. Now  
9 the question is what increase in risk does ICSI  
10 cause versus age-matched infertile women?

11 DR. SABLE: Just to address the ICSI  
12 questions, once one factors out the couples who  
13 conceive who would never conceive on their own  
14 because there is no sperm in the ejaculate, and  
15 these are couples where the sperm has to be  
16 literally surgically removed from the testicle,  
17 once you factor those couples out--and these are  
18 not people to be doing cytoplasmic transfer--the  
19 risks drop down to the background risk.

20 Regarding the low birth weight, that is a  
21 study that actually included all IVF patients,  
22 including the ICSI patients. There did not seem to  
23 be an incremental increase in risk of low birth  
24 weight versus the background IVF population, just  
25 to clarify that.

1           DR. SALOMON: So, for question number one  
2 I assume that there is a fairly high level of  
3 comfort here, comfort as defined by mechanical  
4 damage to the oocyte cytoarchitecture induced by  
5 this procedure is incrementally small over the  
6 overall risk of these procedures that are already  
7 ongoing.

8           DR. SAUSVILLE: Right, I would say numbers  
9 one and four under the bullet "risks to offspring"  
10 are obviously there and are things that are  
11 reasonably tolerable or at least known, recognizing  
12 the long-term risks associated with heteroplasmy  
13 have been extensively discussed that are at one  
14 level unknowable but that are intrinsic to the  
15 procedure.

16           I guess I am more concerned with numbers  
17 two and three. As Dr. Mulligan articulated, the  
18 procedures that are currently in place do seem to  
19 be somewhat uncontrolled on whether or not matters  
20 of technique or instrumentation can minimize the  
21 likelihood of chromosomal fragments being an issue.

22           Lastly, we heard the figure cited by Dr.  
23 Moos about if one just does the crude calculation,  
24 there is approximately 20-some odd incidence of  
25 major abnormalities in the series that have been



1 reported so far. So, I am a little concerned that  
2 that is a higher level of abnormality than I at  
3 least would feel comfortable with.

4 MS. KNOWLES: I don't want to get off  
5 topic if we want to follow this up but since you  
6 were taking about number one and four, my feeling  
7 about number four, and this may in fact be just a  
8 question of my ignorance of the animal models, what  
9 I have heard is that we have some limited work in  
10 mice that shows that this is not a problem. Yet, I  
11 have also heard a discussion that the mouse models  
12 are, in fact, not something that we can really use  
13 to translate for other questions to the humans.  
14 So, I am not a hundred percent convinced that that  
15 does away with all of the questions about  
16 heteroplasmy. So, I also wonder if there isn't  
17 some kind of closer animal model, like a non-human  
18 primate, that we could do a study in heteroplasmy  
19 that might be quite useful. Perhaps I just don't  
20 understand.

21 DR. SAUSVILLE: I could respond to that, I  
22 think we agree that the actual risk or the  
23 dimensions in which heteroplasmy would enter being  
24 something that could be considered an adverse event  
25 are actually unknown. I agree entirely with your

1 analysis. I guess to me, from the standpoint of  
2 writing an informed consent, it becomes at one  
3 level something that could be state, look, we don't  
4 know anything about this and I could imagine  
5 scenarios where, if donors were properly screened  
6 for the known mitochondrial issues etc., that one  
7 might reasonably take the risk of tolerating that  
8 statement, recognizing that it is an unknown.

9           My issues with respect to number two, that  
10 is very much, in my mind, a matter of how the  
11 technique would actually be practiced on an  
12 individual sense and, therefore, is a potential  
13 basis of extraordinary variability.

14           With respect to number three, I am  
15 concerned that the incidence of 20-some odd  
16 percent recognizing, if that is true and the issue  
17 of how broad the error bars are, ultimately society  
18 is going to be asked to, at one level, take care of  
19 these children in some way or fashion. So, to  
20 countenance a technique that has that level of  
21 abnormality generation, if that is truly the  
22 number, I think is a matter of concern.

23           DR. MULLIGAN: On that point, if you drop  
24 statistics for the efficacy part of things, that is  
25 a gut feeling that maybe there is something to

1 this, not evoking statistics, then we might as well  
2 not evoke statistics for the potential toxic effect  
3 too. Since there is not statistically significant  
4 info, I think it is important to weigh the data  
5 comparably. That is, on one side it looks like  
6 there may be difficulty; on the other side there  
7 may be some efficacy.

8 DR. SALOMON: I am happy for this  
9 discussion. So, we are still focused now maybe  
10 more on questions two and three, the inadvertent  
11 transfer of chromosomes or the enhanced survival of  
12 abnormal embryos, with the emphasis in the last few  
13 minutes on the abnormal embryos. What is the  
14 feeling of the panel on that?

15 DR. RAO: I would just like to second what  
16 Dr. Sausville said, that it is really a big issue  
17 and what Dr. Mulligan said, that in a system where  
18 you don't know, and where you have a spindle and  
19 you have DNA, there is a chance of incorporation of  
20 extra chromosomal into nucleus is much higher. So,  
21 one cannot extrapolate from low amounts and make  
22 conclusions, and that we be a really important  
23 concern. Likewise, I think the issue of enhanced  
24 survival and the society responsibility are really  
25 major concerns.

1           DR. MULLIGAN: Also, I think there are  
2 always more or less competent people. You know,  
3 for this sort of thing I am sure it makes a big  
4 difference and you are going to have people that  
5 are going to do this that, I am positive, are going  
6 to be much less competent than the experts that we  
7 heard. Therefore, you have to have in place some  
8 characterization of what damage can occur, what DNA  
9 you can get and so forth.

10           DR. SALOMON: Now speaking for myself, I  
11 absolutely agree with that. That is why I said  
12 earlier on that no matter how we end up, the field  
13 has to accept the mantle toward understanding what  
14 it is their product is, what they are injecting.  
15 Even if that is not absolutely settled in the first  
16 trials, that is fine but that is the direction this  
17 has to go for all those reasons. It is not just to  
18 do it in three or four really wonderful  
19 laboratories, which is where it has been done up to  
20 now, but it is doing it in 40 or 50.

21           DR. CASPER: I think we have to be a bit  
22 careful because the numbers are so small in terms  
23 of looking at chromosomal abnormalities, and so on.  
24 Just as an analogy, there was a paper published  
25 concerning sex chromosome abnormalities in ICSI

1 offspring that showed a 33 percent incidence of sex  
2 chromosome abnormalities but it was based on 15  
3 pregnancies, and here we are talking about less  
4 than 20 pregnancies. Whether that 20 percent  
5 figure is going to hold up or not, I very much  
6 doubt it. I think it will be very much lower,  
7 probably close to baseline if you got to the  
8 position where you had enough pregnancies to  
9 actually look at. I understand that we are talking  
10 about small numbers but that can just magnify a  
11 problem out of proportion.

12 DR. SCHON: Could you elaborate on why you  
13 believe that is a tenable position?

14 DR. CASPER: Only based on the previous  
15 experience with ICSI which really didn't hold up at  
16 all. The initial paper that came out, suggesting  
17 that there was a 33 percent abnormality rate turned  
18 out not to be correct at all when people started to  
19 examine hundreds of ICSI pregnancies.

20 DR. MURRAY: I am definitely not a  
21 statistician but this is the classic case of why  
22 take that point of view. I mean, it could be a  
23 statistical abnormality in either direction. I  
24 don't understand why it is that in this particular  
25 case this will turn out to be in the wrong

1 direction. I just don't get the logic behind why  
2 that would be the case. You are saying that in one  
3 other case there is a side effect that turned out  
4 not to prove to be statistically significant. I  
5 mean, how many hundreds of examples of that sort of  
6 thing are the case? But there are also cases where  
7 the data set shows you a certain percentage and  
8 then the next data set shows twice that percentage.  
9 I just don't understand it. I don't get it.

10 DR. CASPER: It just seems to me that that  
11 is a very high number. It is out of proportion to  
12 the sorts of chromosomal abnormalities that we see  
13 with most assisted reproductive technology type  
14 procedures. That is all. I am just saying that I  
15 think we have to be careful in interpreting the  
16 numbers because the numbers are so small at this  
17 point.

18 DR. SALOMON: Dr. Moos?

19 DR. MOOS: It is worth stirring into the  
20 pot the consideration that we don't know the  
21 prevalence of chromosomal abnormalities in the  
22 population of women presenting these procedures.  
23 It may be significantly higher than in the normal,  
24 healthy population. So, we don't know the  
25 denominator. It is, however, impossible to ignore

1 this even if, given the sample size, it is a  
2 statistically improbable event, not likely to be  
3 repeated. Dr. Mulligan's point that the coin could  
4 come up heads or tails I think is perfectly well  
5 taken.

6 DR. SAUSVILLE: But to me that is all the  
7 more cause for some of the product characteristic  
8 issues that we just talked about previously. After  
9 some sort of modeling process and after figuring  
10 out whether mitochondria are necessary, and whether  
11 it is the RNA that is doing it, we come forward  
12 with a pristine, let's say, product and there still  
13 may be evidence of this occurring, then that would  
14 become a more obvious conclusion. As the issue  
15 stands now, if this outcome were to occur we would  
16 not know whether any of those other things, plus  
17 the intrinsic susceptibility of the recipient egg  
18 to this sort of thing would be relevant.

19 DR. MURRAY: I am more focused on the  
20 second worry, the worry about chromosomal DNA or  
21 the cellular fragments, and I cannot disentangle my  
22 thinking about that from exactly the point Dr.  
23 Sausville was raising. What is it that is  
24 operating here? I mean, we are injecting a soup  
25 or, maybe even better, a stew into the egg and it

1 is full of lots of things, and we sort of roughly  
2 know what is in the stew but we have no idea what  
3 component or components of the stew are making a  
4 difference, if they are making a difference,  
5 including the DNA fragments and the other cellular  
6 components. Until we have a clear idea, we have a  
7 plausible notion of a mechanism and some evidence,  
8 and I think it would not be impossible to create  
9 some experiments in both animal cells and human  
10 embryos that would take us toward answers, it is  
11 difficult to justify doing a human trial with the  
12 risk of transfer or chromosomal elements until we  
13 have a sense of whether they are, in fact, at all  
14 necessary in that stew.

15 DR. SAUSVILLE: To be clear, the issue is  
16 not only the transfer of chromosomal elements, but  
17 multiple experiments, extending back to some of the  
18 classical experiments in bacterial genetics, is  
19 that DNA is mutagenic. So, it is not only a  
20 question of passively adding something, it is  
21 something actively altering something.

22 DR. SALOMON: I think the other thing that  
23 just came out in last weeks is studies on the  
24 nature of the algorithms used to call the number of  
25 genes in the human genome. Just to explain that



1 for those of you who didn't catch the last issue of  
2 Nature Biotechnology, the call was that there were  
3 30,000 to 40,000 human genes, which upset a lot of  
4 humans--

5 [LAUGHTER]

6 --because there didn't seem to be enough  
7 genes to make us different than mice and everybody  
8 was uncomfortable with that concept. It comes down  
9 to the fact that when they really began looking at  
10 different ways of calling genes that there may be a  
11 lot of RNA transcripts in cytoplasm that encode  
12 for--

13 [Laughter]

14 --see, I told you you would like this  
15 stuff! There would be a lot of RNA transcripts  
16 that are clearly not called formal genes in the  
17 original genome project algorithm. What that also  
18 raised was the possibility that a lot of these RNAs  
19 wouldn't necessarily have to encode proteins but  
20 would encode RNA molecules, like ribosomes for  
21 example, that have enzymatic activities that alter  
22 different cell functionalities. So, I just bring  
23 up to you that one thing that we haven't talked  
24 about that is certainly reasonable to put on the  
25 table here is that another uncertainty in the

1 safety issue is RNAs that are not transcriptionally  
2 active for proteins but, rather, are important  
3 perhaps in other cellular functions. I mean, maybe  
4 one of the reasons you are getting these XO  
5 chromosome abnormalities is some sort of imprinting  
6 phenomenon. That is just a wild speculation, but I  
7 think it is more than just mitochondrial DNA that  
8 is getting transferred that has a genetic lineage.  
9 That is just to make it a little more complicated.

10 I am told the other mike is now fixed.  
11 You will be the experiment on this.

12 DR. SABLE: I am David Sable, medical  
13 director for the Institute for Reproductive  
14 Medicine at St. Barnabas. I really want to clarify  
15 the very excellent point Dr. Moos made regarding  
16 the baseline chromosomal abnormality issue, and I  
17 really want to make sure that are assumptions for a  
18 control group are appropriate. The pregnancy loss  
19 rate in an IVF population at our center, and that  
20 is what we are comparing this particular subset to,  
21 with a mean age of 37 is 22 percent, and the  
22 overwhelming majority of these are chromosomally  
23 abnormal, and the single most common chromosomal  
24 abnormality in a pregnancy loss is 45 XO. So,  
25 these numbers together suggest that we are actually

1 very close to the middle of the bell curve. The  
2 direction of the conversation seems to keep veering  
3 to where we have this assumption that there is this  
4 huge discrepancy behind the background population  
5 and I don't believe the data supports that.

6 DR. SALOMON: That is an excellent point.  
7 Before you sit down, the question then would be if  
8 we have a population of infertile women, many of  
9 whom are older but not all of whom are older, and  
10 we now are capable, with this technique or a  
11 technique that we are discussing a few months from  
12 now, of rescuing a higher percentage of those  
13 oocytes, is it not reasonable then to be concerned  
14 about all the implications of rescuing embryos with  
15 potential genetic abnormalities?

16 DR. SABLE: That is an excellent point,  
17 however, let's make sure we are not reading too  
18 much into a single case. One of the XOs aborted  
19 spontaneously.

20 DR. SALOMON: We will stipulate that your  
21 point on the XOs was well taken--

22 DR. SABLE: No, theoretically I agree  
23 completely. I just don't want to imply or allow us  
24 to infer that the data supports that that is  
25 actually happening. I think in theory, yes, it is

1 the same point that we would be concerned about  
2 ourselves, however, I don't want to take that  
3 additional step and say that the data so far,  
4 including the losses we have had, really deviates  
5 significantly from what the background control  
6 should be.

7 DR. SIEGEL: In that same population  
8 though, what is the proportion of 45 XO in the  
9 successful live birth pregnancies?

10 DR. SABLE: I am sorry, repeat the  
11 question.

12 DR. SIEGEL: You said that 27 percent--I  
13 don't want to re-quote your numbers but that 45 XO  
14 was a common cause in spontaneously aborted  
15 pregnancies, many of which were chromosomal  
16 abnormalities. What about in successful  
17 pregnancies, what has been your incidence of 45 XO?

18 DR. SABLE: I don't think we have had a  
19 report of 45 XO, but we have had pregnancies  
20 terminated after second trimester genetic testing.  
21 Thank you.

22 DR. SALOMON: I think that in general here  
23 there is consensus on the part of the committee  
24 that there are real safety issues potentially that  
25 play in this field, and that the amount of data

1 that we have right now in animal models, which we  
2 will talk about a little more a little later but  
3 for right now the amount of data in the animal  
4 models doesn't really settle the issue adequately,  
5 albeit they contribute in some ways positively, and  
6 the data in the human system is just really not  
7 adequate to make any statements at all about,  
8 neither safety or efficacy. That is my attempt to  
9 summarize this first part of the discussion. Does  
10 anyone disagree? I told you from the beginning you  
11 are welcome to disagree. I am just trying to make  
12 sure I am giving you a good summary.

13 5:30 DR. NOGUCHI: Dan, is it true that there  
14 are a few safety issues that seem to have been at  
15 least allayed to a certain extent? When you are  
16 speaking of the human experience I think it is with  
17 that caveat that in terms of some of the mechanical  
18 parts of ICSI that may be helpful. But you are  
19 talking about two and three specifically.

20 DR. SALOMON: I think two, three and four.  
21 I think number one, I think everybody kind of  
22 agreed, you are right and thanks for pointing that  
23 out, we sort of agreed that that didn't seem to be  
24 a big deal in that they have a lot of experience  
25 doing ICSI and this is an incrementally small

1 increase. I think we said that, if everybody  
2 agrees with that.

3           But for two and three there is clearly  
4 some real risk there and the clinical data doesn't  
5 address it. For four, I don't think we really  
6 know. I think it is correct to point out that at  
7 least the animals are reproductively active and are  
8 overtly healthy, but we are not very good mouse  
9 veterinarians when it comes to really know what  
10 their kidney, heart, liver and other functions are,  
11 and living in little sterilized boxes, being  
12 perfect food is not really a measure of health  
13 either as judged by SKID animals, fine, but look at  
14 SKID children. So, the heteroplasmy thing I think  
15 still remains an unclear issue.

16           DR. MURRAY: Just to follow-up on that  
17 point, Lori Knowles observed, and I believe this is  
18 correct, that many of the human manifestations of  
19 mitochondrial disease are late onset. So, we would  
20 have an issue of would we have an ability to  
21 follow-up with such children to see if there are  
22 early signs of these later onset diseases. That is  
23 not, to me, an absolute barrier to doing it; it is  
24 a challenge for us.

25           DR. SALOMON: I think it is an interesting

1 similarity to all these other fields that we have  
2 dealt with in biology, in gene therapy, cell  
3 transplantation and stem cells that there is going  
4 to be this demand or strong pressure for long-term  
5 follow-up of the recipients.

6 DR. SCHON: I am not that worried about  
7 item four, and on the particular case the worry  
8 that is being mentioned, let me remind you that  
9 this invisible woman is of age 25, 30, 35. She  
10 carries the same genotype presumably as whatever is  
11 being donated to this child, to this oocyte. The  
12 woman donating the cytoplasm is apparently normal.  
13 That is why she is donating it. The presumption is  
14 that her mitochondria are okay and, therefore, what  
15 is being transferred presumably is okay unless  
16 there were some random mutation, and these things  
17 happen and, in fact, that is what mitochondrial  
18 diseases are. So, from that score, I am not all  
19 that worried.

20 DR. SIEGEL: Then that is predicated on  
21 the assumption that the donor women are screened  
22 for mitochondrial disease.

23 DR. SCHON: No, no, the presumption is  
24 that the donor woman looks normal when she walks  
25 into the clinic.

1 DR. SIEGEL: Is that what you would  
2 recommend as screening, that she looks normal? Is  
3 that what you are saying?

4 DR. SCHON: I will rephrase it. This is  
5 serious. Everybody in this room is different.  
6 Everybody in this room had different mitochondrial  
7 genotype. We all have a sort of societal consensus  
8 presumably--physicians will disagree--that we are  
9 fundamentally normal unless proven otherwise. And,  
10 for me to, let's say, sequence somebody's genome  
11 where there are 16,000 factorial possibilities of  
12 genotype, and for me to then say that this genotype  
13 is good and this one is not good is just not going  
14 to happen. You have to have some kind of rule of  
15 thumb. To me, if the physician says she passes my  
16 criteria for donation, I have no way of saying at a  
17 molecular level, except the most rough molecular  
18 level, that she is not a candidate.

19 DR. SALOMON: That is a key point,  
20 particularly as one of the duties we have to this  
21 field, to this group of people here is that we  
22 don't demand unnecessary testing that is not  
23 efficacious or doesn't answer the issue.

24 DR. SCHON: We certainly could test for  
25 the 150 known mutations. Fine.



1           DR. MURRAY: I am wondering if a pedigree  
2 would be useful for the cytoplasm provider.

3           DR. SHOUBRIDGE: If you look at the  
4 pedigree that I showed in five generations, there  
5 was one affected individual that happened in the  
6 fifth generation. But I think the number that  
7 might be important here is the prevalence of these  
8 mutations that we know about in the population. No  
9 epidemiological studies have been done in North  
10 America, but those that have been done in Europe,  
11 in Continental Europe and in the United Kingdom,  
12 suggest that it is about one in 8,000 or so, one in  
13 8,500. So, the chances of having somebody who  
14 looks, to use your words, normal walking into the  
15 clinic as a carrier of one of these is pretty slim,  
16 and many of these people will manifest some aspect  
17 of these disorders which a physician could pick up.  
18 So, you have to balance testing the whole genome  
19 looking for mutations against the chances that  
20 somebody will come in off the street who is a  
21 carrier of a pathogenic mutation.

22           DR. SCHON: This returns to the point that  
23 I tried to make before, that I think heteroplasmy  
24 is not without risk for the reasons that you cited.  
25 I see the risk of an active mitochondrial disease

1 of being significant is relatively low. What you  
2 get into is the unknown of having some sort of  
3 interaction between a paternal genome with some  
4 maternal mitochondrial genome that would not have  
5 gone to fruition otherwise now being in an abnormal  
6 context. Again, that is the sort of thing that, in  
7 my mind, reflects an unknown procedure and could  
8 probably put in some way into an informed consent  
9 that could lay that out, not satisfactorily in an  
10 absolute sense but in a way that certainly is no  
11 different than we attempt to address when we bring  
12 an unknown drug to a population for the first time.

13 DR. SHOUBRIDGE: Just to make it clear,  
14 the paternal genome sees a new mitochondrial DNA  
15 every generation.

16 DR. SCHON: But it is a contextual thing.  
17 It is mitochondria in the context of a given  
18 maternal gene.

19 DR. MURRAY: I think that your work is so  
20 interesting and important to hear because it says  
21 that, depending upon the combination of the two,  
22 different things can happen. You showed exactly  
23 that. Right? So, if you put in something and have  
24 a certain maternal copy, it may well behave  
25 differently than it had behaved before because

1 there is some sort of complicated competition or  
2 genetic background in the recipient that will maybe  
3 accept that.

4 DR. SCHON: In this case, of course, what  
5 we are showing is that there is nuclear genetic  
6 control which could just as easily come from mom or  
7 dad. You are right. So, I accept the point.

8 DR. MURRAY: I would just say that on the  
9 testing I think you would certainly want to test  
10 for whatever it is, the 150 known things even  
11 though they are infrequent. That is the least you  
12 could do.

13 DR. SCHON: It is easy to do.

14 DR. SALOMON: It is easy to do?

15 DR. SCHON: Yes. You would take a sample  
16 from the mother and just sequence her genome.

17 DR. SALOMON: Sequence her mitochondrial  
18 genome which is, what? 7,000 to 8,000 kb?

19 DR. SCHON: Yes, not kb, 16 kb.

20 DR. SALOMON: Whatever, right. I don't  
21 know how easy that is.

22 DR. SHOUBRIDGE: No, because you are  
23 looking for heteroplasmy and sequencing is the  
24 absolute worst way to look for heteroplasmy so it  
25 is not a trivial matter.

1           DR. SALOMON: This is probably a little  
2 too technical. This is something the FDA is going  
3 to have to deal with but, again, I feel that one of  
4 the things you should hear from us is that I don't  
5 believe anyone wants to put an unreasonable demand  
6 on these people. If it is easy to sequence and  
7 find these, then it is easy. Those are the things  
8 I hope you will do internally and be fair about it.

9           DR. HURSH: I just want to get out the  
10 point that egg donors in the United States are not  
11 tested for mitochondrial disease. There is a lot  
12 of egg donation going on. If this was a serious  
13 problem I think we would have seen it by now.

14           DR. SALOMON: That is another good point.  
15 I would like to keep going here because time is  
16 getting short.

17           DR. VAN BLERKOM: Just one point, I guess  
18 I am not concerned so much about heteroplasmy per  
19 se, but I think maybe one issue that needs to be  
20 addressed is the extent of heteroplasmy. Is the  
21 finding of 50 percent, or 30 percent or 40 percent  
22 of donated mitochondria an issue to be concerned  
23 with, number one.

24           I guess the other issue, and maybe Dr.  
25 Cohen can answer is, is whether or not in

1 successful cytoplasmic transfers there have been  
2 cases where there are no detectable donated  
3 mitochondria, so there is no issue of heteroplasmy  
4 at all.

5 DR. COHEN: I think I said that 10/13  
6 tested are homoplasmic. So, one could argue that  
7 the tests are maybe not sensitive enough and that  
8 it changes over time and next year it is better  
9 again. The samples are stored and we will check  
10 them again when the technology becomes available.

11 DR. VAN BLERKOM: But using the same  
12 methodology you were detecting high frequencies, in  
13 fact there were ten cases where there was no  
14 heteroplasmy.

15 DR. COHEN: That is right.

16 DR. SALOMON: The only other issue I would  
17 add to that is that you are testing peripheral  
18 blood. One of the problems with peripheral blood  
19 testing of something as complex as heteroplasmy--

20 DR. COHEN: Yes, I would like to biopsy  
21 all their vital organs twice a year but it is hard.

22 DR. SALOMON: I wasn't trying to be  
23 facetious.

24 DR. COHEN: What we try to do is go with  
25 pediatric care and when they go to the pediatrician

1 we come along. That is sort of what we do. I hear  
2 from bioethicists that we have to follow them for  
3 life, well, that is a stigma and we have no  
4 intention at all to do that.

5 DR. SALOMON: That is good to know.

6 DR. MURRAY: Don't over-interpret what has  
7 been said here. I think you are taking that way  
8 too far. What I heard Dr. Salomon saying was  
9 weighing the pertinence of the data that in  
10 peripheral blood you are not finding heteroplasmy,  
11 one must take into account that one could find it  
12 in other tissues because we know there is  
13 differential expression, nor were the ethicists  
14 that you have heard from today saying that these  
15 children must be hounded for life. That is not the  
16 point. The point is we have to think about the  
17 issue of late onset and how we are going to deal  
18 with it. One way to do it is to say it is just  
19 impossible; it would be an unreasonable burden.  
20 Another way is to try to at least persuade the  
21 parents and eventually they will be young people,  
22 not children, that it would be very helpful for the  
23 future of this procedure for them to make  
24 themselves available voluntarily. There are a lot  
25 of approaches.

1 DR. SALOMON: I would like to go on.

2 DR. SHOUBRIDGE: One small point, all the  
3 data we have on humans, which is very limited, and  
4 on mice, which is quite a lot, suggests that if you  
5 sample one fetal tissue you have sampled them all.  
6 So, if you really wanted to determine whether or  
7 not a fetus was heteroplasmic you should be able to  
8 do it from embryocytes and then you would know.  
9 So, the issue of what to sample after birth to  
10 determine heteroplasmy is a thorny one and you  
11 won't solve it. You are not going to biopsy  
12 perfectly health children; there is no way. But  
13 you could determine it from either a CVS sample or  
14 amniocytes.

15 DR. SALOMON: The next big section is the  
16 risks to the mother. Might risks to the mother be  
17 different from those incurred with established ART  
18 procedures? For example, the possibility exists  
19 that the ooplasm might enhance the survival of  
20 abnormal embryos to incur additional medical risks  
21 to the mother, for example late term abortion. Any  
22 comments?

23 DR. RAO: I would say we just don't know.  
24 There is just not enough data; the sample size is  
25 too small.

1 DR. SALOMON: In the clinical experience  
2 we heard today--I am looking to Dr. Cohen and  
3 others for confirmation--it seems like there was  
4 one abortion in the group of three that Dr.  
5 Lanzendorf presented. Is that correct? There was  
6 one in three. One was a miscarriage and one  
7 delivered twins. Is that correct?

8 DR. COHEN: There were a total of 15  
9 pregnancies and two were just confirmation of  
10 chemical rise in ACG. That was a biochemical  
11 pregnancy. There was one who miscarried before.  
12 It was after confirmation of the fetal sac but  
13 before fetal heart beat.

14 DR. SALOMON: That is early, right.

15 DR. COHEN: That is early, six weeks, five  
16 weeks, four weeks. Then there is the one twin that  
17 was sustained until amnio.

18 DR. SALOMON: What I was saying there is  
19 not an overwhelming amount of evidence yet, albeit  
20 the experience is extremely small, that there is a  
21 whole bunch of late abortions due to chromosomal  
22 abnormalities.

23 DR. COHEN: Not yet.

24 DR. SALOMON: Are the risks to the  
25 mother's future fertility or ability to engage in



1 subsequent ART procedures? Actually, Dr. Cohen,  
2 you addressed that specifically, or Dr. Lanzendorf.  
3 I remember at least one or two mothers who had  
4 failed this and went on to a second procedure and  
5 delivered a normal pregnancy, or at least became  
6 pregnant. I am not certain they said it was a  
7 normal pregnancy. Is that fair?

8           So, I would say here the only way the  
9 risks to the mother are going to get established  
10 would be a formal clinical trial. I don't think  
11 this is an issue that is going to get settled by  
12 any further discussion here, unless someone  
13 disagrees.

14           I would like to go to question number  
15 three or four. Three was kind of where I started  
16 the afternoon. Are these data sufficient to  
17 determine that ooplasm transfer does not present an  
18 unreasonable and significant risk to offspring  
19 and/or mother, and to support further clinical  
20 investigations?

21           We began with our gut-level feelings on  
22 it, went into the safety as I promised, and we are  
23 sort of back here again. Is there more discussion  
24 or do we all feel pretty comfortable with the  
25 discussion we have already had?

1           DR. SIEGEL: Well, there has been  
2 discussion but of a somewhat different and related  
3 question. I would like to know the advice of the  
4 committee on this question. I would on that point  
5 clarify further that, because I gave a partial  
6 clarification but I left an important piece out  
7 when I said that we put trials on clinical hold  
8 based on unreasonable and significant risks. We  
9 also put trials on clinical hold based on  
10 inadequate information to determine whether there  
11 are unreasonable and significant risks. That is  
12 what we will do, for example, if we believe that  
13 there are important or critical preclinical studies  
14 that could be done that would lead to a better  
15 assessment of the risks, a better design of the  
16 trial, a better informed consent, and so forth,  
17 that need to be done before the trials are done.  
18 That is sort of where we are going with this  
19 question in asking are there sufficient data to  
20 make that determination and, if so, is there a  
21 determination that there is not unreasonable--

22           DR. SALOMON: So, let me make sure that we  
23 pose this just right because, as I told you at the  
24 beginning, I think this is a very key issue that  
25 formed my thinking around the discussion we have

1 had. If we determined that there is no  
2 insufficient data to determine efficacy, regardless  
3 of the discussion we have already had about the  
4 amount of data sufficient to establish safety, just  
5 on the efficacy issue could we advise, or would the  
6 FDA agree to put a hold on a set of studies on that  
7 basis?

8 DR. SIEGEL: If you were to determine or  
9 advise that the rationale for any benefit is so  
10 slim as to not justify the perceived risks, then we  
11 could do that. So, we do consider risks in the  
12 context of rationale but we are not, in general,  
13 terribly aggressive on the rationale piece if the  
14 hold is based on the risks, and I think where there  
15 is scientific disagreement or where there is  
16 scientific consensus, or pretty close to consensus  
17 or pretty solid evidence that is one thing, but  
18 where there is disagreement we are, I think  
19 appropriately, reluctant to assess that our  
20 assessment of the rationale is better than somebody  
21 else's who is also appropriately assessing.

22 DR. SALOMON: So, we are back to what I  
23 described earlier as a sort of knife's edge here.  
24 We have some safety issues. There are some  
25 efficacy issues, and we need to think again now in

1 terms of the discussions we have already had how we  
2 are going to balance because that is really an  
3 important circle that we have to complete. So, Dr.  
4 Murray?

5 DR. MURRAY: I may not be formulating in a  
6 way that the FDA will find useful but it is the way  
7 I am formulating it. I think we have had a good  
8 discussion about a number of risks to the offspring  
9 and to the woman, to the point where we can say  
10 that for most of them, and not all of them and that  
11 is a big "but" there is reasonable either  
12 combination of evidence or evidence sometimes by  
13 analogy that they don't seem to be outrageous  
14 risks.

15 The one piece that remains for me of  
16 significant concern is the possible transfer of  
17 cellular components, DNA of various forms, etc. I  
18 would refer to that as a very poorly characterized  
19 risk. We really don't know what we are getting.  
20 The problem is the stew problem.

21 The way I am formulating it that may not  
22 be helpful is I feel like we need to know more  
23 about what the active ingredient or ingredients are  
24 in this stew because at this point we may be  
25 exposing offspring to risks that are utterly

1 unrelated to the therapeutic component of the  
2 ooplasm transfer. It is longer than I meant it to  
3 be.

4 DR. SIEGEL: And that is pertinent because  
5 risks that are unrelated to a therapeutic are  
6 probably less reasonable from the perspective of  
7 our regulatory authority than risks that have to be  
8 accepted in order to have a chance of achieving the  
9 benefit.

10 DR. MURRAY: And we just don't know.

11 DR. SIEGEL: No, definitely from  
12 contaminants of active ingredients in terms of  
13 whether they need to be removed, and if you don't  
14 know which is which you are at a disadvantage.

15 DR. SCHON: I would like to raise  
16 something to be sure that we don't lose sight of at  
17 least one part of this picture. My lab and a lot  
18 of the labs of my colleagues work on mitochondrial  
19 diseases because there are women who have children  
20 who are destined to die, and some of them die very,  
21 very early, and we work on treatment of various  
22 kinds. I hope one of these days one of those  
23 treatments will be debated in front of you guys.  
24 But until that happens the risk to benefit for  
25 helping such a woman and using a procedure like OT

1 is enormous. In the case of a woman who carries a  
2 pathogenic mutation we actually know what the  
3 beneficial principle is. It happens to be good  
4 mitochondria, which is a slightly different way of  
5 looking at it but, no matter how the FDA rules or  
6 whatever you suggest, I would like you to take into  
7 account the enormous benefit that might accrue to  
8 those people who really have cytoplasmic transfer,  
9 if you will, would really help even knowing that  
10 there are these problems of potential chromosomal  
11 transfer, and so forth.

12 DR. MOOS: You are proposing that perhaps  
13 pursuing an indication where the rationale is  
14 sufficiently strong that we are not on the knife's  
15 edge anymore, but the balance is tipped strongly  
16 gives us an entree into a human trial that can  
17 examine in some kind of a safety series these  
18 questions, and then that can be extended to future  
19 trials in infertility.

20 DR. SCHON: As the other Eric pointed out,  
21 there are other ways to help these women that do  
22 not necessarily require OT but I don't want to  
23 eliminate it as a possibility, and some of these  
24 other issues might piggyback on that.

25 DR. SALOMON: Drs. Rao, Mulligan and then

1 Casper.

2 DR. RAO: I have one clarification I need  
3 about the question. When you say to support  
4 further clinical investigations, this is distinct  
5 from clinical research. Does clinical  
6 investigation mean you are thinking about  
7 pregnancies in follow-up and clinical research  
8 means you are using human blastocysts and looking  
9 at those, or is there no distinction?

10 DR. SIEGEL: I am not sure we intended a  
11 specific distinction, but in this question what we  
12 are asking is are there enough data to do clinical  
13 research that would involve pregnancies? I am not  
14 sure we have consistently made a distinction in the  
15 use of those terms but I will tell you that in the  
16 context of this, we have IND proposals to do those  
17 studies but we have said they can only be done  
18 under IND and we are seeking advice as to whether  
19 there is more that needs to be done either in terms  
20 of human egg research that doesn't lead to  
21 pregnancies or in animal models prior to doing  
22 that, or whether in fact there are sufficient data  
23 to make a judgment that those studies with  
24 pregnancies can proceed.

25 DR. SALOMON: Dr. Mulligan and then Dr.

1 Casper.

2 DR. MULLIGAN: I was just going to propose  
3 that we will never come to consensus on any animal  
4 experiment to find the active ingredient because we  
5 are not even at the point really of finding the  
6 active ingredient. We are at the point of whether  
7 or not there is anything to this. I mean, we are  
8 all talking about finding the thing, and I don't  
9 think we would ever agree, this group would ever  
10 agree on anything that would be compelling, that  
11 would definitively document that it is mitochondria  
12 that is important or that some other thing is  
13 important. So, I would opt just to see if we could  
14 get a consensus that that is not an appropriate  
15 avenue to pursue--well, it is an appropriate avenue  
16 to pursue but it is not something that should limit  
17 this going ahead and, rather, focus on what  
18 preclinical things do we think really would have to  
19 be accomplished before we would want to see the  
20 clinical work go back.

21 DR. SALOMON: So, the question, Richard,  
22 that you are getting is, that I want to get to here  
23 before it gets too late, is it seems to me, and  
24 correct me if my thinking is not straight, that  
25 there is this fork in the road and we are not



1 getting past this fork in the road. Depending  
2 where we go on this fork, it seems to me at least,  
3 is telling us everything that we have to discuss  
4 then.

5           So, the first fork is there is not  
6 sufficient data. The trial designs weren't good;  
7 there weren't enough patients, whatever, in the  
8 human studies to say anything definitive. I think  
9 we have all agreed on that.

10           Now the question is do we think that we  
11 should go ahead and do a study in humans, going all  
12 the way to pregnancy, using this field's sense of  
13 which are appropriate patients. Or, do we say, no,  
14 there are too many unknowns. We are not going down  
15 that fork and then we really have to define the bar  
16 for preclinical studies. Right? Because they are  
17 going to want it and they deserve that. We have to  
18 go down one fork or the other, or we ought to agree  
19 that we can't agree and we are stuck. That is okay  
20 too, I guess.

21           DR. MULLIGAN: I am saying we could say  
22 there is a limited number of things that could be  
23 tested that would impact upon the most easily  
24 assessable risk.

25           DR. SALOMON: So, are you saying that we

1 shouldn't do any human clinical trials until we do  
2 that?

3 DR. MULLIGAN: Yes, but what I am saying  
4 that might be is to have people look at the  
5 contaminated nuclear DNA content or--

6 DR. SALOMON: That is what I am saying, if  
7 we take that fork, then we can set the bar.

8 DR. MULLIGAN: I think that we ought to  
9 have a consensus on this issue of is there  
10 sufficient rationale, and I agree that this  
11 probably meets that criteria, that there is some  
12 rationale for this and no data.

13 DR. SALOMON: That is exactly what I  
14 trying to get that. Dr. Casper?

15 DR. CASPER: I hope I can express this  
16 properly, but I think one logical thing that  
17 follows from Dr. Schon's comments that there could  
18 be a huge upside from treating mitochondrial  
19 diseases is why not think about mitochondrial  
20 transfer, not ooplasm transfer but mitochondrial  
21 transfer? That avoids the nuclear DNA issue and  
22 you are looking at one specific component. So, if  
23 it works, that would help you to determine whether  
24 or not that is the right ingredient. If it doesn't  
25 work, then you can look at other components of the

1 cytoplasm but you still might have some information  
2 that may help people with mitochondrial problems is  
3 because what you are really looking for is a good  
4 source of mitochondria for them.

5 DR. SALOMON: I was thinking about that  
6 but it doesn't really address this fork in the road  
7 issue, the reason being that a woman with  
8 mitochondrial disease may be a candidate for  
9 mitochondrial transfer--these guys could go in that  
10 direction and maybe they have heard that today and  
11 will do that. It might actually be a wonderful  
12 thing to be doing, but it won't address this issue  
13 because the idea of finding someone with  
14 mitochondrial disease is also an infertile couple  
15 that would benefit from this.

16 DR. CASPER: I wasn't suggesting that we  
17 go right to healing mitochondrial disease, I was  
18 thinking that if you had somebody with fragmented  
19 embryos and you do mitochondrial transfer, either  
20 it will work or won't work. If it works, then  
21 first of all, you have found the active ingredient  
22 for ooplasm transfer, and also you have the upside  
23 on mitochondrial disease. If it doesn't work, then  
24 you have to look in another direction but you may  
25 still have some information that will help you in

1 terms of treating mitochondrial disease.

2 DR. SALOMON: I am sorry, I misunderstood  
3 you. So, your idea is take the fork in the road  
4 that takes you to doing some limited clinical  
5 trials now and do it with mitochondria. You went  
6 another step, and I don't want to go there yet,  
7 about what the clinical trial design should be.

8 DR. MOOS: With respect to the one issue  
9 that I think many agree is significant, the DNA  
10 transfer, mention was made of analyzing the donor  
11 egg after transfer for cytogenetics and that this  
12 was very insensitive. Is there any input that we  
13 can get about how we can satisfy ourselves, because  
14 Lori Knowles certainly made plain it was important  
15 that we are not doing that, using animal model to  
16 validate our assay for appropriate sensitivity.  
17 You know 10<sup>-5</sup> of the human genome is still how many  
18 base pairs?

19 DR. SALOMON: I don't know anymore.

20 DR. MURRAY: You could do something like Y  
21 chromosome, some sort of PCR, to look for whether  
22 or not any inoculum that you are going to inject  
23 has Y chromosome positively.

24 DR. SALOMON: You could do genotyping on  
25 the transfer and look for genotypes that would be

1 unique to the donor. You could take the ooplasm  
2 and instead of injecting it in an egg just do  
3 genotyping on that to see if there is chromosomal  
4 DNA that was detectable. You would actually do  
5 then just DNA PCR.

6 DR. SHOUBRIDGE: I just want to make a  
7 couple of comments on what Dr. Casper said. One is  
8 there is no evidence at all that women who carry  
9 mitochondrial DNA mutations have a fertility  
10 problem that is different than in the general  
11 population.

12 DR. SALOMON: That is where I was heading  
13 before.

14 DR. SHOUBRIDGE: Yes. The other thing is  
15 that I think what you said sort of presupposes that  
16 there is a magic bullet here, that all women have  
17 the same problem and that by doing one set of  
18 experiments you are going to identify it and I  
19 would be pretty surprised if that were true.

20 DR. SALOMON: We have kind of danced up to  
21 this fork in the road a couple of different times.  
22 A couple of people have walked down it a little bit  
23 but it is not like we have rushed down it. Are  
24 there some comments from the community? Are you  
25 guys satisfied? You have heard our discussion.

1 You have participated.

2 DR. WILLADSEN: Well, it is not for us to  
3 be satisfied or dissatisfied at this point. We are  
4 happy to be here, I guess. But I should say--

5 DR. SALOMON: No, it is for you to be  
6 satisfied.

7 DR. WILLADSEN: No, the committee is doing  
8 its work. One speaker was saying that this type of  
9 procedure would not be permitted in Britain, but it  
10 is actually interesting that in Britain they left  
11 an opening for oocyttoplasm transfer in the  
12 legislation, I guess on scientific advice. Now, we  
13 know those people have been wrong before in the  
14 decisions that the government makes there but,  
15 nevertheless, they have been thinking about that  
16 and this particular procedure has been kept open.

17 One of the reasons why we have tried to  
18 minimize the intervention is that obviously at a  
19 certain point if you transfer too much cytoplasm it  
20 is no longer a cytoplasm transfer, it becomes a  
21 nuclear transfer and nuclear transfer, as we know,  
22 has some big problems that are special to itself.

23 Finally, on the technical side, I think  
24 that the chances of getting little bits of DNA,  
25 nuclear DNA transfer with this procedure are

1 virtually non-existent because the chromosomes are  
2 aligned in one bundle. You would have to transfer  
3 a whole chromosome virtually. I think it would be  
4 impossible to tear off a bit of DNA from a  
5 chromosome. I am not saying it couldn't happen but  
6 I don't think that is a major concern.

7           Also, what one can do is to check, as we  
8 have done, that the donor chromosomes are actually  
9 in the remains of the egg. That is not a  
10 particularly difficult thing to do. But the  
11 concern is not nearly as grave as we may have been  
12 led to believe.

13           I should also say that the possibility  
14 that the mitochondrial DNA that is being  
15 transferred might somehow interact unfavorably, be  
16 it ever so rarely, with the nuclear genome, well  
17 the sperm provides disintegrating mitochondria  
18 every time you have fertilization in the human.  
19 Thank you.

20           MS. KNOWLES: Can I just clarify the  
21 situation in the U.K.? I just want to be clear  
22 that they have left open the possibility for  
23 mitochondrial disease. The discussion is in the  
24 context of mitochondrial disease. In addition,  
25 they are not allowing clinical trials. They are

1 quite expressly not allowing clinical trials until  
2 there is more animal and preclinical work.

3 DR. WILLADSEN: I don't disagree about the  
4 purpose of it, but you have to understand that the  
5 technique whereby they are going to do it is going  
6 to have to be this one or not at all.

7 DR. SALOMON: Anyone else? Dr. Cohen, at  
8 this point you have participated in this  
9 discussion--I don't think Dr. Lanzendorf is  
10 here--and Dr. Grifo, do you think that you should  
11 go forward with a limited clinical trial right now?

12 DR. COHEN: I think we should consider it.  
13 We did a pilot experiment that has been a five-year  
14 long pilot experiment. The clinical demand is  
15 enormous. There are many patients who have this  
16 particular profile have become successful. We  
17 didn't do a randomized study but these patients  
18 were at the end of their rope and considered egg  
19 donation or nothing. And, there are other groups  
20 of patients that are similarly interesting. There  
21 is, for instance, one group of patients that has  
22 recurrent implantation failure but has apparently  
23 normal looking embryos and they still don't become  
24 pregnant again, again and again. So, this is just  
25 one small part of the population but the population



1 is larger. I think I said in my presentation there  
2 is a whole slew of techniques that are waiting at  
3 the sideline that has just studied in animal models  
4 that has tremendous potential. There are ways of  
5 doing egg freezing using cytoplasmic transfer. I  
6 won't go into details. It is not just  
7 mitochondrial disease treatment that is a  
8 potential. There are ways of duplicating sperm  
9 genomes so that you can do a genetic test on one  
10 duplication and use the other one, once you have  
11 tested it, for fertilization. All these  
12 technologies, aneuploidy correction, aneuploidy  
13 avoidance, all these technologies at this point in  
14 time involve, in one way or another, some  
15 cytoplasmic transfer.

16           So, this is a very important decision we  
17 are taking, and the biggest concern we have had,  
18 and I think you are sharing this, is the safety  
19 concern. These are the biggest concerns. The  
20 rationale, you can only find out when you do the  
21 clinical work, when you do the trials. You can't  
22 base it on animal models. And, the safety concerns  
23 have been highlighted appropriately today. I get a  
24 lot of questions when I give presentations about  
25 cytoplasm transfer, but the concern of little

1 pieces of DNA being slashed off chromosomes that  
2 are now being transferred is a concern I haven't  
3 heard about in the six, seven years of my  
4 presentations. So, I must say I am not well  
5 prepared. It is an original concern. The concerns  
6 about the incidence of aneuploidy or the issue of  
7 heteroplasmy I think were well highlighted today.

8 DR. SALOMON: As I said at the beginning  
9 of the day, our purpose is to make sure that we  
10 have adequately presented the whole discussion, and  
11 when we get to the end of today, that is what I  
12 hope people feel we have done.

13 How about a few minutes on what would be  
14 an appropriate clinical trial? Similarly, what  
15 would be the key animal experiments to do to bring  
16 the whole group forward to the point where we would  
17 all naturally go down the curve in the road that  
18 says a clinical trial?

19 DR. SIEGEL: Before we move on to that,  
20 and I know we don't want to be here all night but  
21 given that we are going to have to make some  
22 difficult decisions, often when there is a  
23 consensus of the committee you try to sum up. I  
24 haven't heard you do that on this question.  
25 Because you started asking the question differently

1 from the way it is posed, I am not sure I have an  
2 appreciation of the consensus. If we move on, I  
3 assume the best advice is that we are just supposed  
4 to kind of put it all together, but I wonder if it  
5 might be helpful--

6 DR. SALOMON: Well, I put it one way and  
7 tried to get at it, and then I put it the other way  
8 with your help, and I don't know that we got at it.

9 DR. SIEGEL: It might be useful to poll  
10 the committee members as to whether they think  
11 before doing trials in human during pregnancy there  
12 is additional animal work to be done. If so, what?  
13 That is sort of question number four and I think  
14 Dr. Mulligan pointed out correctly that it is hard  
15 to ask one question without the other because, in  
16 fact, if there is no useful animal work, even if  
17 you would like to have more data from animals if  
18 there is nothing that is going to be relevant--

19 DR. SALOMON: Let me just try to get a  
20 consensus here, what I have heard from everyone is  
21 that this is the fork in the road. That probably  
22 based on everything we have heard, most of us would  
23 probably be okay with a well-designed, very limited  
24 clinical trial going forward, but we haven't talked  
25 enough about what a well-designed clinical trial

1 would be. The rest of us would be much happier if  
2 they would put themselves on hold and do the animal  
3 work and come back in, you know, six months to a  
4 year and reassure us on some of what we have  
5 articulated as safety issues. But I think we can  
6 certainly poll the committee on that, but that is  
7 my thinking. Let's go around. Dr. Casper?

8 MS. CASPER: I am not sure I am ready to  
9 decide yet. I think it would be nice to do some  
10 animal work. I am just not sure there is an  
11 appropriate model available.

12 MS. KNOWLES: I think you probably know  
13 what I am going to say. I think we should be doing  
14 some animal work and some human embryo work before  
15 a clinical trial.

16 DR. NAVIAUX: From what we have heard,  
17 there doesn't seem to be a defect in an animal  
18 model to try to correct so we would never be able  
19 to get an inactive principle in animal studies,  
20 which is justification for well-designed basic work  
21 in human studies.

22 DR. SHOUBRIDGE: I think we should be  
23 doing all of the above because I don't think there  
24 is a right or wrong answer here. As Dr. Mulligan  
25 said, no one will agree on an animal model. We

1 don't know what the principles are, and the only  
2 way to move a little inch forward is to do some  
3 limited, really good trial in humans I think.

4 DR. VAN BLERKOM: I would agree also with  
5 that. I think the trial should be designed to  
6 address the fundamental issue of what defect is  
7 being addressed. So, if you are transferring this  
8 stew or soup, the point is what are you really  
9 addressing? What is the defect? I think if you  
10 couple the cytoplasmic transfer with the notion of  
11 trying to identify defects, whether it is  
12 mitochondrial fragmentation of whatever, I mean, I  
13 think that is what is important and I think you  
14 could design it in that way so you can get a handle  
15 on the problem, if there is one. It is a unique  
16 situation because you are not quite sure what is  
17 wrong and you are not quite sure if you are fixing  
18 it.

19 DR. MURRAY: I am actually very close to  
20 Jonathan Van Blerkom on this. We have questions  
21 five and six, what defects are being addressed, and  
22 I agree, we don't know. And number six, do  
23 existing clinical data from humans support a  
24 rationale? The as is no. So, I would be unwilling  
25 to favor any trial in humans that did not have as a

1 main focus to identify what it is that is actually  
2 being addressed by this therapy. In fact, I am in  
3 no position to challenge the basic scientists here  
4 but it seems to me one could do useful studies,  
5 both in animals and in human embryos. Just trot  
6 out a few hypotheses, it is the mitochondria. What  
7 evidence would we have the mitochondria are working  
8 through the mechanism of increased ATP, or calcium  
9 ion transport? What sort of surrogate endpoints  
10 could we study in either humans or animals to see  
11 if, in fact, what in the cytoplasm transfer had  
12 these effects? So, I think actually one could have  
13 a number of hypotheses, generate a number of  
14 interesting research questions. You know, it  
15 wouldn't give you the final answer but it would  
16 indicate whether the mechanisms we postulated are  
17 plausible or not, and I would like to see that  
18 happening preferably before we do it in humans, but  
19 I wouldn't go to the mat and say that we shouldn't  
20 do a human trial to elaborate those questions.

21 DR. RAO: I looked through the risks with  
22 the procedure that is there and I tried to see if  
23 there was any real animal model in which one could  
24 test this, and it is very clear that if you think  
25 there are going to be late pregnancy problems or

1 childhood defects of chromosomal abnormalities,  
2 there is no real clear-cut animal model which would  
3 be appropriate. The best animal models are for  
4 mitochondrial defects. For those, I think it is  
5 worthwhile doing experiments in animal models.  
6 But, on the other hand, there seemed to be a  
7 consensus that while there might be a finite  
8 unknowable risk in terms of heteroplasmy, it is not  
9 clear that we should be stopping all experiments  
10 because of that data.

11           So, what one is left with then is to day,  
12 yes, you have to do this experiment. We need to  
13 get more information, and that information can only  
14 come from human testing. So, it seems that the  
15 choice was between doing human clinical work and  
16 doing human clinical investigations, and it seems  
17 that both would be necessary and it is not clear to  
18 me that one can do them one after the other or  
19 whether one should do them in parallel.

20           DR. MULLIGAN: I think I concur with that  
21 point of view. I would want to see first just  
22 better characterization of whatever is being  
23 injected, not only the DNA thing but just  
24 characterize the consistency, if possible, of DNA  
25 content or something like that. Then, I like the

1 mouse model. I was intrigued by the mouse model  
2 and I would encourage people to look at that in  
3 more detail. You know, with the history of all the  
4 mouse knockouts, if you look hard enough you may  
5 well find something. So, that is really worth  
6 looking at. But I wouldn't say that you need that  
7 information to go ahead.

8           Scientifically, I think if you could get  
9 the people who are going to do the clinical trial  
10 to actually perhaps look at--I don't know if this  
11 is technically possible--ooplasm without  
12 mitochondria, or highly decreased in it by  
13 depending on where you poke, or whatever, versus  
14 things that are high, it seems to me like that  
15 would be interesting too.

16           DR. SALOMON: I try to be practical about  
17 it. So, I see two sides to this coin. On one  
18 side, I see some of the most competent clinical  
19 investigators out there. This is a field that has  
20 moved forward through doing this kind of clinical  
21 research up until now. In general, I think  
22 everyone respects the fact that it has been done  
23 well and done ethically. There really are very few  
24 smoking guns in this field. So, I think that the  
25 first part of the coin is that I respect that, and



1 that gives me some sense that a clinical trial  
2 could be done, managed properly under FDA  
3 guidelines, that would be well designed enough to  
4 address the questions, and that would be a step in  
5 the direction of the clinical trial.

6           The other part of me sees the other side  
7 of the coin, and that is the reality that I am  
8 looking out on a group that are some of the best  
9 clinical investigators in the country, and the fact  
10 is that I work in mice and I work in non-human  
11 primates as well as humans and I think the truth is  
12 that when I look at my mouse breeders, at a certain  
13 point they start dropping off and I find that very  
14 reasonable to document, and I am not at all  
15 convinced sitting here that you couldn't find  
16 quickly a mouse model of older, less functioning  
17 breeder pairs and it wouldn't be that difficult,  
18 and you would have your mouse model.

19           Similarly, I work at UC Davis primate  
20 center where they have 3000 rhesus and over 1500  
21 cynos, all of which have got very detailed breeding  
22 records and, again, I am not certain that you  
23 couldn't find--I don't think this community is  
24 really set to look in those directions and that is  
25 the other side of the coin.

1           So with that said, I think that I agree  
2 with my colleagues. At this point the people in  
3 this field are willing to do these clinical trials  
4 and the mothers and fathers that are coming to them  
5 are clearly willing, under the right umbrella of  
6 consent and well-done trials, to participate in it.  
7 So, you know, I think that is an argument for  
8 taking that path. But I hope I have put it in some  
9 perspective.

10           I certainly think that we have to do  
11 things to insist that animal model work and safety  
12 issues--I want to look at messenger RNA transcripts  
13 too and how this is affecting the RNA  
14 transcriptosome with the oocyte, and I think it is  
15 pretty ridiculous how little data there is to  
16 support any of this and that worries me because it  
17 is kind of a slippery slope that I go through every  
18 time, you know, whether it is xenotransplantation  
19 and, "oh come on, leave us alone; we are just going  
20 to do a little gene therapy", or "you don't know  
21 what you are doing; we can just throw some genes  
22 in." So, I am just saying I think as an overriding  
23 principle if we are eventually going to go down  
24 this clinical path, I hope that there is a  
25 consensus that there is a real underpinning of

1 science.

2 DR. VAN BLERKOM: Just to make a point, I  
3 am not aware of mice having menopause or  
4 perimenppausal conditions.

5 DR. SALOMON: In our breeding colony, and  
6 we now maintain several different strains which we  
7 have maintained for generations, there is no doubt  
8 that not only are there better and worse breeding  
9 pairs and we cull these out because we are always  
10 selecting for good breeding pairs, but also after  
11 some certain number of generations the number of  
12 pups they have per delivery will decrease, and it  
13 is very easy to document. So, I am just suggesting  
14 that that might be when you step in and do the  
15 ooplasm transfer from a young mother.

16 MS. WOLFSON: I am not convinced that  
17 there are animal studies that need to be done  
18 before we go into human pregnancies. I am not a  
19 scientist so I can't really go into those, but the  
20 paucity of that information frightens me when we  
21 look at such a huge outcome.

22 DR. SALOMON: So, clinical studies or  
23 animal studies?

24 MS. WOLFSON: Animal and human embryo if  
25 possible.

1           MS. SERABIAN: I guess one thing I am  
2 concerned with as a toxicologist is what I call  
3 worst case scenario. I mean, here we have the best  
4 of the best basically that are performing these  
5 studies in humans, and when it gets to expanded  
6 other sites, again, I am thinking worst case, you  
7 know, just going a little too far, etc., that is  
8 the kind of thing we would want to look at in  
9 animals, assume a worst case scenario maybe not for  
10 this initial phase that we are talking about but,  
11 for sure, as it expands.

12           DR. SALOMON: At a minimum also, if they  
13 do a clinical trial that they should do it with  
14 very specific outcome parameters for the different  
15 steps, many of which have been discussed.

16           MS. SERABIAN: Right. Then, one other  
17 comment with respect to the animal studies, it  
18 sounds like there is a wealth of data that has been  
19 published, maybe a bit of it not published. It  
20 would be kind of an interesting idea if there are  
21 certain organizations or groups to somehow put this  
22 in a document, master files, a certain way to  
23 submit to FDA that everyone could refer to in terms  
24 of the animal data.

25           DR. MURRAY: There is one more complexity

1 that has come up sporadically here but that we need  
2 to bear in mind is that I realize that, number one,  
3 this isn't the kind of thing people had in mind  
4 when they wrote about inheritable genetic  
5 modifications but this is plausibly, it will be at  
6 least in some children if they have offspring, if  
7 they are females if they have offspring, in a  
8 stochastic fashion some of the transplanted  
9 mitochondrial DNA does in fact end up in eggs that  
10 become fertilized and have children later, and I  
11 don't know what to do with that but I think it  
12 would be a mistake to simply forget that that is on  
13 the table.

14 DR. SALOMON: Dr. Schon, I realize that  
15 you were out of the room. What we did was go  
16 around and just basically gave some final thoughts  
17 about which fork in the road would you be  
18 comfortable taking, to clinical trials or no  
19 clinical trials, animal or go down both in a  
20 parallel way?

21 DR. SCHON: I have to think about this.  
22 Maybe the one comment I would like to make is that  
23 it seemed to me that there was--is everybody like  
24 me? You don't answer the question, you sort of  
25 make up your own question and answer that one?

1 DR. SALOMON: There have been eight  
2 variations of that so far.

3 DR. SCHON: I have detected sort of a  
4 merging of two issues, which are the safety and the  
5 efficacy, and I will answer the question. Safety  
6 means you have a level of performance which suffers  
7 no diminution when you do something. So you are  
8 here and you go down. Efficacy is the reverse.  
9 You are here and you want to go up. One of the  
10 confusions is that when we discuss the analogy to  
11 mitochondrial diseases the bar is actually down  
12 here because kids are in bad shape, the eggs are in  
13 bad shape genetically; they are actually not in  
14 such bad shape physiologically. Now, anything you  
15 do brings you up. So, to answer the question, for  
16 issues of safety clearly I think animal models are  
17 the way to go. I mean, the question answers  
18 itself. For issues of efficacy what I am hearing,  
19 and I am no expert, is that animal models are not  
20 the way to go because it is so hard to do. So,  
21 some kind of clinical trial for efficacy that  
22 followed a preliminary question on safety--you can  
23 ask these things about DNA fragments and so forth,  
24 although you may not be able to answer questions  
25 about aneuploidy, and maybe they can even go on

1 almost in parallel if you did some of the questions  
2 on human embryos, fertilized human embryos without  
3 implantation. I don't know if you are allowed to  
4 do those kinds of things, but if you were, that is  
5 the way I would do it.

6 DR. SALOMON: I think we have certainly  
7 answered almost all the questions. I think the one  
8 thing, sitting back here, that we didn't really get  
9 to--I mean, we have talked about the preclinical  
10 models. I don't know that there would be a lot  
11 more. We have discussed the mouse model, talked  
12 about the non-human primate models. I don't think  
13 that this community has the tools to go into the  
14 non-human primate and mouse model, so we would have  
15 to interest other investigators around to come into  
16 that area, and that is the kind of thing that could  
17 be done potentially but those are unknowns.

18 The only thing that I think we just may  
19 have fallen a little short of was exactly what  
20 would be the clinical trial. That is not a minor  
21 gap. I am sure I will be reminded of this year and  
22 years from now about how I failed the FDA on this  
23 one. But we have talked a lot about the aspects of  
24 what the clinical trial ought to be. I am going to  
25 try and get some consensus on that in a minute or

1 two. One thing I think we are all convinced of,  
2 again correct me if I am wrong but I think we are  
3 all convinced that there is a population of couples  
4 who are not implanting and are not being able to  
5 have successful pregnancies. I am not saying that  
6 we all agree that there is one problem for all  
7 those women, and there may not be, but there is  
8 definitely an identifiable population that is the  
9 target of this.

10 I think Dr. Cohen made the very good point  
11 that there are a number of other variations that  
12 are behind this that are relevant. So, the  
13 population is outcome there. I think population  
14 choice--I think these guys have that pretty well  
15 nailed down. I don't think they have been picking  
16 the wrong women to do it in.

17 We want to know efficacy. We have talked  
18 about what the safety issues are. So, whatever  
19 that clinical trial design is that you do, it has  
20 to give us safety and it has to give us some  
21 insight into the nature of the product, what is in  
22 that ooplasm--DNA fragments, RNA transcripts? How  
23 many mitochondria are in there? Does mitochondria  
24 have anything to do with this? What kind of  
25 measures would give you mitochondrial function? We



1 heard ATP and then we heard, come on, there are 50  
2 other things that mitochondria can do; get a grip.  
3 We heard about apoptosis testing, all of which is  
4 commercially available, etc. So, I think that is  
5 the kind of thing that would come relatively easy  
6 is you sat down and said what are the aspects of a  
7 clinical trial.

8           Actually, I have just talked myself into  
9 the fact that we did answer all of the questions  
10 and I don't want any grief later.

11           [Laughter]

12           DR. SIEGEL; Well, I could come back years  
13 later or now, I guess--

14           [Laughter]

15           I don't want to keep the committee forever  
16 and, obviously, there are a lot of unanswered  
17 questions and we are not going to answer all of  
18 them. One or two that stand out in my mind is that  
19 we did hear a comment, I think from Dr. Cohen, that  
20 there is no intent for long-term follow-up of these  
21 children. I guess it would be useful to know from  
22 the committee whether they think that is an  
23 acceptable way to move forward, and if we allow  
24 trials to be done without long-term follow-up, then  
25 in the long term we still won't know what the

1 long-term effects are.

2 DR. SALOMON: We fought and died over this  
3 one in gene therapy in xenotransplantation so I  
4 can't believe I am back again discussing this  
5 problem. Fro Dr. Cohen's sake, xenotransplantation  
6 now is follow-up forever, and we are really not  
7 interested in whether the investigators want to do  
8 that or not. That is what has been said. In gene  
9 transfer studies it is a movable target depending  
10 on some of the issues of an integrating vector,  
11 non-integrating vector etc., but it is as long as  
12 15 years in some vector classes. But the good news  
13 is that in these trials, just to give you the  
14 background here so you guys don't faint, a lot of  
15 the long-term follow-up came down to sending a  
16 postcard once a year kind of thing: "are you  
17 alive?" That sort of thing. So, you guys might  
18 ask "are you alive? Do you have mitochondrial  
19 defect."

20 DR. SABLE: Just to give an idea how  
21 seriously we do take it, we had one of our  
22 investigators in the delivery room, breach  
23 delivery, and the investigator has gone to the  
24 pediatrician's appointments. So, we don't mean to  
25 imply that we are not serious about follow-up, I

1 think it is just a matter of degree.

2 DR. SALOMON: With that background, I also  
3 wanted to educate those of you who are not privy to  
4 these other long discussions at multiple BRMAC  
5 meetings of long-term follow-up. What do you guys  
6 think? Again, we can just get some quick opinions.  
7 Why don't we just go around? Dr. Casper, long-term  
8 follow-up?

9 DR. CASPER: Yes, I think it is  
10 reasonable.

11 MS. KNOWLES: Yes, I think obviously there  
12 should be a very rich informed consent procedure  
13 about what long-term follow-up would look like up,  
14 particularly when we are talking about inheritable  
15 genetic modifications, how long that might have to  
16 be.

17 DR. NAVIAUX: Yes, I think long-term  
18 follow-up is going to be required, and there should  
19 be a default pathway. After doing the routine  
20 monitoring, if anything abnormal comes out in  
21 development, if there is abnormal growth of the  
22 child or abnormal cognitive development, then there  
23 should be an intensified examination to look for  
24 why.

25 DR. SHOUBRIDGE: I think so too. If you

1 could demonstrate that you haven't actually  
2 transferred DNA, then that would, of course, change  
3 how long might want to follow-up.

4 DR. SALOMON: I just want to add that that  
5 is one of the concepts that came out very clearly  
6 in the gene transfer experiments as well.

7 DR. SCHON: I don't think I am competent  
8 to answer the question. It seems to me that  
9 whoever designs the clinical trial, it is incumbent  
10 on them to figure out what the nature of the  
11 follow-up is. I can't do it.

12 DR. VAN BLERKOM: It would be nice to have  
13 long-term trials, but I just would put in a caveat  
14 that in this field, in IVF in particular,  
15 compliance is an issue because, believe it or not,  
16 patients disappear, regardless of what they signed  
17 in their informed consent, they leave their embryos  
18 in storage behind. So, it is a complicated issue  
19 to get the type of follow-up. Yes, you can put it  
20 there in writing but whether you actually get that  
21 on the other end is a different story.

22 DR. SALOMON: I don't know that this group  
23 is any less likely or more likely to disappear than  
24 our gene transfer patients or the patients who  
25 eventually will be candidates for

1 xenotransplantation. But there certainly is, on  
2 the other hand, a precedent for really  
3 extraordinarily successful long-term trials and, as  
4 a principle, it is quite possible to do, and I  
5 don't think we should approach it by saying, you  
6 know, all these patients disappear; there is no way  
7 to do it.

8 DR. VAN BLERKOM: It is not what I meant,  
9 but it may be a different category because it may  
10 not be perceived on the part of the couples that  
11 this is a pressing issue.

12 DR. SALOMON: They won't be able to put it  
13 on the income tax return either.

14 DR. MURRAY: No, but we can use the  
15 internet. Years later it is eerily possible to  
16 find you or anybody else if you know how to look  
17 and you are determined. So, I would say, yes,  
18 there should be long-term follow-up. It should not  
19 be onerous on either the investigators or the  
20 families, but reasonable thought needs to be given  
21 to what would be an effective program of long-term  
22 follow-up and I think that is all one can  
23 reasonably ask of either party.

24 DR. RAO: I can only second that. I just  
25 wanted to add one more thing. There were some

1 issues raised by Dr. Lanzendorf about selection  
2 criteria and controls, and I think those are going  
3 to be important issues. Given that we don't think  
4 there is a great amount of data on actual benefit  
5 or efficacy, that means you have to select your  
6 patient criteria for any kind of trial and you have  
7 to really define it very carefully, along with  
8 appropriate controls. That is going to be  
9 something that needs to be factored in.

10 DR. MULLIGAN: Yes, and with your point, I  
11 think the consent form--I don't know if we are  
12 going to get to that but I think it really ought to  
13 deal with this issue of the data that does exist.  
14 I am interested in whether or not patients and  
15 families would actually find anything interesting  
16 about the issue that I think you raised about the  
17 evolutionary uncertainty. I think there ought to  
18 be something about the evolutionary things that  
19 could occur.

20 DR. SALOMON: I certainly agree with  
21 long-term follow-up. As I said, I have been chased  
22 around and around on that already and I just accept  
23 it as being a part of the responsibility I think we  
24 have. I don't mean to be facetious about it. I  
25 think that in the end the arguments for long-term

1 follow-up, when done in a way that is not onerous  
2 on the patients, don't provide stigma, that carry  
3 then anywhere from school to insurance etc., if it  
4 is done right I think long-term follow-up is  
5 important to the community at large for these sort  
6 of cutting edge gene transfer experiments.

7           In terms of a clinical trial, the only  
8 other thing that I would add to the picture is if  
9 we go ahead with a clinical trial in this area, I  
10 really hope that when you say, for example, that  
11 here is a patient with repeated failures to  
12 implantation and then we did the oocyte transfer  
13 and we got such and such a result, that those  
14 patients are really much better controlled than the  
15 data we have seen so far. I want to make sure that  
16 it is all done at your center under optimal  
17 conditions and then at your center you do it.

18           I was also very concerned that 9 of your  
19 28 patients in your study, Dr. Cohen, were patients  
20 who supposedly had male infertility problems. I  
21 wouldn't understand why you were doing oocyte  
22 transfer. Now, I may have misunderstood that  
23 slide, but that is an example of something I hope  
24 you will design out of a clinical trial.

25           DR. COHEN: Thank you for mentioning that.

1 It is a very good point. This was discovered after  
2 the fact.  
3 eggs were treated with ooplasmic donation and the  
4 remaining eggs from the donor oocytes were injected  
5 with the husband's sperm. So, it is like a control  
6 with the purpose of freezing those embryos for  
7 years clinically later. But what we found is that  
8 in nine cases the embryos of those controls  
9 developed as badly as the embryos of the patient,  
10 and I think that is what I was trying to say. So,  
11 it is sort of after the fact. Looking at it  
12 closer, some of these were borderline male factors  
13 and we could have probably figured it out before  
14 but that is a very grey area.

15 DR. SALOMON: Again, that would be  
16 something that you presumably could exclude on the  
17 way to deciding this is a repeat implantation  
18 failure and won't benefit from ICSI.

19 DR. COHEN: Yes, you can do that but then  
20 you have to do a really big experiment, which is  
21 get an egg donor and test the sperm, yes.

22 MS. WOLFSON: I think there should be  
23 long-term follow-up in whatever way is possible,  
24 and insofar as there could, in fact, be a DNA  
25 transfer that is involved, I think the follow-up



1 should go into the second generation.

2 DR. SALOMON: Anyone else?

3 DR. NOGUCHI: What I do want to say is  
4 that I think this has been an extraordinarily open  
5 and frank meeting, and is exactly the kind of  
6 discussion and interplay back and forth with the  
7 community, the practitioners and our colleagues to  
8 really obtain advice that we need, because these  
9 are the questions that my colleagues face daily and  
10 actually are going to have to do the reviews, and  
11 this has been just an invaluable experience. So, I  
12 personally want to thank all of you, all the  
13 participants from the public as well. This was  
14 great. Thank you very much.

15 DR. MOOS: One quick extension on a  
16 comment Mercedes made a bit ago, it seems as though  
17 there are a couple of issues that could be  
18 addressed in preclinical models, like validation of  
19 DNA and so forth, that could be done once  
20 definitively in a sort of platform mode and people  
21 in the field could, in fact, work together to  
22 present us with some useful approaches to  
23 validating this. The quicker that some of these  
24 safety issues, which can be addressed in animal  
25 models, can be laid to rest, and it sounds like it

1 might be fairly easy to do the DNA one for example,  
2 the better for all of us. Then we can begin with a  
3 kind of staged approach in clinical models that we  
4 have all talked about, and we have heard a lot of  
5 discussion that it can only be evaluated there.  
6 So, think about it and come talk to us.

7 DR. SALOMON: Are there any last comments  
8 from anyone that have to be made before we adjourn?  
9 If not, I would like to thank everyone who came,  
10 both the expert panel, my committee, the FDA staff,  
11 particularly staffers like Gail and her group who  
12 put all this together, and everybody else. Thank  
13 you very much for a successful meeting. That group  
14 of you who will be here tomorrow, we will see you  
15 tomorrow. Otherwise, everyone travel safely and  
16 good health.

17 [Whereupon, at 6:45 p.m., the proceedings  
18 were recessed, to reconvene on Friday, May 10,  
19 2002.]

20 - - -