

1 200 patients or more.

2 [Slide]

3 Coming up to Cope here, in 1997, not quite
4 200 patients but 189. He looked at consecutive
5 patients having coronary-artery bypass surgery.
6 Even though it was a retrospective review, it is
7 useful because it is kind of a crossover. Albumin
8 became in short supply so they had to switch to
9 hetastarch. Subjectively, they observed that there
10 was more bleeding once that occurred.

11 They eventually went back to albumin and
12 eliminated the use of hetastarch, and they went
13 back and looked at the patients four months prior
14 to this period of time when they used hetastarch
15 and four months after to come up with a comparison.
16 They found what they believe is a significant
17 increase in blood loss and the use of hemostatic
18 agents associated with hetastarch use in the OR.

19 [Slide]

20 These are their values. When patients
21 went out of the OR into the ICU, at the first point
22 in ICU they would get a bunch of labs, and one of
23 them was the hematocrit. They found that in those
24 patients who did receive or did not receive
25 hetastarch there was a significant difference, a

1 crit of almost 34 to 31. Again, the prothrombin
2 time was slightly prolonged, not a difference that
3 would strike you clinically as overwhelming but,
4 again, something that you would expect from the
5 laboratory findings about its effect on fibrinogen
6 and von Willebrand's factor but, again, there is no
7 way at this point in time clinically to measure or
8 assess what is happening to platelets in terms of
9 their function. We can count platelets, but
10 platelet counts alone don't do much to help you
11 unless it is a very low number.

12 But what is very useful in this paper is
13 that when they looked at the chest tube drainage,
14 the rate of chest tube drainage in the first two
15 and the first eight hours, it was statistically
16 significantly more in those patients who had
17 received hetastarch than those who did not receive
18 hetastarch. The use of hemostatics refers to just,
19 you know, when you are at a loss as to what is
20 going on and because fibrinolytic process can be
21 part of the bleeding difficulty in the OR, drugs
22 like Amacar or Aprotinin may be used, some of which
23 are very expensive. So, trying to resort to
24 something to fix the bleeding problem, it was more
25 frequently used in those patients who received

1 hetastarch than those who did not. Although the
2 rate of patients who had to go back to the
3 operating room for reexploration is not significant
4 in terms of statistics, it is very significant in
5 terms of the trend for those patients who had to go
6 back again because of the increase in morbidity,
7 mortality, expense, length of ICU stay and all
8 that.

9 [Slide]

10 Another study, by Lorraine Herwaldt at the
11 University of Iowa, again, because of cost issues
12 they were looking for something cheaper than
13 albumin at the time. They had a period of time
14 where they substituted hetastarch for albumin as
15 part of the pump prime solution. They, again,
16 noticed just subjectively that the bleeding rates
17 in those patients increased substantially.

18 So, they did two case-control studies. In
19 the first case-control study they looked at the
20 risk factors associated with more bleeding and
21 found that it was patient age greater than 60 or
22 the use of greater than 2 mL/kg of hetastarch that
23 was associated with bleeding in these patients.
24 So, they reverted back to albumin; and it was the
25 risk factor.

1 I think one of the values in this paper is
2 that they carefully defined what bleeding was.
3 They defined bleeding as any patient who had to go
4 back for reoperation, with chest tube drainage of
5 more than 800 cc over four hours, or if the
6 surgeon, in his judgment, thought that the patient
7 bled excessively and they wrote it in the chart.

8 [Slide]

9 I will switch next to a paper by Jill
10 Knutson at the Mayo Clinic, who had a surgeon there
11 who read Cope's paper and, based on that
12 observation, decided to stop using hetastarch
13 during surgery, not as part of a pump prime but
14 just to volume expand or to replace lost blood
15 during the surgery. They eventually evaluated
16 444 cases during this period of time. So, they had
17 234 patients that received hetastarch and 210 that
18 did not receive any hetastarch.

19 [Slide]

20 When they looked at these 444 cases in
21 this period of time when hetastarch was used, one
22 of the advantages, even though it is retrospective,
23 is one surgeon for the whole period of time, and
24 they had this one distinct period of time when no
25 hetastarch was used so, again, even though it is

1 retrospective it is also kind of a crossover. When
2 they reviewed, they found that, again, when these
3 patients left the OR, and this is just
4 intraoperative use of hetastarch, when they got in
5 the ICU the mean crit. was 32 in the patients who
6 did not receive hetastarch but in those who did it
7 was 27. I think that is a pretty significant
8 number, not only because it represents a greater
9 likelihood for patients to be transfused blood in
10 the ICU but also that is right at the transfusion
11 trigger that has been established for when you
12 would expect this group of patients to be
13 transfused. In this group, to keep their
14 hemoglobin at 10 and hematocrit at 30 is a very
15 reasonable thing. As well, the number of platelets
16 were decreased as well.

17 [Slide]

18 Looking at their data in terms of chest
19 tube drainage, at each interval measured in the
20 first 24 hours those patients who received
21 hetastarch had more bleeding from their chest tubes
22 than those who did not receive it. Again, part of
23 the problem here is it is so difficult for the
24 surgeons sometimes to make a decision as to when
25 you take that patient back for reoperation because

1 of chest tube bleeding. It is not clear-cut; there
2 is nothing out there that is a clear-cut trigger
3 for them.

4 [Slide]

5 The same thing you would expect, if you
6 bleed more, it is more likely that these patients
7 are going to receive blood and blood products, red
8 cells, platelets and FFP. In each instance it was
9 a very significant difference with those patients
10 who received hetastarch.

11 [Slide]

12 We have three studies, retrospective
13 studies but that I think are very useful because
14 they represent kind of a crossover design, where
15 there is a strong association between the
16 intraoperative use of hetastarch and more bleeding
17 or excessive bleeding immediately after surgery.
18 Although I haven't dwelt on all the details, it
19 appears that in each of these studies there are no
20 real differences between these groups.

21 [Slide]

22 I think there are some real interesting
23 points in Dr. Canver's paper, in his review first
24 of all, because you are looking at patients having
25 one surgery, bypass surgery, and a very large

1 number, 887 patients over this period from 1987 to
2 the end of 1995. They classified their patients in
3 different groups based on the kind of pump prime.

4 I had the opportunity to speak with
5 several of the authors and co-authors of these
6 papers, Greg Nuttle at the Mayo Clinic and Mr.
7 Nichols, to get a little bit greater background on
8 how the study was conducted. One of the problems
9 with pump bypass priming solutions is that
10 everybody has their own. There is no brand out
11 there; everybody makes their own and it varies from
12 time to time. Over a nine-year period, just
13 knowing from our own experience, the formulations
14 change.

15 I wasn't clear from reading the paper
16 exactly how they formulated their pump prime. They
17 used a volume of 20-100 cc and, apparently, what
18 they did was just, once the patient was hooked up
19 to the bypass, just added in one of these different
20 reagents. They didn't have a constant volume per
21 pump prime. I think the best you could say is for
22 group one that received 500 ml of crystalloid, in
23 addition to the 2200 for a total of 2700; group
24 two, 500 of Hespan so a total volume of 2700; for
25 group three, 25 percent albumin for a total volume

1 of 2250, etc., etc., as I understand it in this
2 pump prime solution. Maybe we can clarify that in
3 a minute.

4 [Slide]

5 As has already been pointed out, I think
6 there are significant differences between the group
7 that received Hespan and the group that received
8 albumin and a combination of albumin and Hespan,
9 both in terms of the cross-clamp time which is that
10 period of time when the heart and lungs are
11 completely isolated and the bypass which
12 encompasses the total time before you go on and
13 completely come off, when you are circulating blood
14 through that plastic circuit. To me, clinically as
15 well as statistically there is a big difference
16 between a two-hour pump run and a three-hour pump
17 run. Even with the other groups too, I think that
18 there is still some significant difference.

19 [Slide]

20 As has already been pointed out, the
21 groups that received platelets and the ones that
22 received FFP, it looks like it was really much more
23 in the Hespan group as opposed to albumin.

24 [Slide]

25 In addition, over a nine-year period, even

1 if it is one surgeon, the technique is going to get
2 better, a little more refined. Inevitably, in a
3 teaching hospital you have other people involved
4 over a nine-year period so there had to be other
5 surgeons. I know there were certainly other
6 anesthesiologists without real distinct transfusion
7 protocols in mind. So, it is difficult
8 retrospectively to standardize the practices. But
9 I do think the blood product usage was different in
10 these groups, and some of the endpoints are not
11 real useful because the surgeons really didn't have
12 control over them, like the length of ICU stay.
13 That was an administrative decision, not based on a
14 clinical decision. If there had been data like how
15 long a patient was on a ventilator, and I assume
16 they would come off the ventilator based strictly
17 on a clinical decision, that might have been more
18 useful.

19 [Slide]

20 To wind this up, there are some comments
21 from the authors, from Greg Nuttle from the Mayo
22 Clinic. I should mention that in their study if
23 they weren't getting a hetastarch solution it was
24 their practice that almost all of their patients
25 received albumin as intravascular volume

1 replacement. Although they didn't publish those
2 numbers, it is behind the actual practice.

3 [Slide]

4 I didn't show the data on this, but Cope
5 also did a correlation in his study on
6 intraoperative use of hetastarch, and they showed a
7 positive correlation which I think was something
8 like 0.4 between hetastarch dose and postop
9 bleeding. So, the more you gave, the more you
10 bled. So, that led them to think that even at a
11 low dose, in this group of patients, intraoperative
12 use of hetastarch may not be safe.

13 [Slide]

14 In terms of what I think these are telling
15 us and what these data are telling and advisory
16 committee, it is that excessive bleeding and
17 increased transfusion requirements are associated
18 with intraoperative use of six percent hetastarch
19 in these patients undergoing cardiopulmonary
20 bypass; that there is evidence that there is an
21 increased risk of reexploration in these patients
22 following hetastarch use; and that, clearly, three
23 major centers, Iowa, Mayo Clinic and UVA, are
24 avoiding the use of hetastarch as pump prime in
25 their bypass procedures.

1 That concludes the comments I want to make
2 on this. Any questions?

3 DR. NELSON: Thank you. I have one
4 question. The committee was asked, first of all,
5 whether the evidence or the data would suggest a
6 warning label and, as an alternative, they were
7 asked should a prospective, randomized trial be
8 done to answer the question. It seems, although it
9 is not universal, that there are quite a number of
10 surgical programs that are convinced that
11 hetastarch does increase the risk of bleeding.
12 Given that feeling, and I would like your opinion
13 as to how widespread that feeling is, but given
14 that feeling, it might be difficult to do a
15 clinical trial. I think that surgeons would be
16 reluctant to randomize patients to an arm when,
17 even though it might be somewhat cheaper in the
18 cost of what is being infused, the overall cost
19 might be more and they might feel it was harming
20 the patient. Given your contact with surgeons
21 throughout the country in review of the literature,
22 that it would be feasible to do a large clinical
23 trial?

24 DR. HAYNES: There are two or three points
25 there. First the clinical trial, it is going to be

1 very difficult for a couple of reasons. One is the
2 cost, and I don't know who would fund this sort of
3 thing. You are speaking not only to surgeons, and
4 everybody's goal is obviously to minimize the
5 complications. You have touched, as a number of
6 people have touched on the issue of cost. You
7 know, the cost of albumin a few years ago was much
8 higher. Just general pricing policy for most
9 academic hospitals is different but it is not that
10 much different. In just ball park figures, and I
11 am just saying this off the top of my head, you are
12 talking roughly in the \$15 to \$20 range for 500 ml
13 of hetastarch solution, at least for Hespan, and
14 maybe about \$30 for five percent albumin so you
15 double that and you are looking at \$60.

16 The point about drug cost, whether it is
17 this or any other drug in the perioperative
18 process, it is a small part of the big picture.
19 The way we save money is not by using a cheaper
20 drug; it is avoiding a complication because the
21 complications are what are devastating and
22 expensive for the individuals and for the
23 institutions.

24 In terms of how you would do this study,
25 yes, there would be a lot of reluctance on the part

1 of surgeons to randomize patients to something that
2 they now think, based on these studies, might be
3 dangerous to the patients, and also it would be
4 very difficult to convince an IRB these days that
5 the endpoint is going to the OR for an emergency
6 reoperation.

7 DR. NELSON: Particularly if the only
8 benefit was a small economic benefit. As you
9 mentioned, a complication in five percent of the
10 patients would wipe that out easily.

11 DR. HAYNES: Yes. Well, Herwaldt
12 mentioned that in her analysis. They were trying
13 to save a little bit of money but the cost of
14 taking patients back to the OR quickly wiped that
15 out. The minimum is like a \$7,000 bill.

16 DR. LEW: In your talk you didn't make
17 distinction between the two different products, the
18 Hespan and the Hextend, although in your handout
19 you started to show some differences and mentioned
20 another study. There is, you know, some debate
21 whether it is the hetastarch itself that is the
22 problem versus the carrier, the combination of the
23 hetastarch and a particular carrier.

24 DR. HAYNES: Right.

25 DR. LEWIS: Can you expand on that?

1 DR. HAYNES: Right. It is interesting you
2 bring that up because that was the one thing that
3 really got my attention initially because the
4 marketing information associated with the Hextend
5 product--I want to be careful how I say this, I
6 mean they just make the statement that it has been
7 used in very large volumes. What we have been
8 talking about and, again, what I think the common
9 practice is among anesthesiologists and one that I
10 learned in training is to stick with what Dr.
11 Landow mentioned at the beginning, a dose of 10-20
12 cc/kg, which gets you out to about a 1500 cc daily
13 limit on this. With Hextend, and that comes out of
14 a paper where even in the title they suggest very
15 large use of that product, and in the paper that
16 refers to the use of up to 5 liters in some
17 surgical cases, which I think is an enormous amount
18 of product to use, yes, the difference really is--I
19 don't know if somebody might be here from Abbott
20 who markets that--I know in the paper where it was
21 described, one difference was 550 molecular weight
22 substance. I don't know if that was a misprint or
23 if it really is the same hetastarch that is in the
24 other product, Hespan. But the real difference is
25 that it is just in a different carrier. I don't

1 see that study being based on a real difference in
2 the solute; it is the solvent that is different in
3 those solutions.

4 [Slide]

5 I thought this issue might come up so I
6 included a couple of slides at the very end. I was
7 going to limit this just to a discussion of the
8 cardiac surgery patients but this goes outside that
9 to other general surgical patients. In the study
10 that is quoted, they looked at general surgical
11 patients. I think it was urologic, gynecologic and
12 general surgery or orthopedic patients.

13 In this study they were comparing the two
14 hetastarch solutions, Hextend which is an
15 electrolyte solution compared to Hespan. When they
16 invented Hespan years ago, I don't know why they
17 put it in saline. It makes a certain amount of
18 sense to put it in an electrolyte solution that is
19 going to mimic normal plasma. In their study they
20 were just infusing some lactated Ringer's
21 throughout the surgery as a baseline and then they
22 had certain hemodynamic targets: if a patient's
23 blood pressure dropped they infused one of the
24 study solutions. If their heart rate went up, they
25 infused one of the study solutions. Then, they

1 also just estimated what the blood loss was and
2 replaced it 1 cc for 1 cc of the study solution.

3 [Slide]

4 What they were really comparing was an
5 electrolyte solution with six percent hetastarch to
6 saline with six percent hetastarch and the
7 estimated blood loss was about the same. The total
8 volumes given to the patients on the average were
9 the same, although there is considerable variation.
10 You can see that 35-40 percent of the patients
11 received in excess of what I think is a safe dose
12 of this material to use, with some patients
13 receiving up to 5 L.

14 So, based on some of the information I
15 have shown you already, I don't think it should
16 come as any surprise that, because it is one form
17 of hetastarch compared to hetastarch in just
18 another solvent, they are going to have similar
19 blood losses; similar hematocrits both at the
20 beginning and end of surgery; and a little change
21 in the prothrombin time.

22 I don't think that really tells us that
23 when you conduct a study looking at one carrier for
24 hetastarch versus the other, that then it is safe
25 to give large volumes of a hetastarch solution to a

1 surgical patient. So, that is where that came
2 from.

3 DR. LEW: I think this is going to be for
4 discussion later, but I have concerns that we were
5 given confidential information in our packets that
6 clearly makes a huge distinction, but can we use
7 that data, since it is marked confidential, in
8 making our decision? It sounds like because it is
9 marked confidential we can't discuss it and I think
10 it needs to be discussed.

11 DR. NELSON: Well, if it was given to us I
12 think somebody wanted us to look at it. This is a
13 public hearing so I don't know.

14 DR. LEW: We are scrutinizing what has
15 been published, but then we have confidential
16 information which I think we ought to scrutinize
17 because, certainly, the panel here has a lot of
18 expertise.

19 DR. NELSON: Sometimes we have executive
20 session, but this is a public hearing that I think
21 is being recorded. So, whoever gave it to us, if
22 they want it to be confidential, then we shouldn't
23 discuss it.

24 DR. SMALLWOOD: The information provided
25 to the committee that is marked confidential was

1 provided by those presenting in the open public
2 hearing, which are sponsors. Those sponsors are
3 here today. They may address that.

4 MR. WANGELIN: Speaking for the sponsors
5 package, Abbott Laboratories, that Dr. Smallwood is
6 referring to, the confidential stamp only meant
7 that we didn't feel, prior to the meeting, the
8 information should be published on a website, but
9 it is for open discussion here in this meeting.

10 DR. NELSON: Thank you. Your name?

11 MR. WANGELIN: I am sorry, my name is
12 James Wangelin, and I work in the regulatory
13 affairs department at Abbott Laboratories.

14 DR. NELSON: Toby?

15 DR. SIMON: I think this was an excellent
16 presentation, as were the two previous
17 presentations. I think it is worth putting it in
18 context, and that relates to a couple of the
19 questions that I asked. This substance,
20 hydroxyethyl starch, is, as the speaker pointed
21 out, known to increase bleeding and the data have
22 been published over many years. So, this is old
23 data.

24 The question one might ask is if you are
25 dealing with a surgery where bleeding is a prime

1 consideration, why would you use something that
2 increases bleeding? A lot of this use began in the
3 mid-1990s when, for a while, albumin was hardly
4 available. It was in extremely short supply.
5 Actually, the same thing happened in therapeutic
6 plasma exchange. People had to do the procedures.
7 They didn't have albumin so they began looking for
8 something, and they also felt in that circumstance
9 that colloid was superior to crystalloid. Indeed,
10 I think that is true with therapeutic plasma
11 exchange. So, people developed protocols using
12 hydroxyethyl starch and seemed to observe that it
13 worked and that the complications, in some people's
14 hands, were acceptable.

15 Then albumin came back into supply.
16 Originally it was quite expensive. Even though, as
17 I think the speaker pointed out, it is a small
18 percent of the expense in cardiac surgery, the
19 overall expense to the hospital could be quite
20 substantial, and in the managed care environment
21 many hospitals regulate, as was pointed out,
22 albumin and other such expensive pharmaceuticals to
23 keep their overall cost down.

24 Now the albumin price has, I guess, come
25 down and it is more available so it is an

1 interesting issue in that we wouldn't be discussing
2 it if it weren't initially for the shortage and
3 then the difference in cost. That is why I asked
4 the prior speaker if albumin were the same cost
5 would he use albumin. I believe the answer was
6 yes.

7 The other interesting thing I think in the
8 discussion was the heavy use of this word "may" in
9 the various inserts and discussions. I believe it
10 should be not that hydroxyethyl starch may cause
11 bleeding but that it sometimes or often does, or
12 whatever term is most appropriate. I think that
13 might get at the issue that we want. But I think
14 it is an interesting issue.

15 There is also the division between the
16 issue of is there more bleeding with hydroxyethyl
17 starch, and the answer is probably yes, but then is
18 it clinically significant enough to require a
19 warning, and there I think it is much more cloudy,
20 grey and difficult to determine. Obviously, with a
21 strong difference of opinion within the surgical
22 community, with a lot of retrospective data and
23 what prospective data we have, not as well
24 controlled as we would like, I think it makes it
25 difficult to answer that second question of whether

1 it is clinically significant.

2 DR. HAYNES: Do you want me to respond to
3 that?

4 DR. NELSON: Go ahead.

5 DR. HAYNES: Again, it is going to be I
6 think impossible to get a prospective study to get
7 at that issue. I can share with you what I do and
8 what my experiences are. I am not going to stand
9 here and speak for the people at the Mayo Clinic,
10 although I communicate with them most closely and
11 know them and know what their feelings on the
12 subject are, and they have clearly discontinued the
13 use of hetastarch in surgery. Again, it represents
14 a unique population because they are already at
15 risk for several reasons. So, do you, in that
16 situation, add some other factor that can make it
17 worse, knowing that the worst scenario is that you
18 are going to get your ticket stamped to come right
19 back to the OR with all the risk and cost
20 associated with that.

21 The other driver that you mentioned isn't
22 only the issue of cost or availability, but we are
23 looking at studies that span a 20-, 25-year period
24 and there were many pressures in the 1980s and
25 early 1990s to reduce any blood product use at all,

1 with it was correctly founded or not, because of
2 the infectious disease risks associated with
3 transfusions.

4 So, there are many variables, but this is
5 what the experience is. My guess is that maybe 40
6 percent or so of medical centers use hetastarch
7 intraoperatively for cardiac surgeries. I would
8 say at least half or the majority are not, are
9 getting away from it.

10 DR. SIMON: Those that use it could do a
11 prospective study, couldn't they? They have
12 already said that it is safe in their view. Why
13 couldn't those, like Albany who do use it, do a
14 prospective study?

15 DR. HAYNES: One, you have to have
16 motivation and time and resources to do it. You
17 know what happens in the real world, we are all
18 busy. It is no secret that everyone in healthcare,
19 surgeons and anesthesiologist in particular are
20 working very hard and, you know, even in academic
21 centers it is very hard to do this kind of
22 research. It is certainly not going to happen in a
23 community center, and what is out there is just
24 this sort of gestalt that hetastarch has been safe;
25 doesn't really cause a problem because most

1 surgeons and anesthesiologists are so busy they are
2 not even going to have the time to sit down and
3 read even the retrospective literature, much less
4 do a prospective study. So, they are relying on
5 agencies like yourself as well as academic centers
6 and others to investigate this problem. When it
7 comes to investigating the problem prospectively,
8 it is going to be very, very tough, if it ever gets
9 done. I don't see that happening.

10 DR. NELSON: I have one more question.
11 One of the endpoints that seems to be sort of
12 consistent in the studies, you said, is the
13 estimated blood loss volumes. Those are measured
14 in the chest tubes; I guess how much is in the
15 bottle.

16 DR. HAYNES: Right.

17 DR. NELSON: But is there any variability
18 related to loculation or poor drainage of fluid
19 that actually is in the chest but not in the
20 bottle? Is that a problem? Because you can record
21 exact volumes, but it is not exactly a closed
22 system, is that pretty reliable, do you think?

23 DR. HAYNES: That is a good question to
24 ask because, first of all, when you talk about
25 estimated blood loss--let's step out of the cardiac

1 arena for a second, estimated blood loss, like in
2 this paper, is at best a guess. You see blood all
3 over the field. Some centers will weigh sponges as
4 an estimate. It is not very reliable. It is very
5 difficult to measure intravascular volume in a
6 research lab; it is impossible in a clinical
7 setting. So, just looking at the surgical field
8 sponges, drainage or suction intraoperatively, it
9 is at best an estimate.

10 In a study like this where you are just
11 replacing cc for cc, it kind of makes you wonder.
12 So, you have to look at estimated blood loss with a
13 very suspicious eye. In cardiac surgery, as
14 pointed out, you have two, sometimes four chest
15 tubes. Can they get loculated, or some trapped and
16 not drained? Sure, it probably does from time to
17 time. But these are pretty large drainage tubes;
18 these aren't small drainage tubes. They are
19 probably a half inch in diameter, three-quarters of
20 an inch. At the same time, patients in ICUs are
21 getting chest x-rays and you would see loculations
22 of fluid, and you are closely studying this over a
23 24-hour period.

24 DR. NELSON: Right.

25 DR. LEW: Since you can talk about it, we

1 were provided three articles, some submitted, some
2 in press, all three articles suggest that Hextend
3 is quite different from the Hespan, suggesting that
4 the problems with Hespan do not show up with
5 Hextend. That is why I bring that up, because if
6 we are talking about the package insert, are we
7 talking about this for hetastarch and anything that
8 has hetastarch is going to go, or is there really a
9 difference between Hespan and Hextend? All the
10 studies that you have shown, as far as I am aware,
11 except for this last one, used the Hespan. Is that
12 correct?

13 DR. HAYNES: Yes, as far as I know. It is
14 described as six percent hetastarch in saline, and
15 the only preparation I know of in the United States
16 is Hespan. Now, if there is a difference, you will
17 have to tell me because I don't know what it is,
18 other than the solution.

19 DR. ALLEN: I have two questions. I know
20 that the low molecular weight formulation is not
21 available in the United States. If a prospective
22 study were to be done, would you want to see
23 mid-weight included as a comparison with the
24 heavier molecular weight product that currently is
25 licensed in the United States? Based on the

1 information from Europe and studies that have been
2 published?

3 DR. HAYNES: Sure, it might be an
4 interesting observation, but I think what would be
5 more informative would be to compare any new thing
6 with what is a common practice. You know, albumin
7 has been mentioned; crystalloid has been mentioned;
8 blood products. We don't replace intravascular
9 volume with just any one thing, and when we are
10 giving blood products, especially in terms of FFP,
11 I mean you can call it fresh-frozen plasma and you
12 can also call it a colloidal substance because it
13 is. It is a collection of plasma proteins in an
14 electrolyte solution.

15 Many anesthesiologists will replace
16 intravascular volume with a combination. I know
17 many who have used Hespan; I have used Hespan. We
18 will use it but up to a certain limit. There
19 doesn't seem to be any real limit on albumin,
20 crystalloid. I mean, it depends on the
21 circumstances, how much you have to infuse to keep
22 the patient alive. But if there is something that
23 has a limit, I think it might be more instructive,
24 whether it is Hextend, Hespan or any new one that
25 comes along, to treat both groups in the same

1 manner and have two arms, one that would continue
2 with the way we do things normally with blood, FFP
3 and albumin, and then continue on with the other
4 arm of an experimental drug. That would be more
5 informative to me.

6 DR. ALLEN: A second question I had, and
7 perhaps Dr. Canver could respond also, I was
8 confused by the volumes in each of the four groups
9 that you alluded to in Dr. Canver's study, the
10 priming-plus. You know, it suggests to me that the
11 whole issue of the volume received is very highly
12 variable and wasn't really reflected by the
13 descriptive four groups.

14 DR. HAYNES: Do you want to respond to
15 that?

16 DR. CANVER: The total pump prime was 2200
17 cc, and then additional substance was given.

18 DR. ALLEN: How much did you say?

19 DR. CANVER: The total circuitry, 2200
20 plus whatever each group is given.

21 DR. ALLEN: And, was the pump priming
22 solution the same in all four groups, or did it
23 vary?

24 DR. CANVER: It was 2200 cc, identical in
25 all four groups.

1 DR. NELSON: Wait a minute, but the group
2 with hetastarch was primed with hetastarch; the one
3 with albumin was primed with albumin?

4 DR. CANVER: The way I understand it, the
5 basic 2200 cc was identical in each of the four
6 groups. In addition, they received Hespan, albumin
7 or Hespan and albumin together.

8 DR. ALLEN: What was the 2200 pump prime
9 solution that you say was the same in all groups?

10 DR. CANVER: It was a lactated Ringer's
11 solution. I actually wanted to respond, if I am
12 allowed--

13 DR. NELSON: Sure, go ahead.

14 DR. CANVER: Dr. Simon raised a very good
15 issue. It depends on how you approach the issue.
16 You may think that this agent has anything to do
17 with the bleeding after cardiac surgery. I think
18 it is so multifactorial because we don't use Hespan
19 and we still have bleeding. The bleeding rate
20 after heart surgery, which includes all types of
21 procedures, is less than one percent.

22 I want to clarify, reexploration required
23 for bleeding is less than one percent. That is
24 extremely low. In fact, it is negligible in our
25 hands. But that is only achievable by many

1 strategies, which I skipped in my initial part of
2 strategies, and I think Gary really elaborated very
3 nicely. We don't do only one thing. I think it
4 would be very easy if you give Hespan or no Hespan
5 and you are done with it, but all these patients,
6 about 80 percent of patients come with aspirin the
7 day before, emergency operations, have many, many
8 other anti-platelet agents that they are on. Every
9 hospital setup is different. Surgeons' techniques
10 and their training is different; what they do is
11 different. We also use a lot of hemostatic agents.
12 We use fibrin glue, a lot of mechanical agents.
13 Then, also, the amount of transfusions that an
14 anesthesiologist gives also alone increases
15 bleeding. When you look at this drainage from
16 chest tubes, most of us now like them not to be
17 visible because the patients like it. Our
18 incisions are smaller and the chest tubes are now
19 softer and sometimes we actually don't even put
20 them in, in some simple cases.

21 So, essentially you are dealing with a
22 very multifactorial issue. But I still feel,
23 listening to all the arguments, that low molecular
24 Hespan versus albumin in some sort of clinical
25 trial, I think that would be something not done.

1 DR. DIMICHELE: Dr. Haynes, you really
2 eloquently reviewed a lot of the literature that we
3 were also given to review. I just need to ask your
4 opinion, because I also had the question that Dr.
5 Lew asked about what type of starch was actually
6 used, but the other issues are the other variables
7 that cause bleeding which you, again, so eloquently
8 went over. It is unclear in the retrospective
9 studies, in fact, in some of them, including pump
10 time and things like that, you actually criticized
11 in Dr. Canver's study, but among the other studies,
12 the other retrospective reviews, can you feel
13 confident that there were no other variables
14 accounting for the results in those studies?

15 DR. HAYNES: Yes, and it varies among the
16 studies, but going back to the Mayo Clinic because
17 some of the things they did, one, it was one
18 surgeon for all cases requiring coronary-pulmonary
19 bypass, 565 patients from January of 1995 to
20 December of 1998. It was the same group of
21 anesthesiologists involved. It was conducted at a
22 time when we had worked on guidelines for
23 transfusion for a number of years. So, the
24 transfusion triggers are well established. So,
25 just because it was all condensed down in a fairly

1 limited period of time, many of these other
2 confounding variables are not--

3 DR. DIMICHELE: But what about things like
4 pump time? I mean, there seemed to be some
5 uniformity in the other study as well. What about
6 an issue such as pump time? You said, for
7 instance, that pump time of two hours or three
8 hours, and you do this every day, is quite
9 significant.

10 DR. HAYNES: Right. Let's see, to answer
11 your question simply, yes, I do feel confident that
12 these groups were more comparable in the Virginia
13 and the Mayo Clinic studies. I didn't quote all
14 the details here. I think you have the papers
15 there so you might be able to look at some of this
16 data yourself, but I didn't find any significant
17 variation between groups, between those who did or
18 did not receive hetastarch, in terms of
19 preoperative lab values, or in terms of patient
20 demographic groups, or any of the other things. In
21 terms of bypass duration, for instance, at the Mayo
22 Clinic study it was a mean of 107 minutes versus
23 111 minutes. The time from end of bypass to out of
24 the OR was 92 versus 99 minutes. These things were
25 not significant. The lowest temperature on bypass,

1 29.9 versus 29.1. In table 1 of their paper they
2 showed very nicely that there were very few
3 differences, if any differences, between the
4 patients who did and did not receive hetastarch.

5 DR. DIMICHELE: Thanks. My other question
6 is there is an issue, it seems to me and maybe I am
7 getting confused, of when exactly this substance is
8 used in the procedure. In other words, even in the
9 studies that you quoted there was less of a
10 difference when the hetastarch was used actually
11 postoperatively and not used intraoperatively or as
12 a priming solution. Again, I need to ask you
13 because we are going to be asked to make some
14 decisions here. You know, the question is does it
15 need to be specific to the timing in a certain
16 preclinical, etc? These are nuances but they are
17 very, very important.

18 DR. HAYNES: Yes, they are nuances and you
19 are right. What I think I am trying to convey here
20 as a message is that you have a unique surgical
21 population having a specific kind of surgery where
22 you are doing an awful lot of stuff to them that
23 can interfere with coagulation. Then they survive
24 the surgery, they go on, they start to recover.
25 Those perturbations are resolving or diminished or

1 gone, not that the coagulation mechanism suddenly
2 comes back to normal but do you add one other thing
3 that can impair coagulation on top of those other
4 four that could result in patients coming back for
5 emergency surgery? Or, do you use it afterwards
6 when homeostasis is starting to be restored and
7 then some amount of hetastarch is probably not
8 deleterious? I think there is a difference. Okay?

9 Also, if you stay within some acceptable,
10 reasonable guideline because, you know, here we are
11 dealing with hetastarch that is being given in
12 reasonable amounts and there is still concern that
13 it may cause bleeding. As I said earlier, what
14 prompted my concern originally was the notion with
15 some marketed materials that you could give
16 whopping doses of this stuff whether it is cardiac
17 or just general surgical patients, which I think
18 would be very inappropriate today.

19 So, I think the difference is, yes, once
20 somebody starts to recover and things come back to
21 normal, is a little hetastarch going to get you in
22 trouble? Probably not. But do you do it in a
23 circumstance--and, as I pointed out, we don't use
24 it in neurosurgical patients, we don't use it in
25 liver transplant patients, and by analogy you have

1 a somewhat similar circumstance here with
2 hypothermia, bypass, heparinization, all these
3 things going on, if you add one more variable that
4 could have a serious outcome.

5 DR. NELSON: Thank you very much, doctor.

6 DR. HAYNES: Thank you.

7 DR. NELSON: Stay around, we may have more
8 questions.

9 DR. LANDOW: Before the committee
10 undertakes a discussion of the questions that FDA
11 has posed to them, I would like to present to you
12 for your consideration nine reasons to be cautious
13 about the data that you have seen today from these
14 non-randomized trials, and not to jump to any
15 conclusions.

16 [Slide]

17 The first reason is that the treatment
18 arms may not be comparable across these different
19 trials that we have heard today. For instance, and
20 this is not a list that includes everything but
21 there may be different inclusion and exclusion
22 criteria, such as related to anti-platelet
23 medications for a simple example. Also, there
24 might be a difference in the severity of illness
25 scores and how you adjust for those differences

1 between studies.

2 [Slide]

3 Second, even with sophisticated
4 statistical techniques, and mainframe computers as
5 the extreme, one can never be sure that key outcome
6 predictors have been recognized and adjusted for.
7 While we all realize that there are different risk
8 factors in terms of age, gender and severity of
9 illness, there are many that we are just now
10 beginning to discover that may also play a role,
11 and these include genetic predisposition and
12 socioeconomic status.

13 [Slide]

14 Third reasons, standards of medical care
15 change over time. We know that Dr. Canver's study
16 lasted eight years and things do change in that
17 time period.

18 [Slide]

19 Fourth, fluid management, apart from the
20 hetastarch situation, can vary across particles.
21 Knutson et al. state specifically in the manuscript
22 that there were no specific transfusion algorithms
23 used in the study period. Second, there were no
24 rigorous guidelines for infusion of hetastarch,
25 albumin or crystalloid. I think that is very

1 important.

2 [Slide]

3 Patient selection and treatment can be
4 unintentionally biased. For instance, in Dr.
5 Canver's study they stated that the decision to use
6 a particular type of priming solution for bypass
7 was arbitrarily made by the clinical perfusionist,
8 which leaves open the question could hetastarch
9 have been avoided in certain patient groups, such
10 as older patients or patients with renal failure?
11 We don't know that information.

12 [Slide]

13 Confounding is very likely. For instance,
14 in the study by Knutson et al. the hetastarch
15 group, as opposed to the non-hetastarch group, had
16 lower temperatures on bypass, longer time on
17 bypass, and higher frequency of preoperative
18 anticoagulant use.

19 [Slide]

20 In the study by Cope et al. there were
21 different volume expanders used at different points
22 in the operation. There was a group where
23 hetastarch was used only after bypass had been
24 completed and the patient had been reversed; one
25 where the patient got hetastarch only in the ICU;

1 and a third group where there was no hetastarch.
2 But then you can look at the percent of patients
3 receiving colloid post-bypass and those receiving
4 colloid in the ICU and you see that there is a lot
5 of information that is sort of fuzzy. We don't
6 have a good handle on exactly what each of these
7 patients received. So, it is hard to draw
8 conclusions about the effects of hetastarch
9 compared to the other products.

10 [Slide]

11 Confounding is likely also in the
12 different pump primes that were used. Cope et al.
13 used albumin and crystalloid. Knutson claimed that
14 they did not use hetastarch at all. Canver gave
15 you the four groups. So, we have different primes
16 and we are trying to draw conclusions about a
17 product, and I think it is very difficult to tease
18 apart the effects of the solutions and the bleeding
19 problem.

20 [Slide]

21 Reason number seven, adequate statistical
22 power alone does not ensure that there is no bias
23 or confounding taking place. You heard a quotation
24 before that approximately 200 subjects are required
25 to detect an absolute difference of ten percent

1 increase in blood loss. These studies meet those
2 criteria, nevertheless, they all are subject to
3 certain weaknesses that I have listed here. So,
4 statistical power, by adequate sample size, does
5 not solve our problems.

6 [Slide]

7 Another reason that is well-known is that
8 the quality of the data is often uneven in these
9 retrospective studies. The endpoints are defined
10 differently and they are not prespecified. Many
11 times the endpoints are chosen after the study is
12 completed, although the manuscript, obviously, will
13 not say that.

14 Also, a big problem with these studies is
15 that there is missing or inaccurate data, and it is
16 very hard to pinpoint that in an article published
17 in a medical journal. Finally, different
18 variables are collected. Some are left out, some
19 are included. It depends on which study you are
20 talking about.

21 [Slide]

22 Another reason is reporting bias. It is
23 well recognized that positive findings are much
24 more easily accepted by medical journals than
25 negative findings. So, we don't know what those

1 negative findings were.

2 [Slide]

3 The conclusion that we draw from this is
4 that non-randomized clinical trials tend to
5 exaggerate an effect size, in this case the
6 incidence of bleeding in this population.

7 Now we come to the questions by the
8 committee, or do we go to the open session?

9 DR. NELSON: There are a number of people
10 so we will come back to that. So, stick around.

11 The other issue, it seems to me, is that
12 the question that the committee is being asked is a
13 little more complex in that there already is a
14 label saying that there is no evidence that
15 hetastarch causes bleeding. Are we to deal with
16 that? I suspect that there may be some sentiment
17 that that statement needs to be changed because it
18 doesn't omit the reference to bleeding; it says
19 there is no evidence. This isn't perfect evidence
20 and I certainly agree with the weaknesses of this
21 and the necessity to really be sure to do a
22 randomized, controlled trial, but I am not sure it
23 is accurate to say that there is no evidence that
24 there are bleeding problems.

25 DR. CHAMBERLAND: I also need some

1 additional clarification, and I apologize if
2 perhaps you have covered this in your introductory
3 presentation which, regrettably, I had to miss. I
4 think there are a number of issues that are at
5 least confusing to me that I need some
6 clarification on. Some of that will come out in
7 the public hearing, but initially my approach to
8 the material for this topic was to read it as it
9 came, and the issue paper provided by FDA, the
10 summary issue paper, and the articles that are
11 referenced in that summary paper kind of initially
12 led me to believe that these were sort of the
13 primary papers, the important papers, whatever, but
14 these were the important papers to consider.

15 There was also no reference to the fact
16 that there are apparently a couple of different
17 versions of this product out on the market, and
18 these five papers that you just reviewed with
19 respect to some of the issues that need to be
20 considered really only addressed one version of
21 this product. Then, as Dr. Lew mentioned earlier,
22 the committee has also been supplied with a lot of
23 additional materials coming from the sponsors and
24 manufacturers of these various products.

25 So, I am a little confused as to what it

1 is that we are supposed to use in trying to develop
2 some recommendations from the FDA and why FDA, in
3 its own pulling together of the issue, at least to
4 me, didn't seem to take into consideration
5 additional literature and information about this
6 whole other product. If someone could provide some
7 clarification--I don't know if that is confusing to
8 other members of the committee.

9 DR. NELSON: Yes, there are two different
10 products and there could be two different labels I
11 suppose. Do you want to comment on that, Dr.
12 Landow?

13 DR. LANDOW: The clinical problem that has
14 arisen from the medical community is bleeding
15 associated with hetastarch. So, that is the reason
16 this product is under discussion.

17 DR. NELSON: So, we are discussing
18 hetastarch.

19 DR. CHAMBERLAND: Generic hetastarch.

20 DR. LANDOW: Yes, hetastarch in normal
21 saline, but not in the lactated Ringer's solution,
22 not the Hextend.

23 DR. NELSON: If that is the case, then it
24 is not appropriate for any presentations on
25 Hextend.

1 DR. HOLLINGER: That is very confusing. I
2 thought this was just on hetastarch in general and
3 to determine whether there are any particular
4 differences that would require different warnings.
5 I mean, otherwise I am not sure why we got this ton
6 of information on hetastarch from Abbott. That is
7 a lot of information to go over if we are not going
8 to discuss it, if it is not going to be up for
9 discussion.

10 DR. FALLAT: Isn't Hextend already on the
11 market as well?

12 DR. NELSON: Since '99 I think.

13 DR. FALLAT: So, we really have to address
14 it.

15 DR. NELSON: Does FDA want us to discuss
16 labeling of Hextend as well?

17 DR. LANDOW: The reason that you got the
18 literature about Hextend was because that pertained
19 to the discussion of the open session, but it does
20 not pertain to the discussion that we called you
21 here to agree to. Now, if you want to discuss it
22 among yourselves, I suppose that is your
23 prerogative. I am just saying that the reason we
24 are calling this meeting is because of a bleeding
25 problem that the medical community claims is

1 occurring with the Hespan in normal saline.

2 DR. HOLLINGER: But that is the problem.
3 The question talks about six percent hetastarch.
4 You don't say Hespan in normal saline, and that is
5 what the question should have said if that is what
6 we are supposed to discuss.

7 DR. NELSON: Yes, I think it does make a
8 difference. One of the problems we have is that a
9 lot of people have airlines leaving at three
10 o'clock, and what-have-you, and if we are not to
11 discuss the Hextend at this point, then we probably
12 shouldn't listen to additional material.

13 DR. CANVER: I just wanted to say that the
14 Hespan is a trade mark given by the company. So,
15 you cannot really say Hespan in normal solution;
16 you can only say in medical scientific form six
17 percent hetastarch in normal saline. I mean, that
18 is the proper way of saying it.

19 DR. NELSON: Yes, but what if we were to
20 talk about hetastarch and Ringer's lactate?

21 DR. CHAMBERLAND: FDA really needs to
22 assist us with their question because the first
23 question that we have been asked to consider is, is
24 there evidence for excessive bleeding in cardiac
25 surgery patients who receive six percent

1 hydroxyethyl starch, and there is no additional
2 qualification of that with respect to the carrier,
3 which I think you appropriately pointed out. So,
4 we need some clarity as to what it is that we are
5 being asked to consider.

6 DR. LANDOW: I will say once again that
7 the medical community has alerted us to what they
8 see as a problem with excessive bleeding with six
9 percent hydroxyethyl starch in normal saline, trade
10 name Hespan, as correctly pointed out. We have not
11 been alerted to a problem with Hextend, which is
12 hydroxyethyl starch in lactated Ringer's. The only
13 reason you got that information is so you could be
14 aware of what was being discussed in the open
15 session.

16 DR. NELSON: Yes? Identify yourself, if
17 you will.

18 DR. WEINSTEIN: I think actually we do
19 need to make a further clarification. I think Paul
20 Albersold, in our group here, will make a
21 clarification of what we intend to do here. It
22 turns out that Hextend and Hespan apparently, at
23 this point in time, both have the same labeled
24 indication. In fact, I have to amend the comments
25 of my colleague, Larry. We will be talking about

1 both of these at this point.

2 DR. NELSON: So, you want us to discuss
3 both?

4 DR. WEINSTEIN: Right.

5 DR. ALBERSOLD: At the time that the
6 Hextend product was licensed the labels were
7 essentially the same for the two products. There
8 was no evidence one way or the other--the trial
9 wasn't designed to test for any differences between
10 them. It was designed to show that they could be
11 used essentially interchangeably. The labels are
12 essentially identical and the starch products are
13 the same in them. So, FDA has no evidence that
14 there is any difference between them. I think that
15 in the public session Abbott wanted to present some
16 information. I think the committee can ask what
17 the status of those trials is, are they to support
18 a labeling change? I can't reveal what is going on
19 in their INDs.

20 DR. NELSON: Dr. Smallwood has a
21 statement.

22 DR. SMALLWOOD: Regarding the open public
23 hearing, I will try to clear up a little bit of
24 confusion, that is, when topics come before an
25 advisory committee for all of the affected products

1 or related sponsors, if anything that is being
2 discussed will have any association with that, they
3 are notified. They have the opportunity to present
4 during the open public hearing. The FDA will
5 identify what is the specific issue to be
6 discussed, but that is the reason why the advisory
7 committee members did receive information from the
8 sponsors, and during the open public hearing
9 individuals are permitted to make such
10 presentations and we will use the information as we
11 see fit with respect to the discussion.

12 I would also like to state before we go
13 into the open public hearing that the information
14 that was stamped confidential and was submitted to
15 the committee cannot be discussed publicly unless
16 there is a public statement by the sponsor, stating
17 that the material may be discussed in this public
18 setting and this material may be publicly posted on
19 the FDA website. So, at this time, with the
20 committee chairman's permission, I would like to
21 ask those sponsors that submitted information
22 stamped confidential to please come to the mike and
23 state publicly that your information may be
24 discussed at this meeting and that it may be posted
25 on the FDA website so that we may have a record in

1 our transcripts. Thank you.

2 DR. NELSON: Do we have a volunteer?

3 DR. SCHMIDT: While somebody is
4 volunteering, I am sort of irritated to see that
5 this material was published in 1995, and most of it
6 is in newsletters dated 2001. So, somebody puts a
7 stamp "confidential" on it, which is an old Defense
8 Department ploy but it shouldn't work in
9 Gaithersburg.

10 [Laughter]

11 DR. FALLAT: I want a clarification. Was
12 Hextend approved on the basis of comparability
13 studies with Hespan? Could we have an answer to
14 that question?

15 DR. ALBERSOLD: I believe if you look at
16 the summary basis of approval you will find that
17 the primary endpoint was volume comparison to show
18 that they could be used essentially
19 interchangeably. There were no prospective study
20 endpoints for any differences between them, any
21 advantage, no clinical benefit to one versus the
22 other. It was strictly that they could be used
23 interchangeably essentially with the same volume.

24 DR. FALLAT: So, it was a comparability
25 study.

1 DR. ALBERSOLD: Essentially, yes, it was.

2 DR. FALLAT: Thank you.

3 DR. WAITZ: Can I speak? This is Harold
4 Waitz, from Biotime, sponsor for Hextend. About
5 the confidentiality, we just had a concern that
6 there are papers that are not printed and approved,
7 that they appear on the FDA website beforehand.
8 There are copyright issues with that. But
9 certainly a lot of the information in there I
10 believe can be discussed. Some of this stuff has
11 been given in various forums as abstracts and
12 papers.

13 DR. NELSON: So you are saying it can or
14 cannot be on the FDA website?

15 DR. WAITZ: I mean, the papers themselves
16 shouldn't be posted, but I think we can discuss the
17 information that we are going to present.

18 DR. NELSON: Well, whatever you are going
19 to present is in a public hearing.

20 DR. WAITZ: It is basically the references
21 to the information that we are going to discuss
22 that we are concerned with.

23 DR. NELSON: I have my lawyer here!

24 DR. SMALLWOOD: The procedure is that once
25 something has been discussed publicly in a public

1 setting, it has become public and, therefore, we
2 can post it on the FDA website because it has been
3 made public once it has been discussed in this
4 forum.

5 DR. WAGELIN: James Wagelin, Abbott
6 Laboratories. Our concern is that any information
7 that has been published, of course, can be freely
8 discussed and can be posted on the FDA website.
9 Those articles which have not yet been published,
10 those are areas where we have concern because there
11 could be copyright infringement sort of issues.

12 DR. HOLLINGER: I think you will have to
13 point out, as we often ask in many of these cases,
14 which is proprietary because there are a lot of
15 things that are documented as confidential, and
16 some of them, you are saying, have already
17 published and some of them were back in 1997 or
18 1999. So, which ones are going to remain
19 confidential?

20 DR. CHAMBERLAND: We need clarification, I
21 guess, from the FDA as to how you want to address
22 this. I think all of us would understand that for
23 pre-publication, things that are in peer review
24 there are concerns about, having public
25 dissemination of them on a website. However, do

1 the FDA regulations regarding advisory committees
2 allow this sort of split, the distinction that we
3 are being asked here, or does it have to be both?
4 Do you have the ability to both discuss it in a
5 meeting and it has to be on the website, or can it
6 be either/or? We need to get clarification as to
7 what we can discuss.

8 DR. NELSON: I don't think the publication
9 is the issue. I don't think, in most journals,
10 that would jeopardize publication.

11 DR. SMALLWOOD: We have Dr. Bill Freas
12 here, who is the director of the scientific
13 advisors and consultants staff, and I would defer
14 that response to him.

15 DR. DIMICHELE: Can also ask another
16 question that I would like him to address? That
17 is, if this information can't be put on the website
18 but can be used in our discussion, in our free
19 discussion which will become part of the public
20 record one way or another.

21 DR. HOLLINGER: It may become moot if we
22 are not going to discuss these issues which are
23 being talked about in our deliberations. If we are
24 only dealing with Hespan basically or hetastarch in
25 saline, then we can just listen to these issues and

1 not discuss anything further, and then just get to
2 the questions.

3 DR. WEINSTEIN: I want to reiterate that
4 we will be discussing hetastarch in a broad sense,
5 both Hespan and Hextend.

6 DR. HOLLINGER: That will be part of the
7 question?

8 DR. WEINSTEIN: The question has to do
9 with labeling of these products in general.

10 DR. HOLLINGER: So, there could be
11 different answers for different products, depending
12 on what information comes out, or they could be the
13 same.

14 DR. WEINSTEIN: You can give us your
15 advice on either.

16 DR. FALLAT: But if Hextend was approved
17 on the basis of comparability, then it would seem
18 to me that if we answer the question with regards
19 to Hespan it should apply to Hextend as well.

20 DR. WEINSTEIN: Again, I think we can
21 listen to the discussion about this. I don't want
22 to categorically say that this will necessarily be
23 the case. There apparently are perhaps
24 distinctions between these products that will come
25 out later on. There may be further trials that are

1 submitted to us and we will have to evaluate the
2 evidence of those distinctions that are being
3 perhaps claimed between the two products.

4 DR. FREAS: I will try to clarify it. FDA
5 is under a law suit, and the law suit states that
6 what is given to the advisory committee in open
7 public session is required to be posted on our
8 website. Now, FDA is doing its best to be in
9 compliance with this law suit in order to keep the
10 public informed. FDA is always caught between a
11 rock and a hard place when we come to
12 pre-publication issues. In that case, we are
13 asking the sponsor, and we are putting
14 responsibility on the people who submit the
15 material to submit summaries of that material in
16 advance of the meeting, and not stamp them
17 confidential.

18 FDA cannot publish unpublished material.
19 It can be discussed but, again, we need the
20 permission of the source person who originated the
21 material. If we don't have that permission, then
22 it puts us in a very bad place because FDA's
23 obligation is to make material discussed at open
24 public meetings public.

25 DR. NELSON: Thank you. Dr. Lew?

1 DR. LEW: I just want to clarify what Bob
2 had mentioned. A lot of times FDA will do
3 comparability studies, particularly with
4 antibiotics because that is what I am most familiar
5 with, and compare one cephalosporin to another.
6 But it is clear that some cephalosporins have more
7 adverse effects and, as that occurs, you do change
8 the package insert. So, I don't think they are
9 obligated to put it in the package insert for both.

10 DR. BAKER: Dr. Mary Baker, Abbott
11 Laboratories, pharmaceutical research and
12 development. What we would like to remain
13 confidential and not be posted on the FDA website
14 is the resource by Dr. Anthony Roche. Anything
15 else will be discussed by the researchers and has
16 been published in abstract form.

17 DR. DIMICHELE: But then my question is
18 that information cannot be used by us to help make
19 this decision.

20 DR. BAKER: Dr. Roche has also published
21 that information in abstract form. That is
22 available for discussion, but we ask that his
23 submitted publication not be posted on the website.

24 DR. DIMICHELE: But any of the details
25 from those papers will not be brought up in any

1 discussion and cannot be used to help us make the
2 decision.

3 DR. BAKER: I believe you have been
4 furnished with the abstract as well.

5 DR. DIMICHELE: Right, but we can only use
6 what is in the abstract, is that correct? I am
7 asking the committee.

8 DR. NELSON: I think that is correct. Now
9 we move to the open public hearing. If you can try
10 to be concise as possible.

11 **Open Public Hearing**

12 MR. SPODEN: Thank you, Mr. Chairman.
13 Good afternoon. My name is John Spoden. I am the
14 associate director of regulatory affairs for B.
15 Braun Medical. It is my privilege to speak before
16 the committee on behalf of B. Braun today.

17 [Slide]

18 I have prepared a brief presentation to
19 address some of the important issues raised this
20 morning relative to the use of hetastarch in
21 cardiac surgery. Because I am not a clinician, B.
22 Braun has arranged for Dr. William Shoemaker to
23 attend this meeting to address any clinical
24 questions the committee may have. Dr. Shoemaker is
25 a professor of surgery, in the Division of Trauma

1 and Critical Care at the University of Souther
2 California, and has studied these colloids and
3 hemodynamics extensively. In the interest of full
4 disclosure, B. Braun has paid for Dr. Shoemaker's
5 transportation, lodging expenses and will reimburse
6 him at his normal rate for his time.

7 [Slide]

8 B. Braun is the holder of two new drug
9 applications for Hespan, one in a glass container,
10 the other in a flexible plastic container. The
11 product in glass was originally approved by the FDA
12 in 1972 for use as a plasma volume expander. The
13 original NDA holder of this product was McGow
14 Laboratories. Although ownership of Hespan has
15 changed over the years and was, until recently,
16 with Dupont, it has been manufactured by McGow
17 since it was first approved. When B. Baun
18 purchased McGow in 1998 and purchased Hespan from
19 Dupont in 1999, Hespan in a way came home. I
20 mention this bit of history because, as discussed
21 this morning, there is a lot of confusion in the
22 literature. Hespan is referred to as a product of
23 American Critical Care, Dupont Critical Care and
24 others, but Hespan has always been the same
25 product, made by the sam manufacturer.

1 [Slide]

2 Hespan is B. Braun's brand of six percent
3 hetastarch in normal saline. It is one of several
4 licensed hetastarches available in the U.S. for
5 plasma volume expansion. As discussed earlier this
6 morning, hetastarches are characterized by their
7 molecular weight and their degree of hydroxyethyl
8 substitution. The hetastarch used in Hespan has an
9 average molecular weight of 6000 D. That is how it
10 is listed in the current package insert. That
11 differs from what has been presented earlier today
12 due to improvements in the way we actually analyze
13 the hetastarch in the laboratory. It has a
14 hydroxyethyl substitution ratio of 0.75.

15 [Slide]

16 Differences in molecular weight and degree
17 of substitution have been shown to affect the
18 influence of these starches on coagulation and
19 bleeding. The association between alteration of
20 coagulation in the use of hydroxyethyl starches is
21 well documented, and has been studied for over
22 three decades. The effects of Hespan on
23 coagulation result from hemodilution and a direct
24 effect on coagulation factors and platelets. These
25 effects have been described extensively in the

1 medical literature.

2 Briefly, the hemodilution effect is
3 largely determined by dose level, single or
4 multiple infusions, and the frequency of infusion.
5 Moderate doses may cause dilution of clotting
6 proteins, but these proteins are usually still
7 present in amounts adequate to ensure effective
8 hemostasis. According to the literature,
9 significant risk of bleeding is usually associated
10 with greater than a 25 percent volume replacement.
11 Above this dose platelets can appear abnormal and
12 adhesion is decreased. Some clotting factors
13 become abnormal. Fiber and clots are friable and
14 lack their normal tensile strength. Factor VIII
15 also appears to be decreased beyond levels
16 attributable to hemodilution alone.

17 [Slide]

18 Current Hespan labeling includes warning
19 regarding these and other effects. If the existing
20 warnings relative to bleeding beyond normal levels
21 are heeded, it could be expected that the adverse
22 events associated with excessive bleeding would be
23 relatively low. Indeed, if we graph an annual
24 number of adverse events related to excessive
25 bleeding per 100,000 Hespan units, these types of

1 adverse events are relatively low. An absence of
2 bleeding-related adverse events starting in 1998
3 may reflect the influence of several published
4 studies that we have discussed this morning on the
5 decision whether or not to use Hespan in some
6 clinical situations.

7 [Slide]

8 Two studies already discussed this
9 morning, specifically papers by Dr. Cope and others
10 in 1997 and Knutson and others in 2000, raise
11 questions regarding the use of hetastarch in
12 cardiac surgery and its possible association with
13 increased bleeding. Both studies were
14 retrospective and both recommended that prospective
15 studies be conducted in order to fully answer the
16 questions raised.

17 It is the opinion of B. Braun that the
18 retrospective nature and other shortcomings of
19 these studies, as Dr. Landow summarized in his nine
20 points, limit their scientific relevance and the
21 claim of a causal relationship between the use of
22 hetastarch and excessive bleeding in cardiac
23 surgery. However, B. Braun is also of the opinion
24 that the data presented does show some evidence of
25 an association between bleeding beyond expected

1 levels and the use of hetastarch during certain
2 periods of cardiac surgery.

3 [Slide]

4 Therefore, in order to enhance patient
5 safety and to provide clinicians with important
6 information, B. Braun has submitted proposed
7 changes to Hespan labeling to the FDA. These
8 changes are under precautions, contra-indications,
9 dosage administration and warning section of the
10 package insert.

11 In the interest of time, I will only
12 present some of the more significant changes that
13 we have made. B. Braun has proposed that in the
14 following statement to the warning section: Hespan
15 is not recommended for use as a cardiac bypass pump
16 prime or in the immediate period after the pump has
17 been discontinued because of the risk of increased
18 coagulation abnormalities and bleeding in patients
19 whose coagulation status is already impaired.

20 [Slide]

21 Addition to the dosage administration
22 section has been provided as follows: Hespan is
23 reported to be associated with increased bleeding
24 when used immediately after cardiac bypass pump has
25 been discontinued. However, this risk of bleeding

1 diminishes rapidly.

2 [Slide]

3 This statement has been proposed for
4 addition to the precautions section: Increased
5 risk of coagulation abnormalities and bleeding is
6 also associated with higher doses. Patients' vital
7 signs and hemoglobin, hematocrit, platelet count,
8 prothrombin time and partial thromboplastin time
9 should be monitored closely.

10 [Slide]

11 In conclusion, while the safety debate
12 regarding an association between hetastarch and
13 excessive bleeding during cardia surgery will
14 continue, B. Braun has acted prudently in taking
15 definitive steps to enhance Hespan labeling in a
16 way that we feel will satisfy the needs of the
17 patients and clinicians using our product. Because
18 we are taking these steps, we feel that no further
19 clinical trials are warranted.

20 I appreciate the opportunity to articulate
21 B. Braun's vision this morning and thank you very
22 much.

23 DR. NELSON: Thank you. Are there
24 questions?

25 DR. ALLEN: Two questions. First, your

1 graph showing the Hespan bleeding adverse events,
2 do you have an explanation for that drop off? Is
3 it with change in usage?

4 MR. SPODEN: One thing I didn't include in
5 there is the sales volume. We have seen a decrease
6 in sales volume and, although we cannot pinpoint
7 it, we are expecting that perhaps the results of
8 these studies that were published may have
9 influenced the use of hetastarch in certain
10 situations.

11 DR. ALLEN: Thank you. The second
12 question is what is the current status of action on
13 your proposed labeling and when was that submitted?

14 MR. SPODEN: We have been talking with the
15 reviewers at FDA since October. We formally
16 submitted the proposal for the labeling changes in
17 April of this year and they are still being
18 reviewed by the agency.

19 DR. NELSON: Other questions?

20 DR. HOLLINGER: I think you are to be
21 congratulated for a proactive stand in this.

22 DR. NELSON: The next speaker is Harold
23 Waitz. No? Dr. Gan, please.

24 DR. GAN: Good afternoon, ladies and
25 gentlemen. It gives me great pleasure to be here

1 today to share some of the information.

2 [Slide]

3 What I am going to present to you today is
4 to answer the question, Hextend, is it different
5 from Hespan? An alternative title would be are all
6 starches created equal? I would like to present to
7 you specifically on one aspect of the difference
8 between Hextend and Hespan, and that is
9 coagulation. Other speakers will present to you
10 more important differences between Hextend and
11 Hespan.

12 [Slide]

13 What I would like to do this afternoon is
14 to present data on six specific randomized,
15 controlled studies and it is important, in contrast
16 to the unrandomized, retrospective study that you
17 have heard this morning. The six studies I am
18 going to present to you with regards to coagulation
19 are the following: The first study is an in vitro
20 study comparing Hextend versus Hespan and lactated
21 Ringer's. In view of time, I would like to call
22 them Hextend and Hespan as you know what I am
23 talking about, Hespan being hetastarch in saline
24 and Hextend being in a balanced electrolyte
25 carrier.

1 The next study I am going to present to
2 you is the Phase III study comparing Hextend and
3 Hespan. That is followed by the next sty where a
4 lactated Ringer's group was added to that study.
5 The fourth study is again comparing Hextend and
6 Hespan in a group of geriatric population
7 undergoing general surgery. The next study is an
8 important one, looking at four different fluids,
9 Hextend, Hespan, albumin and lactated Ringer's in
10 200 patients undergoing cardiac surgery. Lastly, I
11 am going to present to you a couple of studies that
12 address comparison of Hextend and albumin, which is
13 obviously one of the fluids of interest.

14 [Slide]

15 I will not go into details of the
16 composition of hetastarch because that has been
17 addressed by previous speakers. But I think it is
18 important to notice the difference between Hextend
19 and Hespan, and that is in the electrolyte carrier.
20 As you can see, hetastarch in saline is formulated
21 in normal saline. However, Hextend is formulated,
22 in addition to sodium and chloride notably in
23 smaller concentration, 124 versus 154 in Hespan.
24 In addition to that, it also contains a number of
25 important electrolytes, notably calcium, potassium

1 and magnesium.

2 [Slide]

3 Many of the results I am going to present
4 involve thromboelastograms. I know that there are
5 a number of experts in the audience of
6 thromboelastography but for those who may not be
7 familiar, I am just going to take a couple of
8 seconds to explain what a thromboelastogram is.

9 Thromboelastogram was widely used back in
10 the 1970s because it was popular, especially in
11 liver transplantation, to monitor coagulation. It
12 is a dynamic coagulation monitor and you can get a
13 result fairly quickly, much quicker than if you
14 send it to the lab.

15 This is a new version of a
16 thromboelastogram where you introduce a sample of
17 blood into the cup, here, and there is a pin that
18 is then lowered, and the pin is under constant
19 rotation under the influence of the magnet, here.
20 So, if there is no clot being formed, there is no
21 resistance between the pin and the site of the cup.
22 As a clot begins to form there is increasing
23 resistance, and this increasing resistance and
24 increase in torque between the pin and the cup is
25 then translated into a pattern, which is on the

1 next slide.

2 [Slide]

3 This is a pattern of a thromboelastogram.
4 It looks a little bit like the end of a party where
5 you go and smash glasses, sort of an inverted
6 champaign glass pattern. There are several
7 important features that are important here. The
8 first is called R time, which is the reaction time.
9 Reaction time is the time taken from when you
10 introduce the blood sample into the cup to when
11 there is the first hint of clot formation. So,
12 this is where you introduce the blood into the cup
13 and as soon as there is a hint of clot formation
14 this pattern opens up, like this.

15 K time is a little bit further on. It is
16 defined as when there is a significant amount of
17 clot formation. So, this is R time and this is K
18 time, 20 mm apart. As it opens up, this
19 coagulation monitor also tells you the speed of
20 clot formation, how quickly the clot is being
21 formed. This is measured by an angle called alpha
22 angle. Once a clot is formed, it also measures the
23 strength of the clot formation, which is denoted by
24 maximum amplitude. So, this is a dynamic
25 coagulation monitor which tells us what is

1 happening to the patient's coagulation at that
2 point in time.

3 [Slide]

4 First of all, I would like to present to
5 you the results of a hemodilution study, an in
6 vitro study that looked at what happens if you take
7 a sample of blood and hemodilute it all the way up
8 to 75 percent.

9 [Slide]

10 If you hemodilute a sample of blood with
11 lactated Ringer's which is a common crystalloid we
12 all use, as you can see, as you hemodilute further
13 you are going to get hypocoagulation because of
14 hemodilution.

15 [Slide]

16 What happens if you hemodilute the same
17 sample of blood with Hextend? Again, you can see
18 it is very similar to what you would see with
19 lactated Ringer's, slightly increased with further
20 hemodilution.

21 The next one is what happens when you
22 hemodilute with Hespan. Clearly, you can see if
23 you hemodilute this blood sample with Hespan beyond
24 about 30, 40 percent, which is very close to about
25 20 cc/kg, it is increased in our time, which is

1 measured on the Y axis here, beyond 40, 50 percent
2 hemodilution with Hespan.

3 [Slide]

4 The next data I want to show you is from a
5 Phase III study. A Phase III study was actually
6 conducted to compare the efficacy between Hextend
7 and Hespan. We obviously looked at all the other
8 aspects of fluid management, coagulation being one
9 of them.

10 [Slide]

11 Just to give you a summary of the study,
12 there were 120 patients, a two-center study,
13 non-cardiac surgery with an anticipated blood loss
14 of more than 500 cc. They were randomized into
15 either Hextend or Hespan. The perioperative fluid
16 management is fairly standard, what we normally do
17 when we give a patient a bolus of lactated Ringer's
18 7 cc/kg, followed by a crystalloid infusion. Based
19 on the fluid algorithm, based on blood pressure,
20 heart rate and urine output we administer either
21 Hextend or Hespan. The anaesthetic is a balanced
22 technique to incorporate isoflurane and fentanyl.

23 [Slide]

24 This is of particular interest in terms of
25 coagulation between the two solutions. Because we

1 are concerned about the Hespan causing coagulation
2 in the higher volume uses, therefore, we divided
3 our patients into those who received less than 20
4 cc/kg or those who received more than 22 cc/kg.
5 When we looked at the R time, which is the length
6 of time taken for the clot to form, on the Y axis
7 the square represents those who received six
8 percent hetastarch in saline, or Hespan. The
9 circle represents those who received Hextend. The
10 solid line represents those who received more than
11 20 cc/kg, and the dotted line represents those who
12 received less than 20 cc/kg.

13 Let us look at the result at baseline and
14 end of surgery. At baseline there is roughly
15 similar R time. For Hextend and Hespan, for those
16 who were given less than 20 cc/kg, as noted by the
17 dotted lines here, as you can clearly see, there is
18 really no significant change at the end of surgery
19 compared to baseline. But if you look at the fluid
20 given at more than 20 cc/kg or a larger volume
21 used, you can clearly see a difference in that the
22 Hespan patient had a significant increase in R
23 time, the time taken for the clot to form, compared
24 to the Hextend patient who maintained his R time at
25 the end of surgery compared to baseline.

1 [Slide]

2 This is K time. As you remember, it is
3 the time taken for a significant amount of clot
4 being formed. Again, you see a very, very similar
5 picture. Over 20 cc/kg of Hespan, the K time is
6 significantly longer compared to an equivalent
7 volume of Hextend.

8 [Slide]

9 Does that translate into a difference in
10 blood loss? Well, if we look at the overall blood
11 loss there is no statistically significant
12 difference between the Hespan and the Hextend
13 group. The Hespan group is in yellow and the
14 Hextend group is in red. There may be a slight
15 trend but there is no significant difference.

16 But if you look at the subpopulation who
17 received red blood cells, indicating that these
18 patients lost more blood and therefore required
19 transfusion of red blood cells, there was a
20 significant difference in terms of the red blood
21 cell transfusion. That is, blood loss in the
22 subset or red cell transfused patients, on average
23 the Hextend patients needed about 1500 cc compared
24 to the Hespan patients who lost about a liter more
25 of blood compared to the Hextend patients.

1 [Slide]

2 This is a table that shows you that in the
3 transfused subset of patients, those patients who
4 lost more blood, they lost more blood in the Hespan
5 group. They also needed on average 500 cc more red
6 blood cells when they received Hespan compared to
7 Hextend. Likewise, the blood product utilization
8 appeared to be less with Hextend compared to
9 Hespan.

10 [Slide]

11 This study looked at when we added a third
12 group of patients who received lactated Ringer's, a
13 commonly used crystalloid in non-cardiac surgery.
14 So, this is Hextend and Hespan, and this is the
15 lactated Ringer's group which predominantly had LR
16 administered during surgery.

17 [Slide]

18 Looking again at the thromboelastogram
19 comparing the three groups, Hespan, Hextend and
20 Lactated Ringer's, this is the percent change of R
21 time from baseline and end of surgery. In this
22 study we also looked at 24 hours after surgery.

23 If you look at the Hextend group, which is
24 a square in yellow, and the lactated Ringer's
25 group, in the triangle here, you can see very, very

1 similar coagulation profiles between Hextend and
2 lactated Ringer's. However, if you look at the
3 patient who received Hespan, there is a significant
4 increase, about 40 percent increase in R time and
5 this persisted beyond 24 hours. So, clearly, there
6 are differences between Hespan and Hextend in terms
7 of coagulation.

8 [Slide]

9 Next I want to move to the geriatric
10 study. This was conducted in the United Kingdom.
11 It was non-cardiac surgery with an anticipated
12 blood loss of more than 500 cc. In this study the
13 comparison was Hextend and lactated Ringer's being
14 the colloid and crystalloid groups, compared with
15 Hespan and normal saline, again colloid and
16 crystalloid. The fluid algorithm again is very
17 similar, with some bolus of fluid up front and then
18 carried on with crystalloid infusion
19 intraoperatively. The fluid administration is very
20 similar to the previous study where it was based on
21 an algorithm.

22 The primary hypothesis of that study was
23 looking at acid-base changes. I am not going to
24 present that aspect of the study; another speaker
25 will present that. I am going to concentrate just

1 on the coagulation aspect.

2 [Slide]

3 In that study they planned to study 60
4 patients. However, the study was stopped when 47
5 patients were enrolled because they were concerned
6 about severe acidosis in some of the patients in
7 the study. When they did the 47th patient, the
8 patient didn't do very well, developed severe
9 acidosis. There was concern among surgeons and
10 anesthesiologists and, therefore, the study was
11 stopped. The blind was broken to say whether they
12 had reached the primary hypothesis which, indeed,
13 it had. There was a difference in acid-base
14 balance between the two study groups and,
15 therefore, the study was stopped. The mean age was
16 over 70. The mean volume of study fluid given was
17 over 4 L.

18 [Slide]

19 This is the TEG R-time result I showed you
20 earlier. You can see that there was a statistical
21 difference between the Phase III study. In the
22 geriatric study they also did TEG comparing the
23 Hextend and the Hespan group.

24 [Slide]

25 You can see that there was statistical

1 significance in the Phase III study. However, you
2 also see a trend in the geriatric study but because
3 the number in the study was smaller, 34 patients,
4 therefore, that did not achieve statistical
5 significance. But clearly you can see similar
6 trends between the two studies.

7 [Slide]

8 This next study was done in cardiac
9 patients. It was done in Columbia. There were 200
10 patients. They were randomized into four different
11 groups, receiving lactated Ringer's, Hextend,
12 Hespan or albumin. These were cases of
13 coronary-artery bypass or valve. Most of the
14 patients had been on cardiopulmonary bypass and
15 there were some off-pump.

16 [Slide]

17 Just to give you some detail about the
18 study. It is an intraoperative study. The study
19 fluid for treatment of hypovolemia. A liter of the
20 study fluid was added to the pump prime. There
21 were no volume limitations in that study. They
22 looked at several outcomes, renal function,
23 bleeding, coagulation. Again, I just want to
24 emphasize or just want to concentrate on the
25 coagulation aspect of this study.

1 [Slide]

2 The median volume of the study fluid was
3 about 3.4 L, except LR, obviously being
4 crystalloid, so a larger volume was given. The
5 total volume of fluid is about 5 L. There was
6 essentially no difference in hemodynamics, cardiac
7 output, blood pressure and urine output.

8 [Slide]

9 This slide shows you the bleeding outcome
10 among those four groups. To recap, hetastarch and
11 saline or Hespan, Hextend, albumin and lactated
12 Ringer's. The first row is the amount of red blood
13 cells transfused. In the Hespan group, on average
14 it was about four units, whereas in the Hextend,
15 albumin and lactated Ringer's groups it was about
16 2.0 to 2.5 units. This was a statistically
17 significant difference. The FFP again was
18 different, 3.8 units in the Hespan group; 2.5 in
19 the Hextend group; albumin 1.8; lactated Ringer's
20 0.5 Platelet transfusion, 6.3 in the Hespan group;
21 4 units, Hextend; 3.7, albumin; and 2.2, lactated
22 Ringer's.

23 What is more interesting is if you look at
24 the percent of patients receiving either
25 coagulation factors, FFP or platelets, about 70

1 percent of the patients who received Hespan had to
2 have some coagulation product. However, 47 percent
3 in Hextend and about 42 in albumin. If you look at
4 the number of patients who returned to the
5 operating room, about 10 percent in the Hespan
6 group; 2 percent each in the Hextend and albumin
7 group; and none in the lactated Ringer's group.

8 [Slide]

9 The next two studies that I would like to
10 present to you are comparing Hextend and albumin.
11 This first study comparing the two procedures is in
12 radical retropubic prostatectomy and radical
13 nephrectomy. These are, again, general urological
14 procedures. They were either randomized to Hextend
15 or albumin according to a fluid algorithm.

16 Baseline blood samples were collected at
17 the beginning of surgery, end of surgery and 24
18 hours following surgery. Because we are concerned
19 about platelets and some of the Factor VIII issues,
20 we measured platelets. We did PT, PTT as well as
21 looking at Factor VIII and von Willebrand's
22 factors.

23 [Slide]

24 The following few slides are the results
25 from this study. This is comparing Hextend and

1 albumin platelets at baseline, in yellow; end of
2 surgery, in red; and 24 hours following procedures
3 in the Hextend group and the albumin group. Again,
4 there was no statistical significance between
5 platelet counts between these two groups.

6 [Slide]

7 This slide shows the PT and PTT time
8 between the two groups, PT in the Hextend group,
9 APTT in the Hextend and PT and PTT in the albumin
10 group, again, baseline, end of surgery and 24 hours
11 and again you see no difference between albumin and
12 Hextend in terms of PT and PTT.

13 [Slide]

14 This slide shows you some of the
15 coagulation factors, for example Factor VIII and
16 von Willebrand factors antigen, as well as the
17 collagen ADP, a much more subtle measurement of
18 what happened to those Factor VIIIs as well as von
19 Willebrand's Factor. Again, comparing Hextend and
20 albumin, this is baseline, end of surgery and 24
21 hours and you can see, again, there is really no
22 significant difference between those who were given
23 Hextend or albumin. The average volume that was
24 used in this study is between 2.5-3 L of either
25 Hextend or albumin.

1 [Slide]

2 A recent study again looking at Hextend
3 versus albumin in cardiac patients found no
4 difference in chest tube output, post and
5 preoperative hematocrits, as well as TEG
6 differences and blood product usage.

7 [Slide]

8 In summary, comparing Hextend and Hespan,
9 Hextend-treated patients seem to lose less blood.
10 It seems to have a lower requirement for blood and
11 blood products; better coagulating factors, as
12 evidenced by thromboelastogram; better preserved
13 renal function; less acidosis, which the next
14 speaker will talk about in greater detail.

15 [Slide]

16 When compared to albumin, there appeared
17 to be a very similar amount of blood loss between
18 Hextend and albumin; required similar blood and
19 blood products and an equivalent effect on blood
20 coagulation, as noted by PT, PTT, von Willebrand's
21 factor as well as TEG.

22 [Slide]

23 In conclusion, I believe that Hextend is
24 different from Hespan, and I believe that as far as
25 coagulation it is superior to Hespan bleeding and

1 patient outcome. I also believe that, based on the
2 data that I presented, that Hextend is very similar
3 to albumin in terms of coagulation.

4 The important question is when you look at
5 data and ask yourself how does it change our
6 clinical practice, at Duke, about a year and a
7 half, two years ago, we changed all our Hespan to
8 Hextend. I believe that at Mayo Clinic, that Dr.
9 Haynes talked about, they also stopped using Hespan
10 and are now using Hextend for their patients.
11 Thank you very much for your attention.

12 DR. NELSON: Thank you, Dr. Gan.
13 Questions? Don't go away.

14 DR. GAN: We will be happy to answer any
15 questions.

16 DR. HOLLINGER: Just out of interest, if
17 you look at your cardiac surgery patients, it would
18 look like Ringer's lactate, which is even cheaper
19 than anything else, is actually pretty darned good.
20 I would then say that for this study one should
21 probably go and use Ringer's lactate instead of
22 either one of the hetastarches. That is what that
23 data shows on that blinded, randomized clinical
24 trial of 200 patients.

25 DR. GAN: I think you are right. That is

1 why we are still using Ringer's lactate. I think
2 it is important to notice that that is part of the
3 coagulation picture. We know that Ringer's lactate
4 tends to cause hypercoagulation postoperatively,
5 and that has been shown for many years.

6 One aspect which I think was pointed out
7 earlier is that in that study they also looked at
8 postoperative outcome in terms of incidence of
9 edema and how long they stayed in the hospital.
10 What they found is that patients who received
11 lactated Ringer's had a significantly high
12 incidence of edema, nausea and vomiting, probably
13 because of gut edema. So, you know, you always
14 have to trade the pluses and minuses.

15 DR. DIMICHELE: Actually, Dr. Hollinger
16 asked my main question, but in looking at the
17 data--you went through it very quickly and I was
18 trying to kind of keep up with you, but what was
19 very interesting in your Hextend-albumin comparison
20 studies is that, certainly, there was a trend
21 toward there being lower values in everything that
22 you measured in Hextend compared to albumin, and
23 you said it wasn't statistically significant, but
24 if you look at, for instance, platelet
25 counts--again, I don't know exactly what is not

1 statistically significant, the level or the
2 decrease; I am not exactly sure what you were
3 referring to, but if you actually look at the level
4 of platelet count at the end of surgery with your
5 Hextend, it is about 100,000 which is getting very
6 close to the level for platelet transfusion,
7 whereas it wasn't for albumin. Certainly, the
8 trends are there.

9 DR. GAN: I certainly would be happy to
10 comment on that. You will also see that the
11 Hextend patients actually started off with their
12 platelets lower as well.

13 DR. DIMICHELE: Yes, I know. But you are
14 saying there are no differences. That is why I am
15 saying I don't know what is not statistically
16 different in terms of the level. The other thing
17 about coagulation is that there are no absolutes
18 here. It depends on what the level you end up at
19 is. In other words, if you start out with a
20 slightly lower platelet count the question is would
21 you use Hextend. That is my question to you. I
22 mean, given the drop in platelet count, if a
23 patient goes into surgery with a slightly lower
24 platelet count, given that the platelet counts that
25 we ended up with were lower, would you do that?

1 DR. GAN: Let me give you my perspective.
2 Just to answer the previous question, the important
3 point to note also is that the Hextend patients on
4 average received about 500 cc, 600 cc more Hextend
5 compared to albumin patients.

6 DR. DIMICHELE: Yes, I saw that.

7 DR. GAN: So, I think that may also be an
8 effect, which I think is an important
9 consideration.

10 DR. DIMICHELE: Well, that was one of my
11 questions as well because if the colloid advantage
12 is the same for both, why did they?

13 DR. GAN: I think because Hextend, we
14 know, is a larger molecule and I think it stays
15 within the intravascular space for a longer period
16 of time compared to albumin. The average molecular
17 weight of Hextend is about 450, as we know, whereas
18 the albumin is only 50,000. So, I think for those
19 long procedures that may be the reason why one
20 received more than the other.

21 To answer your second question about
22 whether I would use Hextend in a patient with low
23 platelets to start off with, I do a lot of liver
24 transplant and now I use exclusively Hextend for my
25 liver transplant. Yes, I do use Hextend and I use

1 Hextend exclusively. I used to use albumin for
2 liver transplant.

3 DR. DIMICHELE: May I ask one more
4 question? In the comparison study between Hextend
5 and the other product, you said that basically the
6 differences you found in the two products were
7 mainly in the red blood cell transfusion group. Is
8 that correct in terms of bleeding, etc? Not
9 bleeding, but the differences that you did mention
10 were in the packed red cell transfusion group. The
11 question is that whole study had 120 patients, how
12 many of those patients were in the subgroup that
13 you went on to analyze in which you found the
14 greatest differences?

15 DR. GAN: Yes, there were trend
16 differences if you look at the overall comparison.
17 I mentioned that the red cell transfused group,
18 which formed about 35, 36 percent of the overall
19 population, did show statistical significance not
20 only in blood loss but also in the red blood cells
21 transfused.

22 DR. DIMICHELE: Right, that is what I was
23 trying to get at. They represented 36 percent of
24 the total group.

25 DR. GAN: Right.

1 DR. SCHMIDT: There was a point that
2 wasn't answered before Dr. Gan made his thorough
3 presentation. That is, if the manufacturer of one
4 product voluntarily wants to put a warning label on
5 his product, it seems to me it is sort of an
6 administrative decision on the part of the FDA as
7 to whether this other product has to have it also.
8 They are the people who decided that they both have
9 to have the same package information. If that is
10 the case, it probably doesn't relate to this
11 committee. You didn't ask us that question. But
12 if one wants to do it voluntarily and the other one
13 doesn't, why can't they have two separate package
14 inserts? I don't know that this committee is the
15 place to answer that question. So, does the FDA
16 have an answer for that?

17 DR. WEINSTEIN: I think that depends on
18 the information that is received by the FDA as to
19 how we would label the product. Perhaps the
20 industry would like to comment on where this
21 information is with regard to the FDA, the
22 information that you have presented to us.

23 DR. GAN: With regards to--I am sorry, is
24 that a question directed to me?

25 DR. WEINSTEIN: Yes.

1 DR. GAN: Maybe the industry people can
2 better answer that question. I am here to present
3 to you the data that I have.

4 DR. WEINSTEIN: Well, we have to evaluate
5 the information that is presented to the FDA.

6 DR. GAN: You mean in terms of the
7 availability of that information?

8 DR. WEINSTEIN: No.

9 DR. NELSON: I think that the FDA might
10 still be involved in that because although the
11 industry would say that they voluntarily put this
12 or that label on it, I think the FDA would have to
13 approve--

14 DR. WEINSTEIN: Yes, we have to review
15 data and approve--

16 DR. NELSON: --review whatever labeling
17 was done. So, you know, we don't take the FDA out
18 of the loop by voluntary labeling by industry.
19 Isn't that right?

20 DR. WEINSTEIN: Right. But it is
21 dependent on data that we receive--

22 DR. NELSON: Yes, exactly. You have to
23 evaluate the basis for a label or a non-label.
24 That is why we are here.

25 DR. WEINSTEIN: That is right.

1 DR. FALLAT: I take it the FDA has not
2 received this data formally yet. Is that right?
3 Is that what you are saying?

4 DR. WEINSTEIN: It is appropriate for the
5 company to comment on that.

6 DR. BERMAN: Keith Berman, Health Research
7 Associates. My specialty area is blood products
8 and biotherapeutics market research and clinical
9 development. I have a few points.

10 DR. NELSON: You are with Biotime?

11 DR. BERMAN: I am here, retained by a
12 major distributor of plasma products.

13 DR. NELSON: We wanted somebody from
14 Biotime to speak. They don't have to.

15 DR. CHAMBERLAND: While the sponsor is
16 coming to the mike, I need a point of
17 clarification. What is the relationship between
18 Biotime and Abbott? We got similar but different
19 packets of data from Abbott and Biotime and I am
20 unclear about how the two are related.

21 DR. WAITZ: Biotime is the holder of the
22 NDA and Abbott Laboratories is our manufacturer and
23 distributor. So, we work together. We license
24 Hextend to Abbott.

25 DR. CHAMBERLAND: So, who is the sponsor?

1 DR. WAITZ: Biotime. Biotime is the NDA
2 holder.

3 MR. WANGELIN: I just wanted to make one
4 point of clarification for the record. Abbott is
5 not at this point seeking any package insert label
6 revisions for the Hextend product.

7 DR. WAITZ: As the NDA holder, Biotime is
8 not asking for any label change.

9 DR. NELSON: I know you are not.

10 [Laughter]

11 DR. WAITZ: I just wanted to make that
12 clear.

13 DR. LEW: I just wanted to get back to the
14 study. I didn't get the number of patients that
15 were enrolled in your prostate/kidney study that
16 did show kind of a trend with Hextend having more
17 increased PTT and lower von Willebrand Factor.

18 DR. GAN: It was a 30-patient study. So,
19 it was a relatively small study.

20 DR. LEW: that may be why you don't have
21 the N.

22 DR. GAN: Right, correct.

23 DR. LEW: With a small study like that,
24 the trend looks kind of interesting--

25 DR. NELSON: Even in the larger one where

1 there were 120, that would be 30 in each arm.

2 Right?

3 DR. GAN: No, 60 in each arm.

4 DR. HOLLINGER: I think, Ken, all this is
5 important information because it gives us a broader
6 view of the two, but I think the committee has been
7 asked a very focused question with regard to
8 cardiac bypass surgery with these two agents and
9 the information does give us a global view of the
10 things.

11 DR. CHAMBERLAND: That is a nice segue. I
12 was actually focusing on your two cardiac surgery
13 studies that you presented, and I had a couple of
14 questions. The first cardiac surgery patient study
15 with 200, and a lot of this went by very quickly so
16 I am trying to catch up here, there were four arms.
17 How many patients were in each arm?

18 DR. GAN: Sixty patients in each arm.

19 DR. CHAMBERLAND: Did you assess
20 comparability in each of the arms in terms of
21 preop. characteristics and what differences were
22 found, and also intraoperative characteristics like
23 cross-clamp, time on pump, etc.? I am assuming
24 that is going to be very difficult in the kind of
25 format that we have, but these are the kinds of

1 details that I think need to be made available to
2 make a truly informed decision.

3 DR. GAN: Absolutely. I think that is a
4 very important question. But in view of the time,
5 I wasn't able to present all the data. Suffice it
6 to say that there was no difference in the
7 intraoperative as well as preoperative on
8 hemodynamics. There was no difference in renal
9 function. There was no difference in coagulation,
10 to start off with.

11 DR. NELSON: To follow-up on that, I think
12 the question I think Mary asked, which was a good
13 one, is the pump time and the cross-clamp time.
14 Those are two questions that I think you didn't
15 answer.

16 DR. GAN: Again, I believe they were
17 similar. There was no difference.

18 DR. CHAMBERLAND: So, we have to be
19 provided data in detail for each factor or
20 characteristic that was examined.

21 DR. NELSON: Yes, because I think even in
22 the earlier published study, even though the
23 conclusion was that they were the same, they
24 weren't the same.

25 DR. STUVER: Can I follow-up on that? I

1 think we do need to look at the data because if you
2 only have 50 in each group, even if they are
3 different they are probably not going to be
4 statistically significant because the sample size
5 is so small. So, it is nice to see the data so you
6 can get a feel for it. I mean, you can't just say
7 they are not different because they are
8 statistically significantly not different; you need
9 to look at the data.

10 DR. GAN: I would agree wholeheartedly. I
11 believe the information is available. I believe
12 this article has been accepted; I think it is
13 pending publication. So, this information should
14 be available.

15 DR. NELSON: Also some details about the
16 randomization are important.

17 DR. CHAMBERLAND: It would be helpful.

18 DR. GAN: I think you bring up a very good
19 question. I think this study, which I consider is
20 truly randomized because to each of the fluids a
21 dye was added, which makes it look tinged yellow,
22 very similar to albumin. So, from the point of
23 view of looking at color, obviously, the
24 differences in viscosity as well as the color, it
25 was as truly randomized as it could possibly be.

1 DR. CHAMBERLAND: One other question, the
2 outcome measurements that you provided for this
3 randomized, four-arm trial of 200 did not include
4 postoperative blood loss in terms of rate, cc per
5 hour or volume measured in chest tubes at certain
6 intervals, and in the literature that was discussed
7 in great detail by the FDA that was an important
8 factor that emerged in some of these retrospective
9 case-control studies. Can you tell us any
10 information about not estimated blood loss but
11 actual measured postoperative loss in each of the
12 arms?

13 DR. GAN: I haven't looked at this study
14 for a little while. I know there was information
15 on chest tube drainage postoperatively. I just
16 cannot quote you the number, but I believe that it
17 was measured. But I think I did present to you the
18 intraoperative blood loss.

19 DR. CHAMBERLAND: It was mentioned
20 descriptively in the second study as no difference
21 in chest tube output, but obviously the big issue
22 with that second study is that it is 28 patients.
23 So, it is clear that we need more detailed
24 information. Certainly, FDA will need that as
25 well.

1 DR. GAM: Sure.

2 DR. NELSON: Question?

3 DR. PIERCE: Yes, Ross Pierce, FDA. I am
4 a little bit confused because we have been talking
5 about details of these studies and I thought the
6 question asked earlier was if the details of these
7 studies had been submitted to FDA; essentially, has
8 a final study report for any of these studies been
9 submitted to FDA, and I heard Dr. Weinstein say
10 that it would be appropriate for the sponsor to
11 comment on that, and there was some confusion among
12 the committee members as to who the sponsor was.
13 It was clarified that that was Biotime. We heard
14 Biotime speak but I just want to make sure I didn't
15 have an absence moment because I didn't hear the
16 question answered that the committee seemed to be
17 interested in as to whether the details of these
18 studies, including the raw data, in a final study
19 report had been submitted to FDA. So, I just
20 wanted that clarified for the record.

21 DR. BAKER: May Baker, Abbott
22 Laboratories. The study by Dr. Bennett-Guerrero
23 was an investigator-initiated study. We do not
24 have the raw data. That paper has been submitted
25 for publication. We don't have the manuscript and

1 the committee does not have the manuscript either,
2 but that is an investigator-initiated study. The
3 abstract of that study is available on the ASA
4 website.

5 DR. SIMON: So, the answer is no. Is that
6 right?

7 DR. HARVATH: The data has not been
8 submitted to FDA? Is that your answer?

9 DR. BAKER: The data has not been
10 submitted to FDA. Dr. Bennett-Guerrero is in
11 possession of the data.

12 DR. HARVATH: Thank you.

13 DR. NELSON: If there is nothing else
14 pressing, I would like to move on. Dr. Shaugnessy?

15 DR. SHAUGNESSY: For what it is worth, I
16 may be going into a lot of the questions that you
17 just asked concerning that second study.

18 Good afternoon. My name is Dr. Thomas
19 Shaugnessy, and I am an associate clinical
20 professor of anesthesia and perioperative care at
21 UCSF Medical Center in San Francisco.

22 [Slide]

23 I would like to discuss two topics with
24 you today that I feel would be of interest,
25 definitely of interest to the committee. The first

1 that I would like to discuss deals with a recently
2 published clinical study that deals with the exact
3 issues that you are having in question today.

4 Second, I am going to review some of our clinical
5 experience at UCSF in reference to the use of
6 Hextend as a substitute for albumin.

7 [Slide.]

8 The first study that I would like to
9 present, that I would like to refer to was recently
10 published in Anesthesiology in 2001. It is an
11 unsponsored, randomized, prospective clinical trial
12 that addresses the use of albumin compared with the
13 use of Hextend as a plasma-volume expander in the
14 perioperative period after cardiac surgery.

15 In an effort to limit the potential bias
16 that can be introduced by the patient population,
17 it was decided to eliminate from study those
18 patients who had re-do procedures, those patients
19 who were on anticoagulant therapy at the time as
20 well as those patients who had any renal or hepatic
21 dysfunction. I think we have gone through exactly
22 why some of those issues, in terms of patient
23 population characteristics, can impact on
24 retrospective studies.

25 In any case, the major outcome

1 measurements for this study were thromboelastogram
2 data which has been considered an excellent in vivo
3 method of monitoring the coagulation cascade and
4 the integrity of that cascade as well as monitoring
5 more clinical parameters such as perioperative
6 hemorrhage as monitored by chest-tube output for
7 this specific patient population. In addition,
8 blood-product utilization was also monitored.

9 [Slide.]

10 Twenty-eight patients were taken into
11 consideration for this study. They were randomized
12 into groups of fourteen. One group received
13 exclusively 5 percent albumin as the sole colloid
14 plasma-volume expander in the perioperative period.
15 The other group received Hextend for the same
16 purposes and it was done according to a certain
17 protocol with parameters for central-venous
18 pressure and blood pressure.

19 [Slide.]

20 These patients, as you can see in some of
21 these results, are relatively well-matched in terms
22 of age, sex, the amount of time spent on
23 cross-clamp as well as cardiac-bypass time and the
24 amount of colloids given, colloids transfused.

25 It should also be mentioned that they were

1 also the same in terms of preoperative hematocrit
2 as well as thromboelastogram characteristics.

3 [Slide.]

4 To make a long story short, essentially
5 this study showed no significant differences in
6 terms of intraoperatively or on postoperative Day 1
7 in terms of the pre- and postoperative hematocrits,
8 the thromboelastogram data, or the blood-product
9 utilization.

10 [Slide.]

11 I am going to go into a little bit of
12 detail in terms of what some of these graphics look
13 like for these various, albeit negative, studies.
14 As you can see, the preoperative hematocrits were
15 about the same in both study groups. The
16 preoperative hematocrit was about the same in both
17 study groups and the postoperative hematocrits were
18 slightly lower but comparable in both study arms.

19 [Slide.]

20 I think probably one of the most
21 compelling aspects of this negative study is in the
22 thromboelastogram data because the study was
23 sufficiently powered to detect relatively small
24 differences in thromboelastogram resolution. In
25 this particular example, the R times, which

1 represent the initial clot formation show that,
2 from the pre-bypass period to the post-bypass
3 period and all the way into the first postoperative
4 day, there is no real difference between the 5
5 percent albumin-treated and the Hextend-treated
6 patients in both study arms. Once again, there are
7 no significant differences.

8 Also, in terms of the K time which is the
9 monitor of the rate of clot formation; once again,
10 no significant differences in the post-bypass
11 period or the first postoperative day. However,
12 you can see a particular trend, in terms of an
13 upward trend, at the post-bypass period but it
14 tends to be the same in both the albumin group as
15 well as in the Hextend-treated patients.

16 This was felt to be partially due to the
17 fact of hemodilution which is probably an
18 under-accounted-for aspect in terms of
19 perioperative hemorrhage in a lot of patients but
20 hemodilution may account for the fact that the K
21 times have increased here. But they certainly do
22 return to normal by the first postoperative day.

23 Finally, the maximum amplitude, on the
24 next slide, which is a marker of the overall clot
25 strength as well as platelet function, that tends

1 to show that, once again, there are no significant
2 differences in the post-bypass period or the first
3 postoperative day for either study arm.

4 [Slide.]

5 In terms of a little more clinical marker
6 such as perioperative hemorrhage, that is monitored
7 by the chest-tube output for this particular
8 patient population. We are actually able to get
9 quantitative numbers in this particular patient
10 population about their perioperative hemorrhage.

11 For this group, what you can see is that,
12 for every stage of their recovery period, there are
13 no real differences in perioperative hemorrhage
14 between the 5 percent albumin patients as well as
15 the Hextend-treated patients.

16 [Slide.]

17 In terms of blood-product utilization,
18 this study was slightly underpowered to detect any
19 meaningful differences in blood-product
20 utilization. However, it should be noted that,
21 while 20 patients in the albumin-treated group
22 required red-cell transfusions, only eight units
23 were required in the Hextend-treated patients.

24 Also, it should be noted that, in this
25 study, there was no significant morbidity or

1 mortality associated with the use of either of
2 these agents in either study arm.

3 [Slide.]

4 In my role, and this is more an anecdotal
5 topic, this is the second point I would like to
6 make--in my roll as the Vice-Chairman of our
7 Pharmacy and Therapeutics Committee at UC, we
8 undertook the approval of Hextend in our formulary
9 for the use of supplementing our use of albumin
10 which, back in 1999, had reached the level of about
11 9,000 units a year.

12 We are an academic tertiary-care center so
13 we do a heck of a lot of liver work as well as
14 transplants. As you can see from the demographics
15 that we have, we spent most of our albumin on the
16 transplant population but we also have a relatively
17 significant portion being used in our cardiac
18 population as well as in our major spine surgery,
19 in our Orthopedics Department.

20 [Slide.]

21 We actually undertook a major initiative.
22 What we did is we place Hextend in our operating
23 room right next to the albumin and actually
24 promoted its use, through an educational effort,
25 basically bringing clinicians' awareness of the UHC

1 guidelines, University Hospital Consortium
2 guidelines, which state that hetastarch should be a
3 preferred plasma-volume expander in the
4 perioperative period--in most cases, that is a
5 first-line or second-line agent--as opposed to
6 albumin which tends to be reserved as a second-line
7 or third-line agent.

8 So, with that educational effort underway,
9 the Hextend being placed in the hospital formulary
10 and in the operating rooms, we actually then
11 ubiquitously placed a requisition form throughout
12 the operating rooms to just track our albumin use
13 over time.

14 What we found, at the end of a year of
15 doing this, is that, before our intervention, where
16 we were using 9,000 units a year, we actually
17 decreased our albumin use to the point of about
18 2,600 units of albumin a year. The difference
19 between those two, in terms of
20 plasma-volume-expander usage, was made up, for the
21 most part, with Hextend.

22 [Slide.]

23 This was a global, institutional change
24 for us. So it affected many different clinical
25 service lines all at the same time. When we looked

1 back at things, we looked at global clinical
2 markers to assess the impact of this change on our
3 clinical practice.

4 One example of this is the use of our
5 blood-product transfusions during those two years.
6 As you can see, in our operating rooms, we actually
7 noticed an increase in our case volume from 1999 to
8 2000, just a modest increase. But, actually, what
9 we found out was--when we looked at the amount of
10 the total blood products used in our OR, we
11 actually saw a slight decrease in the total amount
12 of blood products used.

13 Actually, when we teased out the amount of
14 packed red blood cells that were being used, we
15 found also a slight decrease in their red-cell
16 transfusions. Now, I am not going to hold this up
17 to scientific rigors or anything, but our
18 impression of it was that there was no real change
19 in our transfusion requirements because of this
20 change we made in terms of our colloid practices.

21 [Slide.]

22 In addition, when we looked at
23 perioperative morbidity and mortality types of
24 issues, we also found some surprising findings from
25 one year pre-intervention to post-intervention. In