

1 one situation, we found that our CT surgery
2 fast-track protocols were able to be employed a
3 little more frequently, from about 10 percent
4 before institution of this to about 30 percent
5 afterwards.

6 [Slide.]

7 In addition, our admissions in our ICU for
8 spine surgery tended to decrease from about 9
9 percent to about 3 percent. And, in addition, our
10 average length of stay in our ICU actually
11 decreased from about seven days down to five. Once
12 again, in essence, we found no real change in terms
13 of our perioperative outcomes for any clinical
14 marker that we could look at.

15 [Slide.]

16 So I think, in summary, it is becoming
17 increasingly apparent that Hextend is at least
18 probably clinically equivalent to albumin, at least
19 in terms of in vivo coagulation studies,
20 transfusion requirements as well as in
21 cardiac-surgery clinical outcomes.

22 I would like to thank the committee for
23 its time and answer any questions.

24 DR. NELSON: Thank you very much, Dr.
25 Shaughnessy.

1 We have a number of people that wanted to
2 present for Abbott Labs. One of the concerns I
3 have is that, if we have four more presentations,
4 we won't be able to get to a discussion. I wonder
5 if there are data that are different than what has
6 already been presented that is relevant to the
7 committee's charge.

8 How many people have to leave at 1:30? I
9 don't want to cut your--this is a public forum.
10 You are certainly welcome to testify. But if it is
11 too long, we may preclude looking at the issue.

12 DR. WANGLIN: Mr. Chairman, we have two
13 remaining presentations. I believe we can hit the
14 high points, and they do present additional data.

15 DR. NELSON: Okay. The first one is James
16 Wanglin.

17 DR. WANGLIN: I am James Wanglin.
18 Actually, Abbott Laboratories is being represented
19 by Dr. Lew Kaplan and by Dr. Moskowitz.

20 DR. KAPLAN: Thank you for the opportunity
21 to present. I am a trauma surgeon. I am an
22 intensivist. I am different from the
23 anesthesiologists that you have heard present
24 already and I am going to talk to you about trauma
25 surgery but I am going to give you a different

1 background.

2 This is about cardiac surgery. I actually
3 had cardiac last July. The only fluid that I got
4 for my bypass surgery was Hextend. My blood loss
5 was about 600 out of my chest tubes and I did just
6 fine. There is anecdote for you.

7 DR. NELSON: Obviously.

8 DR. KAPLAN: But I only had a 2 kilo
9 weight gain. They are terribly uncomfortable when
10 they come out, let me tell you.

11 Part of what you are going to hear today
12 is the physiologic background for identifying that
13 Hespan and Hextend are very different fluids. They
14 are markedly different in their characteristics and
15 that is part of what I want you to embrace. If I
16 can actually get the slides to come up. We are
17 threatening to have them come up.

18 I am at MCP Hahnemann University in
19 Philadelphia but if you need me later, you will
20 have to track me down at Yale because I am changing
21 my job.

22 How are we doing with the slides? Let me
23 start and we can go through a couple of these
24 things quickly. One of the things that is missing
25 from a lot of these studies is acid-base balance

1 and how you control acid-base balance has got to be
2 one of the most dry and boring topics, but it is
3 absolutely essential to evaluating the differences
4 between these two products.

5 When you look at what controls the pH of
6 pure water, you find that that pH is markedly
7 different depending on the temperature at which you
8 measure it. That process is essential to acid-base
9 balance, the process of water dissociation. That
10 is where all of your protons come from. That is
11 what controls the ultimate pH of your body.

12 All of your enzyme systems, including your
13 coagulation system which is a series of serine
14 proteases have a maximum rate at which they work
15 and they are pH-dependent. So how we control pH
16 and how we perturb it is just as important as which
17 fluid we use because it is related to the fluid.

18 You should remember that CO₂ combines with
19 water and makes carbonic acid. Carbonic acid
20 dissociates into a proton and bicarbonate. The
21 bicarb is handled by your kidney and your liver.
22 You also have a set of buffers in your blood stream
23 that are related to the proteins which are weak
24 acids. They exist either with or without a proton
25 attached to them.

1 They are principally negatively charged
2 most of which comes from albumin. The histidine
3 residues on albumin are what contributes this
4 negative charge. Lesser amounts come from
5 phosphate and a smaller amount from sulfate. This
6 negative charge is balanced by a positive charge
7 from things called strong ions. Strong ions
8 dissociate from their partners.

9 There are strong cations like sodium,
10 potassium, calcium and magnesium and there are
11 negative ones like phosphate and lactate and
12 sulfate. The net negative on the proteins is
13 balanced by the net positive from the strong ions.
14 The relative difference in these two charges
15 determines whether you dissociate water to generate
16 a proton or not.

17 The fluids that we use change the strong
18 ion difference component of your plasma.

19 Keep on going with the slides. We will
20 get up to where we are.

21 DR. NELSON: One of the issues, I think,
22 is that what the committee is asked to look at is
23 the label change with regard with to the
24 coagulation and bleeding issue. I realize--

25 DR. KAPLAN: This will be essential

1 understanding that because there is data--

2 DR. NELSON: If you could do it as quickly
3 as possible and particularly focus on what the
4 committee is--

5 DR. KAPLAN: It's coming. Keep on going.
6 One more.

7 [Slide.]

8 What we do with fluids is we frequently
9 give fluids that are rich in chloride. The body
10 does have a compensatory mechanism which reduces
11 phosphate and albumin and raises protons in order
12 to restore balance.

13 [Slide.]

14 Hyperchloremia is bad for you and there
15 are a lot of studies that show it. If you use
16 chloride-rich fluids in surgery, you can
17 predictably increase your chloride and induce an
18 acidosis. So what? Big deal.

19 [Slide.]

20 There has to be clinical relevance to it.
21 Here it is. I am going to take your blood and put
22 it in a test tube and I am going to deliberately
23 change the chloride concentration, raise it by 4
24 all the way up to 20 milliequivalants per liter. I
25 am going to do it with 3 percent saline so I don't

1 use a lot and dilute the clotting factors or normal
2 saline.

3 Because the 3 percent saline functions
4 like a colloid, I am going to give starch and
5 saline, starch in a balanced salt solution. Watch
6 what happens to pH. Predictably, decreases in the
7 3 percent group. Same thing for the normal saline
8 group. Plateaus in these two groups. It is not a
9 starch effect. It is a chloride effect.

10 [Slide.]

11 If we look at the strong ions, the
12 arbiters of change in pH, we can see that the
13 strong-ion difference decreases predictably in the
14 high-chloride groups, is relatively flat in the two
15 starch groups but something else unanticipated
16 happens here. You got unmeasured ions. These are
17 part of what is called the strong-ion gap which is
18 well beyond this talk, but the induction of a
19 strong-ion gap has been associated with increased
20 mortality in liver patients and in trauma patients.

21 [Slide.]

22 That is bizarre. All the fonts got
23 changed. This is looking at PT and PTT, gross
24 arbiters, because I don't have a TEG. In the 3
25 percent group and the normal saline group, when I

1 raised the chloride up to 20 milliequivalents above
2 where it started, predictable increases in
3 prothrombin time and partial thromboplastin time.
4 No change in the starches because they are given in
5 small quantities.

6 [Slide.]

7 This allowed me to ask some easy
8 questions. Can I use starch in a balanced salt
9 solution and have a clinical benefit, not a
10 theoretical one? The study that Dr. Haynes said
11 couldn't be ethically done is the one where you use
12 Hespan because we agree that there are bleeding
13 problems.

14 I am going to focus on the patients who
15 received starch in balanced salt solution well
16 above what everyone thinks will be a safe range.
17 More than 25 cc's per kilo body weight per hour.
18 Both of these studies are investigator-driven.
19 This was part of our QA process. They were not
20 funded.

21 Next slide. Keep on going. Keep on
22 going. I am going to tell you what this showed.

23 [Slide.]

24 Most of our patients were trauma patients,
25 lesser amounts with sepsis and a small amount, the

1 lowest monitored patients were just there for
2 postop fluid management. In this group, they
3 started off with a low pH with only Hextend
4 resuscitation. They cleared about 80 percent of
5 their lactate. They received almost 40 cc's per
6 kilo bodyweight over the first twenty-four hours,
7 well above what you would expect. Improvements in
8 pH.

9 For this group, sepsis patients, about
10 two-thirds of the lactate cleared consistent with
11 hyperlactatemia. Not much of a change in pH. Same
12 thing for the postop patients because they were
13 pretty normal.

14 [Slide.]

15 What you are missing here are the two
16 other groups of patients, but these are the trauma
17 patients. What you see here in pink is no change
18 in coagulation profile and increase in coags more
19 than 0.2 seconds in PT or PTT or a decrease. The
20 very patient population with hemorrhage, with holes
21 in tissues, with inflammatory activation, we would
22 expect a huge bolus of starch. If it was the
23 starch to have a problem, the coags got better.

24 Only in 25 percent of these patients were
25 on a massive transfusion protocol. The rest were

1 not. These patients that had an increase also had
2 their chloride concentrations go up. They had
3 brain injury and we could not get the neurosurgeons
4 to let go of the chloride.

5 You will see that, in these other two
6 groups, the major portions are no change in
7 coagulation, no change at all.

8 [Slide.]

9 This was that same study that Dr. Gan had
10 shown you.

11 [Slide.]

12 What you would have seen here is a giant
13 bar that says this group that had the high chloride
14 groups, the Hespan and normal saline, had a
15 hyperchloremic metabolic acidosis. This will be
16 flat where they received Hextend and LR. So there
17 is an important profile difference between these
18 two.

19 [Slide.]

20 There is a program called STORMACT,
21 Strategies to Reduce Military and Civilian
22 Transfusion. This was driven by the Military, by
23 Joint Special Operations Command that had a whole
24 host of other people that contributed. This came
25 out of the Resuscitation Research Conference in

1 Bethesda.

2 [Slide.]

3 The Military is limited to FDA-approved
4 plasma-volume expanders. You see the list here.
5 You have approved them.

6 [Slide.]

7 When we decided which fluid to use,
8 because the soldiers currently carry saline or LR,
9 they wanted less space, less weight, a repeatable
10 dosing for fluids. What we arrived at, in terms of
11 safety, was starch.

12 [Slide.]

13 We created this fluid algorithm that you
14 can't see terribly well but the central feature of
15 it is hydroxyethyl starch in a balanced salt
16 solution because of the trauma data that has been
17 shown here and the difference in coagulation times
18 that you get with hydroxyethyl starch in saline.
19 They would not agree to use that.

20 This fluid algorithm, those fluids, are
21 currently in use by our Special Forces people so
22 this has already been embraced.

23 [Slide.]

24 What this allowed us to do was to create
25 an algorithm for fluid resuscitation, transfusion

1 using things like hemoglobin-based oxygen carriers.

2 Factor VII, VIII for clotting.

3 Cytokine manipulation for antioxidants and
4 cell repair.

5 [Slide.]

6 But the central core is the fluid
7 resuscitation. The safety of this kind of a fluid,
8 in terms of coagulation, resuscitation and absence
9 of coagulopathy sets this apart from everything
10 else that you have heard and, thankfully, everyone
11 else presented that data that said starch and
12 saline is bad.

13 I would encourage you to think about this
14 as you review your warning indication, that the
15 warning is not molecule-specific but carrier
16 specific because there are valid clinical
17 difference between the two.

18 So thank you for bearing with the very
19 abnormal slides. I will be happy to take
20 questions.

21 DR. NELSON: Dr. Moskowitz is next. Would
22 it be possible for you to do it in five minutes?

23 DR. MOSKOWITZ: My name is David
24 Moskowitz. I am over at Englewood Hospital and
25 Medical Center over in New Jersey.

1 [Slide.]

2 What I wanted to start with, essentially
3 the first slide shows that, in 1994, the New Jersey
4 Institute for the Advancement of Bloodless Medicine
5 and Surgery was formed at Englewood Hospital. We
6 have become a very worldwide referral center for
7 blood-management cases. We perform about 225
8 noncardiac cases per year that require our services
9 and that are associated with major blood loss.

10 These cases, on average, have a
11 transfusion rate at our hospital of about 10
12 percent. These include prostates, hips, knees. It
13 is much higher in the general population.

14 [Slide.]

15 Just to show how effective it is, the
16 arrow is 1994. As you can see, there is a steady
17 decrease.

18 [Slide.]

19 We have had a 50 percent drop since the
20 Year 2000 in packed red-blood-cell units in the
21 total hospital based on our program. As a matter
22 of fact, the operating room uses less than 5
23 percent of the total hospital blood supply per year
24 in blood products. Most hospitals use somewhere
25 around 70 to 90 percent. Barring we don't do liver

1 surgery, it is still a significant number.

2 [Slide.]

3 In the Year 2000, this is where I came in,
4 we were granted the certificate of need to perform
5 cardiac surgery. It was based on that we can
6 create a model center for the research regarding
7 blood conservation and cardiac surgery. To date,
8 we have done 452 cardiac cases. That is not just
9 CABG or bypass and valve and a combined. It has to
10 do with aortic procedures, ascending, descending,
11 which are very high-risk cases.

12 [Slide.]

13 As you know, blood conservation requires a
14 bunch of techniques, not just one or two techniques
15 and not just one person involved. It is
16 multimodality and multidisciplinary. In addition
17 to the standard, what most places don't do is what
18 we do. We use on-site, lab-guided transfusion
19 therapy. That is the thromboelastogram we have
20 alluded to in addition to heparin concentration on
21 bypass. So we can rule out other causes of
22 bleeding that are often missed in these other
23 studies in addition to the standard lab tests. We
24 combine them altogether to come up with a plan.

25 We also perform acute normovolemic

1 hemodilution. I will show you in a second. And we
2 tolerate anemia.

3 [Slide.]

4 This is acute normovolemic hemodilution.
5 The patient comes to the operating room. We remove
6 a significant amount of blood. We store it next to
7 the patient. The patient tolerates the procedure
8 anemic but normovolemic. At the end of bypass, we
9 give them the blood back. Removing the blood
10 allows you to lose dilute blood and, in addition,
11 you also prevent that blood from being exposed to
12 the negative effects of bypass.

13 [Slide.]

14 Here is a case, a patient who underwent
15 spine surgery. Two-and-a-half liters of blood were
16 removed. The fluid of choice was hydroxyethyl
17 starch in a balanced salt solution or Hextend. The
18 patient did not bleed afterwards. This is a very
19 high-risk scoliotic surgery where just scraping the
20 spine can cause release of factors that can cause
21 bleeding. The patient didn't bleed, didn't require
22 transfusion of any blood product.

23 [Slide.]

24 So, in order to tolerate our maneuvers
25 during our cardiac surgery, you must be euvoletic

1 or normovolemic.

2 [Slide.]

3 That means you must have a normal
4 circulating blood volume in order for this to be
5 effective.

6 [Slide.]

7 What we use is we use colloids greater
8 than crystalloids. We use it for specific reasons.
9 I know this topic has come up today, why don't we
10 use more crystalloids. I think it is a very simple
11 answer. There is data out there that there is
12 probably better rheology with the colloids than the
13 crystalloids in the microcirculatory level and
14 delivering oxygen to the tissue, to the cellular
15 level.

16 In addition, there is less third-space
17 loss which is a common problem and that can
18 increase the distance oxygen must travel to the
19 tissue. Also, there may be some evidence that
20 increase in plasma viscosity may improve tissue
21 oxygenation. Therefore, by keeping the arterioles
22 more open, you can deliver oxygen better.

23 You also want to avoid crystalloid because
24 you need more to attain more normovolemia. You
25 create an iatrogenic-induced anemia which can also

1 lead to a coagulopathy which I think is a major
2 problem in cardiac surgery. This leads to
3 inappropriate use of blood products.

4 For all our cardiac cases, we use
5 synthetic hydroxyethyl starch in a balanced salt
6 solution. We feel it is safe. We don't feel there
7 is any increased risk of bleeding and we also think
8 it is lower cost than other colloids out there.

9 [Slide.]

10 Here is a paper that we presented in the
11 Canadian Journal of Anesthesia. This is cardiac
12 surgery. This is a gentleman, a Jehovah's Witness
13 patient who underwent removal of renal-cell
14 carcinoma that extended into his right atrium. The
15 incision goes from his sternum down to his pubis
16 bone. It is associated with a significant amount
17 of blood loss, up to at least 5 to 9 units per case
18 reported in the literature.

19 This patient, we used hydroxyethyl starch
20 in a balanced salt solution, 2 liters. We didn't
21 need any more because we also do acute normovolemic
22 hemodilution. The patient received no blood, no
23 blood products and left ten days after the
24 hospitalization.

25 [Slide.]

1 We have alluded to this study by Dr.
2 Bennett-Guerrero. It is an abstract. What we find
3 is that it corroborates with our clinical beliefs
4 that the hydroxyethyl starch in a balanced salt
5 solution is equivalent to albumin with respect to
6 blood products, percentage of patients being
7 transfused these blood products, and the
8 reexploration for bleeding while the hydroxyethyl
9 starch in normal saline has a higher incidence of
10 transfusion and the lactated Ringer's has a lower
11 incidence. We don't use solely lactated Ringer's
12 for the reasons I mentioned before.

13 [Slide.]

14 Let me just give you our data. I looked
15 at only CABG--valves and CABG valves. These are
16 the heart cases that have been reported in the
17 literature. We have done a total of 359 cases.
18 There is how they are split. Most of them are
19 bypass that require just grafting. Others are
20 valves and CABG valves.

21 The age is a very respectable 70 years of
22 age. They are elderly patients. The reoperation
23 rate; these people present for their second and
24 third heart operations or even one person who had
25 their fourth heart operation is 10 percent.

1 The Parsonnett score--that is a risk
2 score--it is an overall general score--is 17 which
3 is a moderate risk to this patient population. In
4 addition, the bypass time is two hours which is
5 very respectable.

6 [Slide.]

7 We use the hydroxyethyl starch in a
8 balanced salt solution for all the cases requiring
9 colloid. We use anywhere from 500 to 2 mls
10 intraoperatively and we keep using it
11 postoperatively. Coagulopathies; we rarely see
12 coagulopathies. This is because we have on-site
13 coagulation monitoring that includes not only the
14 thromboelastogram but also the heparin
15 concentration.

16 So if the surgeon sees that there is
17 bleeding, what we do is we go back, make sure there
18 is no residual heparin and we make sure that there
19 is no abnormality on the thromboelastogram. Most
20 of the time, these tests show us that it is
21 surgical or mechanical bleeding.

22 Our average test-tube drainage is only 429
23 mls in 24 hours. That is lower than most reported
24 studies. Only two patients have come back for
25 reexploration. One of them had a surgical cause,

1 so we have only seen one out of 359. There is a
2 very low transfusion rate. 10 percent have
3 received packed red-blood cells.

4 DR. NELSON: Could you summarize. Your
5 data are interesting, but we have seen it.
6 Otherwise, we are not going to be able to discuss
7 the question.

8 DR. MOSKOWITZ: Okay. The summary is
9 basically we are a blood-conservation institution
10 and we use hydroxyethyl starch in a balanced salt
11 solution without reservation.

12 We might as well go to the last slide.

13 [Slide.]

14 There is no significant relationship
15 between the amount of Hextend and chest-tube
16 drainage. The r-squared value is 0.02.

17 [Slide.]

18 Just to show the nation average versus
19 ours. We are much lower.

20 [Slide.]

21 So we use it, hydroxyethyl starch in a
22 balanced salt solution. It is our only colloid
23 that we use. No increased risk of bleeding. I
24 feel that you have to use transfusion-guided
25 therapy on site, meaning all the tests that I have

1 mentioned. We use in all cardiac and noncardiac
2 cases including patients who are Jehovah's
3 Witnesses where there is no blood bank and that
4 they are high risk. We use it without reservation
5 and we recently added it as our prime in our bypass
6 circuit.

7 DR. NELSON: Thank you. It is very
8 impressive. My secretary is a Jehovah's Witness
9 so, if she needs surgery, I will send her to you.

10 The last person, Keith Berman. Is he
11 here? If he is here, I wonder if you can limit
12 your remarks to under five minutes.

13 DR. BERMAN: Thank you very much for
14 inviting me. I wanted to speak about one subject,
15 but while he is preparing the slides, I think that
16 the comments I make reflect the comments of a
17 number of anesthesiologists that I have spoken to,
18 and surgeons. I think that one thing with regard
19 to these Hextend data--and, by the way, the one set
20 I don't know anything about is the UCSF series by
21 Dr. Shaughnessy. I have never seen that before.

22 In all the other studies I want to submit
23 that, as opposed to issues concerning patient
24 comparability and whether something is
25 statistically significant, in the other studies

1 presented by Dr. Gan, I want to suggest that the
2 study design, itself, is very seriously flawed in
3 several of those studies and raises some serious
4 questions.

5 These are the views of a number of
6 anesthesiologists that I have spoken with beginning
7 with the Phase III trial in which conventional
8 hydroxyethyl starch and saline were administered to
9 patients in volumes of up to 5 liters. In the
10 literature, you will find a rare reference to
11 anyone using more than 1,000 to 1,500 liters.

12 In the second study, I just want to
13 mention, involving the 47 patients randomized,
14 these were elderly, geriatric patients who are
15 already at risk for hyperkalemic acidosis. The
16 study design, I think it is worth noting, that
17 Hextend is essentially, in essence, very, very
18 similar to hetastarch--it is hetastarch in a base
19 of lactated Ringer's.

20 So, in clinical practice, it is very
21 common, if not standard practice for many surgeons
22 and anesthesiologists to take lactated Ringer's and
23 hang it with hetastarch for some of the very
24 reasons we have seen. It is a source of calcium.
25 It is lactated. It buffers. That is why the

1 lactated Ringer's was developed in the first place.

2 What Dr. Gan and others did in that
3 47-patient study was to take conventional
4 hetastarch, which is 0.9 percent saline, and
5 combine it with 0.9 percent saline and then they
6 compared it against Hextend and lactated Ringer's.

7 If you think for just a moment whether
8 that makes sense, I think the study design, itself,
9 essentially produces an answer that might be
10 desired. There is no calcium. There are no
11 electrolytes. There is no buffering in hetastarch
12 to begin with, so I am wondering if the study
13 should not have been 6 percent hetastarch with
14 lactated Ringer's versus Hextend and lactated
15 Ringer's.

16 DR. NELSON: Actually, I am not sure that
17 this was submitted officially to the FDA.

18 DR. BERMAN: No; this was just a--

19 DR. NELSON: So if you could proceed with
20 your--

21 DR. BERMAN: Okay.

22 [Slide.]

23 I think, with respect to the studies on
24 hetastarch, the retrospective studies, we looked at
25 just the economics of what might happen just in

1 terms of red-cell transfusions. As we know, there
2 are a number of agents, the so-called oxygen
3 therapeutics blood substitutes, whose primary
4 endpoint is surgical blood avoidance.

5 So avoiding red cells and other blood
6 components is a worthwhile part. What we did was
7 we just looked at the number of U.S. cardiac
8 surgery cases in 1999 from CDC sources.
9 Altogether, there are just a little over 500,000
10 adult cardiac surgeries in the U.S. between CABGs,
11 valves and other procedures.

12 It turns out that there are about 12
13 million allogeneic red cells in the U.S. and about
14 10 percent of them are devoted to cardiac surgery.
15 Although there have been estimates that are much
16 higher, we believe it is really closer to 10
17 percent, not 15 to 20 anymore, for a bunch of
18 reasons that we could talk about outside the
19 meeting.

20 [Slide.]

21 There is a company called the Marketing
22 Research Bureau which specializes in blood products
23 and plasma-volume expanders and coagulation factors
24 some of you may have heard of. In late August,
25 1998, a survey was conducted of 44 cardiac

1 anesthesiologists across the country. It was
2 determined that about half, taking these overall
3 results, used hetastarch intraoperatively and/or in
4 the cardiopulmonary bypass priming solution.

5 It became more popular through the '90's
6 for the reasons that some of the speakers talked
7 about. It was cheaper and particularly there were
8 problems with albumin shortages in the early '90s
9 that really drove a lot of hospitals in this
10 direction.

11 [Slide.]

12 Looking at the Mayo Clinic findings which
13 we feel very compelling because of some of the
14 points that Dr. Haynes made earlier, it was simply
15 a crossover trial, essentially, single surgeon,
16 about 200-plus patients before, 200-plus patients
17 after. They were well-matched.

18 I want to suggest that there was a small
19 difference in degree of hypothermia of about 3
20 degrees, but the literature has several references
21 that suggest small hypothermic differences make no
22 real difference in transfusions. Otherwise, it is
23 really hard to see any difference between these
24 patients, single surgeon. It is as pretty as they
25 come.

1 Dr. Greg Nuttall, who is I think one of
2 the most highly regarded people in this field,
3 would defend that paper very vigorously in this
4 meeting. The findings from Mayo Clinic were that,
5 on average, there was about half a unit of mean
6 red-cell avoidance per case on the cases where they
7 stopped using hetastarch and reverted to albumin
8 for volume replacement.

9 Using the assumption from the '88 report
10 that about half of the cases are currently infused
11 intraoperatively with hetastarch and the 500,000
12 adult surgeries, it suggests that there are well
13 over 100,000 units of blood that are transfused
14 today that might not be transfused if this labeling
15 were changed to reflect these findings.

16 [Slide.]

17 They also looked at platelet avoidance. I
18 guess now this committee is not charged with
19 looking at economics but, just in terms of
20 avoidance of donor blood and blood components, that
21 is a worthwhile thing. That works out to a little
22 over 300,000 platelet concentrate units when you
23 use the Mayo clinic data.

24 DR. NELSON: The committee actually has
25 your handout so I wonder if you could summarize,

1 because I do want to get to the questions.
2 Otherwise, the meeting will not be productive.

3 DR. BERMAN: Okay. I tell you what. That
4 is essentially the same kind presentation that was
5 done with that data. The only last thing I need to
6 do is to present the very last slide

7 [Slide.]

8 This is a statement that Dr. Curtis
9 Tribble, who could not be here today--

10 DR. NELSON: The committee also has that.
11 I think everybody has read it.

12 DR. BERMAN: Okay. That's fine.

13 DR. NELSON: Thank you.

14 DR. BERMAN: Thank you.

15 **Questions, Discussion and Recommendation**

16 DR. NELSON: Could we have the questions
17 for the committee again? Does everybody have the
18 questions? Toby?

19 DR. SIMON: It would appear that, with the
20 hydroxyethyl-starch issue, or the
21 hydroxyethyl-ethyl-starch-in-saline issue, that we
22 have already had these--I don't know if it is a
23 sole manufacturer but the manufacturer present a
24 warning that has been submitted to FDA. So I guess
25 the answer to No. 1 would be yes and, hopefully,

1 taken care of with regard to that product.

2 I guess there is going to be quite a bit
3 of confusion in the committee in terms of how this
4 relates to the other product which is in lactated
5 Ringer's which wasn't analyzed for the committee in
6 the same way, but it would appear that there is not
7 the data there to support the bleeding risk. It is
8 the same molecule, but I guess we are being told
9 that the chloride makes a difference.

10 I think it is difficult to answer that
11 question the way it has been presented here.

12 DR. NELSON: Jim?

13 DR. ALLEN: I concur. I guess my
14 suggestion would be to split the question with my
15 answer being urge the FDA to follow Braun's
16 suggested wording change which I, just on quick
17 review, find to be quite acceptable given the data
18 we have heard and I would, at this point, recommend
19 no change in labeling for the other product.

20 DR. NELSON: Mary?

21 DR. CHAMBERLAND: My assessment of the
22 morning and afternoon is that, instead of really
23 being asked to vote on Question 1, my
24 recommendation would be to ask FDA to consider the
25 labeling change that had been presented to it by

1 Braun and to consider and review it.

2 I feel that there were insufficient data
3 provided to the committee and, by extension, I
4 would assume, to FDA, for me to adequately evaluate
5 the need for a warning statement labeling on the
6 other version of this product.

7 Also, the information that I have heard
8 today makes me wonder if there are other issues
9 besides excessive bleeding that need to be
10 considered in the FDA evaluation of the need for
11 warning labels. We have heard some data about
12 electrolyte issues and renal issues, et cetera, so
13 I would put that forward as also something that
14 needs to be considered.

15 In regard to Question 2 and the need for
16 additional trials to extend; I think we have heard
17 testimony that it would probably be very difficult
18 from a human-subjects point of view and the current
19 sentiment among practicing physicians to conduct a
20 clinical trial for hetastarch--for Hespan, whatever
21 it is--but for Hextend, I think, before making a
22 decision about the need for randomized trials, et
23 cetera, again, I would go back and ask the FDA to
24 review the data available or that can be presented
25 to them by the sponsor because, clearly, some of

1 the data is not preliminary. It hasn't been
2 published. It couldn't be shared in this public
3 session.

4 So I find myself actually really at a loss
5 to be able to address these questions, at least the
6 way they have been presented and, instead, am
7 falling onto some other recommendations.

8 DR. NELSON: I have the same thing. The
9 numbers, if you look at the error bars, they are
10 rather wide and whether or not the data are
11 adequate at this point--I think they would need
12 careful review, statistical analysis and
13 comparability data which we didn't have, although
14 it does look like the two products are different.
15 But that is not what we were asked.

16 So I guess we need some advice from the
17 FDA. One thing is we could modify the first
18 question to say hetastarch, is the evidence for
19 excessive bleeding in cardiac surgery patients who
20 receive 6 percent hetastarch in saline, or
21 Hexa--whatever it is--strong enough to warrant a
22 warning label.

23 Mark?

24 DR. WEINSTEIN: We would appreciate advice
25 on that.

1 DR. NELSON: So why don't we change that
2 first--I think it is fairly clear from a number of
3 studies, even though they are not randomized
4 prospective trials, in the one trial that was a
5 retrospective study that didn't seem to show a
6 difference, there were enough differences in the
7 patients that it looked like that group was exposed
8 less to the pump and other things.

9 DR. HOLLINGER: And the company has
10 agreed.

11 DR. NELSON: And the company has agreed,
12 so I think we should support this. So can we make
13 that change, then? Where is Dr. Landow?

14 DR. LEW: I just wanted to give more
15 opportunity or expand FDA's opportunity to decide
16 on the exact wording of the labeling because, even
17 though the company nicely proactively suggesting
18 some changes, they did mention in their proposal to
19 add on, "However, the risk of bleeding diminishes
20 rapidly." I didn't see a whole lot of data that
21 addressed that, so let's leave it open for FDA to
22 decide what the wording should be but definitely
23 the warning should be there.

24 DR. NELSON: I think that the FDA will
25 decide on the wording in conjunction, perhaps, with

1 advice from the company. But, in fact, it is hard
2 for a committee to do a warning label. But I think
3 what we are supposed to vote on is the principle,
4 should there be one.

5 So I would like to vote on this while we
6 still have enough people here. We will change
7 the--

8 DR. DiMICHELE: Can I just make one
9 comment? I'm sorry. Could we go over the wording
10 for this because I think we are being asked two
11 things; do we agree that the Hespan people should
12 submit with their own concerns--with their own data
13 and their own concerns, submit this labeling change
14 to the FDA.

15 But then, the way the question stands is
16 do we think that there is enough evidence. I think
17 that I might not be able to vote in that regard if
18 the question remains the way it is stated because I
19 think, in both circumstances, I am not sure we have
20 enough data to comment on that particular
21 statement, at least I don't.

22 So, if the question remains the same, then
23 I may have to vote differently. That's all.

24 DR. NELSON: You mean is there enough
25 evidence.

1 DR. DiMICHELE: Is there enough evidence
2 is, I think, a very important--the question says,
3 is there enough evidence.

4 DR. HOLLINGER: Can you rephrase, then,
5 Question 1 for the vote?

6 DR. NELSON: My take on it is is the
7 evidence for excessive bleeding in cardiac-surgery
8 patients who receive 6 percent hetastarch in normal
9 saline strong enough to warrant a warning statement
10 in the hetastarch labeling. If the committee
11 agrees. Do you want to vote on that change? Does
12 everybody agree with that? How many agree?

13 [Show of hands.]

14 DR. NELSON: How many disagree? You may
15 vote no, but--okay; so we have changed the question
16 slightly. Let's vote on the question, unless there
17 are other comments. We voted first on the change
18 in the wording. Now we are voting on the change in
19 the question.

20 Linda, do you want a hand vote or do you
21 want to call people or what?

22 DR. SMALLWOOD: I wanted to read into the
23 record the change in the question; is the evidence
24 for excessive bleeding in cardiac-surgery patients
25 who receive 6 percent hetastarch in normal saline

1 strong enough to warrant a warning statement in the
2 hetastarch labeling?

3 DR. ALLEN: That would be hetastarch in
4 saline labeling.

5 DR. NELSON: Yes.

6 DR. SMALLWOOD: I want to be clear. The
7 statement that Dr. Allen made--were you asking a
8 question, or were you changing what I read.

9 DR. NELSON: No, no. He was just
10 clarifying.

11 DR. SMALLWOOD: Are you ready?

12 DR. HOLLINGER: But he did make that
13 change from what you read, though. He made sure
14 that it said 6 percent hetastarch in normal saline
15 and then, at the bottom part, in the hetastarch in
16 normal saline labeling.

17 DR. NELSON: Or we could just say in the
18 product labeling.

19 DR. HOLLINGER: In that product's
20 labeling.

21 DR. NELSON: Right.

22 DR. SMALLWOOD: I am going to try again;
23 is the evidence for excessive bleeding in
24 cardiac-surgery patients who receive 6 percent
25 hetastarch in normal saline strong enough to

1 warrant a warning statement in that product's
2 labeling?

3 DR. NELSON: Yes.

4 DR. SMALLWOOD: I am going to have to do
5 this by roll call, quickly. Dr. Allen.

6 DR. ALLEN: Yes.

7 DR. SMALLWOOD: Dr. Chamberland.

8 DR. CHAMBERLAND: Abstain.

9 DR. SMALLWOOD: Dr. DiMichele.

10 DR. DiMICHELE: Abstain.

11 DR. SMALLWOOD: Dr. Lew.

12 DR. LEW: Yes.

13 DR. SMALLWOOD: Dr. McGee.

14 DR. McGEE: Yes.

15 DR. SMALLWOOD: Mr. Rice.

16 MR. RICE: Yes.

17 DR. SMALLWOOD: Dr. Fallat.

18 DR. FALLAT: Yes.

19 DR. SMALLWOOD: Dr. Harvath.

20 DR. HARVATH: Yes.

21 DR. SMALLWOOD: Dr. Hollinger?

22 DR. HOLLINGER: Yes.

23 DR. SMALLWOOD: Dr. Nelson?

24 DR. NELSON: Yes.

25 DR. SMALLWOOD: Dr. Simon, do you agree?

1 DR. SIMON: Agree with the yes votes.

2 DR. SMALLWOOD: There were eight yes votes
3 and two abstentions.

4 DR. NELSON: So that makes the second
5 question moot in the sense that, if we are talking
6 about this product. The other issue is does the
7 FDA want us to vote on anything or say anything
8 about the other product, the Hextend, because,
9 first of all, we weren't given--I mean, we were
10 given data but it was sort of incomplete and not
11 formally reviewed.

12 DR. WEINSTEIN: I think, actually, it
13 would be valuable if we could have some comments on
14 what we heard today regarding the Hextend if you
15 would like to.

16 DR. NELSON: Blaine?

17 DR. HOLLINGER: I think, for the record,
18 at least as I view it, there are definite
19 differences between these two products as we see it
20 with coagulation and, perhaps, even effects on
21 changes in electrolytes and pH which may be related
22 to the carrier rather than to the hetastarch.

23 I think that there probably is less
24 bleeding. But I think that there does need to be
25 additional information that has been brought up

1 here in regards to at least one of the larger
2 trials as regards to pump time and cross-clamp time
3 and things like this which need to be looked at.

4 But I do not think it warrants any changing in the
5 labeling of that product at the present time.

6 We may come to a different conclusion
7 later, but I did not see any data that seemed to
8 suggest to me that there was an issue regarding
9 bleeding or coagulation problems with this product.

10 DR. NELSON: I think so. There were some
11 trends in people perhaps that received larger
12 amounts so that it would be good if the data from
13 these trials were submitted to the FDA for review.
14 I think the FDA might consider that given the fact
15 that in one related product we are recommending the
16 label change.

17 DR. WEINSTEIN: Do you think the FDA
18 should require that this information be submitted
19 for the labeling change?

20 DR. NELSON: Possibly. We have heard one
21 opinion at least that it was the hetastarch
22 molecule-coating platelets that was the problem.
23 But we have also seen some comparative trials that
24 suggest that there were real differences.

25 DR. HARVATH: I believe that if any

1 statements are made in terms of superiority claims
2 of a product or added benefits of a product that
3 any such data must be submitted to the FDA before
4 being allowed to make such claims. I would trust
5 the FDA's critical review of that data to determine
6 whether any such statements could be used in any
7 labeling.

8 DR. NELSON: I would agree.

9 DR. HARVATH: Or marketing.

10 DR. CHAMBERLAND: I think part of this has
11 to fall back to the FDA because, as I understood it
12 from FDA's previous comments, these were licensed
13 as "comparable products." If a labeling change is
14 made in one, then it seems like they are not
15 comparable products. So I think the FDA needs to
16 sort of consider the implications of that because I
17 agree with Dr. Harvath that, de facto, one sort of
18 has a superiority claim associated with it, or
19 inferred, if you will.

20 So I agree. I think that FDA should
21 require the sponsor to bring forth additional data
22 to evaluate the Hextend product.

23 DR. FALLAT: I would take Question No. 2
24 as being applicable to Hextend and, therefore, vote
25 that we vote yes on Question No. 2 that there be

1 additional studies with regards to that product.

2 DR. LEW: I don't know about that only
3 because I don't know what excellent studies may
4 have already been done or are in press or about to
5 be written up. So I think we should allow the
6 company the opportunity to bring forward all the
7 studies that have been done and, if that suffices
8 to FDA, fine. But, if not, then we could revisit
9 this.

10 DR. FALLAT: That's what I meant. I
11 wasn't saying, necessarily, to exclude the studies
12 that have already been presented.

13 DR. NELSON: The FDA would--we are
14 advisory. We are not writing labels. Thank god.
15 But the FDA would have the option in both products,
16 if they wanted to, to say a product containing
17 hetastarch has been shown, in some studies, to be
18 associated in some patients with excess bleeding,
19 without--there are ways to nuance and wordsmith
20 this, I think.

21 But I agree. I don't think, by
22 implication of putting in the saline, that we are
23 saying that we agree based on the data presented
24 that the hetastarch in lactate is significantly
25 different or safe or free of--it is just that the

1 data we were submitted is somewhat convincing that
2 there is some problem with the saline solution.

3 DR. ALLEN: I think where we are now, we
4 are in the middle, really, of procedural questions
5 and issues. It is my understanding that the FDA
6 can negotiate with Braun and come up with revised
7 labeling but that any other already licensed
8 product is off the table for consideration unless
9 the FDA has evidence sufficient to go back to a
10 company with a licensed product and require them to
11 produce additional information.

12 This committee has not indicated to the
13 FDA in any way that there is sufficient evidence
14 that the committee thinks that the FDA should do
15 that. So, unless the licenses chooses to bring
16 forth additional information and submit it and
17 request consideration of a labeling change, my
18 guess is that there will not be further action on
19 this unless the company--

20 DR. NELSON: The one way that they might
21 is if they said, "Our product is superior to the
22 other."

23 DR. ALLEN: Yes; and that is the company
24 initiating it. The FDA, similarly, could initiate
25 if they think that there is a problem the other

1 way, but the committee hasn't supported them in
2 that.

3 DR. NELSON: Exactly. I agree.

4 DR. ALLEN: I guess I would like to hear
5 if the FDA feels that they would like some
6 direction on that to please give us guidance now.

7 DR. HOLLINGER: I don't think I heard
8 anything by either of the sponsors here today that
9 said one product was superior to the other in
10 regards to its oncotic properties. We heard a lot
11 of things in regards to its effect maybe on
12 bleeding or other things, but I don't think I saw
13 any data or remember reading much data that
14 suggested that one was any better than the other in
15 terms of what it is really intended to be used for.

16 I may be wrong. Does anybody else
17 remember anything about that?

18 DR. NELSON: I think we are still
19 discussing warning labels which has to do with
20 bleeding, not with oncotic problems.

21 DR. HOLLINGER: Yes; but someone
22 mentioned, as a superior product. I think it is
23 important to say that--

24 DR. SIMON: The claim for superiority was
25 based on lower side effects.

1 DR. NELSON: It was based on less side
2 effects.

3 DR. HOLLINGER: Okay. But I think it is
4 important to point out that they, at least for what
5 they do, they seem to be--

6 DR. NELSON: Exactly; for the indication,
7 there is no evidence that they are--

8 MR. RICE: I think there was clear
9 implication, even though they didn't necessarily
10 say it outright. I think because we have already
11 made a decision on one hetastarch product that I
12 think that now they either have to--the Hextend has
13 to prove their position or not at this point if
14 they are going to consider these two products
15 comparable.

16 Making a new statement on a warning label
17 for the hetastarch in saline, while the other
18 product is a comparably approved product, kind of a
19 Pandora's box has been opened to suggest that it is
20 better, it is different, and that there is a
21 question that I would think the FDA would want to
22 have answered and then, at that point, decide
23 whether they are going to recommend a change in
24 their labeling.

25 DR. CHAMBERLAND: I guess part of it goes

1 back to comments that Dr. Lew made earlier on. I
2 guess it is just a point of information for the
3 committee that we don't know. I think you have
4 alluded to the fact that, at least for antibiotics,
5 you can have "comparable antibiotics," although you
6 can have subsequently additional information
7 provided about adverse events about an antibiotic
8 that is considered comparable.

9 So I don't know whether there is a direct
10 applicability to this particular situation or not
11 that you can have comparable product but one having
12 a warning label about the potential for adverse
13 events. So I think that is what we are struggling
14 with. We don't really know under what sort of
15 regulatory constraints you are under.

16 DR. LEW: If I can respond to that,
17 although I agree that that is one issue, I do feel
18 that we should make a motion to support FDA to ask
19 the other company to submit some supporting data
20 only because--I think Donna brought out some good
21 points. There was a trend, even with the Hextend,
22 that there might be some platelet problems and
23 there was the von Willebrand's factor that was much
24 lower and just other little subtle things.

25 So there may be data out there that the

1 company can just get and bring forward and that is
2 good enough. But I would like to know, myself, and
3 I think it would be worthwhile to give FDA the
4 opportunity to go get that data.

5 DR. NELSON: I think the FDA would look at
6 the data that is submitted to them and if the
7 company decides not to submit the data, then the
8 hetastarch--our recommendation is with regard to
9 hetastarch in saline because that is the main part
10 of the detailed data that we were originally
11 presented with.

12 DR. LEW: I thought I heard FDA say they
13 were asking us saying we can ask the FDA, we can
14 recommend, that Abbott or Biotime submit data and
15 that, if we recommend that, they can ask.

16 DR. NELSON: Do you want us to vote on
17 that, or just the discussion is good enough?

18 DR. WEINSTEIN: I think the discussion is
19 probably good enough here. You have, obviously,
20 raised the issues here that we are going to have to
21 wrestle with, the idea that these products were
22 originally approved as being comparable both as far
23 as safety and efficacy goes and now we are saying
24 that the 6 percent hetastarch saline will have this
25 warning statement on it that will differentiate it,

1 but we have heard today that there are potentially
2 differences in efficacy, as I understand it.

3 Are those legitimate labeling claims?
4 That kind of information has to be submitted to the
5 FDA for application to the label.

6 DR. HOLLINGER: But, Mark, if you would,
7 please. This all came about because there were
8 several reports in the literature that suggested
9 that Hespan had some bleeding problems. And then
10 you send a letter off to the company I think
11 regarding something about that. I think it came
12 through you back in July or something like that.

13 I guess the real question is have there
14 been similar kind of reports regarding Hextend.

15 DR. WEINSTEIN: To the best of my
16 knowledge, no.

17 DR. HOLLINGER: I think that is important
18 because that is what usually generates these
19 warning labels. The warning label usually comes
20 because of adverse events that are reported, either
21 to the FDA or through some other--either to the
22 sponsor or to the FDA.

23 DR. WEINSTEIN: Right.

24 DR. HOLLINGER: So without any
25 adverse-event reports, and so on, then it makes it

1 difficult, I think, to demand that some warnings be
2 applied to this. I think when they come, then that
3 is another issue.

4 DR. WEINSTEIN: We will have to examine
5 the MedWatch database.

6 DR. KOCHMAN: These were papers published
7 over a prolonged period of time for a product that
8 has been approved for a long time. These did not
9 come in as MedWatch reports.


10 DR. NELSON: Hopefully, everybody can
11 catch their plane or train or automobile or
12 whatever. So thanks. Thanks, again. We will see
13 you in September.

14 [Whereupon, at 1:55 p.m., the meeting was
15 adjourned.]

16

C E R T I F I C A T E

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



ALICE TOIGO