

1 These represent data accumulated
2 over 20 to 30 years of inoculating tissues
3 from human patients with Creutzfeldt-Jakob
4 Disease into primates, which are far and
5 away the most sensitive and susceptible
6 bioassay animal for human tissues. Most of
7 this data come from inoculation of squirrel
8 monkeys. Some of it comes from inoculation
9 of chimpanzees. They both have rates of
10 transmission when inoculated with brain
11 tissue of something like 95 percent so they
12 are an extremely sensitive host.

13 What you see is that brain and eye
14 contain infectivity more often, the brain,
15 as I say, 95 percent, the eye a lower
16 percentage but still very high. You will
17 see the numbers in a bit.

18 Dura mater and pituitary gland in
19 fact were not inoculated. I have included
20 them in the slide simply because we know
21 they are infectious in humans from the fact
22 that they are a transmitted disease in

1 humans. Spinal cord, spinal fluid.

2 But then a variety of peripheral
3 organs are also infectious in a small
4 proportion of patients that were tested,
5 lung, liver, kidney, spleen, lymph nodes.
6 Our experience in primates indicates that
7 human blood is not infectious but I think it
8 is arguable in view of the established fact
9 that blood is infectious in a number of
10 experimental models, including rodents,
11 sheep, and monkeys.

12 The muscles which were tested that
13 had no infectivity were heart, skeletal
14 muscle, adipose tissue, testis and prostate,
15 and none of the excretions or secretions
16 yielded infectious material.

17 Now, as I say, that is a pretty
18 generalized slide but when I show you the
19 numbers on which this data are based you
20 will see that this is perhaps a more
21 optimistic judgment than might be made.

22 Brain was inoculated into 259

1 patients. This data, by the way, you may
2 say well, why don't you give us some titers?
3 We would all be interested to know what the
4 titer of spleen is in a human being compared
5 to brain.

6 This alone represents experiments
7 that probably consumed 1,000 squirrel
8 monkeys and it is just not done, especially
9 today. So what data there is is likely to
10 be the only data that there ever will be in
11 primates. Whether humanized transgenic mice
12 turn out to be as good a bioassay as
13 primates remains to be seen. On the other
14 hand humanized transgenic mice if they
15 over-express may actually be unrealistic.
16 You may get, for example, a transmission and
17 an over-expressing humanized mouse that has
18 no basis in reality at all. So beware of
19 transgenic bioassay animals until it is
20 established that they represent realistic
21 results.

22 You can see that most of the other

1 tissues with the exception of liver, kidney,
2 and spleen were not inoculated into or they
3 did not come from very many patients. These
4 denominators represent the donors, that is,
5 the number of humans that were tested, not
6 the number of animals that came down.

7 So lung, for example, four humans
8 were tested, two of them were positive, but
9 that involved somewhere between 8 and 12
10 monkeys. We did not achieve transmission
11 from any of the other tissues shown, marrow,
12 whole blood, leukocyte, serum, the muscles
13 that were shown on the previous one, but,
14 you see, adipose tissue was only inoculated
15 from one patient, gingiva and intestine from
16 a single patient.

17 So you cannot really be on the
18 basis of this data in any way confident that
19 infectivity would not be present in
20 peripheral tissues were 10, 15, or, better
21 yet, 100 patients used for bioassay
22 experiments.

1 As I say, the data is very meager
2 with respect to peripheral tissues. Such as
3 it is it's primarily negative but I wouldn't
4 necessarily go home thinking that we have
5 shown that there is no infectivity, for
6 example, in peripheral nerve. Inoculated
7 five patients, that's not bad. No
8 transmissions. What we can say from this
9 for sure is that if infectivity does exist
10 in peripheral tissues it exists at very low
11 levels. Otherwise we would have a
12 transmission every time we inoculated a
13 tissue.

14 That really is all we know from
15 primate experiments about infectivity in
16 human tissues. I did want to show you one
17 other slide, however, which is a very
18 interesting slide because it illustrates the
19 fact that these are all patients with
20 Creutzfeldt-Jakob disease on the left. The
21 species inoculated, spider monkeys, squirrel
22 monkeys, chimpanzees, all very susceptible

1 hosts, all transmitted in short order when
2 the brain was inoculated by the intra-
3 cerebral route. But the incubation periods
4 following peripheral routes of infection
5 were typically longer, sometimes much
6 longer, and sometimes apparently long enough
7 so that a transmission never occurred and
8 these were animals that were observed for up
9 to ten to fifteen years.

10 Point: When you inoculate
11 material directly into the brain you will
12 have transmissions far more often than when
13 you give the same material by a peripheral
14 route of infection.

15 So even when you have tissue that
16 is infectious it is not a guaranteed
17 transmissible tissue and most products that
18 are used by humans are not inoculated
19 directly into the brain.

20 Now we go to iatrogenic disease.

21 DR. BELAY: May I ask a question?

22 DR. BOLTON: Paul, would you like

1 to entertain some questions on this part
2 first?

3 DR. BROWN: This is so quick I
4 think it will --

5 DR. BOLTON: You want to move on?
6 We'll hold them then.

7 DR. BELAY: So what are the
8 consequences of infectivity in human
9 tissues? Well, here they are. Growth
10 hormone has accounted for almost 160 cases
11 of CJD worldwide, dura mater has accounted
12 for what is probably now about 90 cases of
13 CJD worldwide, and there are, as you know,
14 very rare and now getting increasingly old
15 cases of cross-contamination of instruments
16 at corneas in which transmission has
17 occurred.

18 When you break this down country
19 by country it looks like this. So Japan is
20 the overwhelming champion of dura mater
21 graft transmissions. France is a comparable
22 champion of growth hormone transmissions.

1 And the US and the United Kingdom together
2 have had about 60-odd transmissions between
3 us.

4 The other sources of iatrogenic
5 disease, cross-contamination, surgical
6 instruments, corneal transplants,
7 gonadotropin in Australia, are really
8 trivial compared with these three major
9 sources and these three major sources will
10 not have escaped your attention that they
11 are all from tissues developmentally or in
12 close proximity to the brain.

13 You may want to ask why Japan has
14 such an overwhelming number of dura mater
15 graft transmissions and why France should
16 have had such a large number of growth
17 hormone transmissions. We don't and never
18 will know the answer with confidence to
19 either of those questions but Japan probably
20 did not import a greater proportion of
21 contaminated dura mater than any other
22 country. What it did do was import enormous

1 numbers of dura mater grafts relative to
2 other countries.

3 If you use the estimated
4 denominator for risk of iatrogenic CJD from
5 Japan you get a risk that is something like
6 0.03. So compared with growth hormone,
7 which, for example, in France is now running
8 along at the rate of about one in 18
9 recipients, you can see that even with dura
10 mater, pooled as it was, inadequately
11 sterilized as it wasn't, it has not been
12 relative to the number of dura mater grafts
13 used a very florid source of iatrogenic
14 disease.

15 So I think the answer to Japan
16 probably is the fact that the Japanese used
17 20,000 dura mater grafts a year in its
18 heyday and that represents a multiple. We
19 don't know what multiple, but a multiple,
20 probably 10 to 20 times more frequently used
21 in Japan than elsewhere.

22 With respect to growth hormone,

1 that is a very interesting story, I think,
2 and not to bore you with the reasons for the
3 conclusion it is likely that France ran into
4 trouble from a combination of difficulties.

5 My reading of the French
6 experience is that their primary problem was
7 the fact that in France autopsies of the
8 brain typically were only done on patients
9 with neurologic disease; that is, unlike the
10 US where a complete autopsy is specified and
11 you do the brain and you do a complete
12 autopsy in someone who has died of a
13 myocardial infarction in France this was not
14 typical.

15 In France when you had a
16 neurologic disease you often had the brain
17 examined. If you didn't die from a
18 neurologic disease you didn't even if an
19 autopsy was done. It didn't include the
20 brain.

21 The consequence of that is that
22 fully one-third of brains and brain

1 autopsies came from hospitals with large
2 neurologic services, which is a huge bias
3 because the pituitaries were only able to be
4 removed, obviously, from patients who had
5 had autopsies of the brain. So one-third of
6 the autopsies in France came from hospitals
7 that were primarily neurology hospitals.
8 That's bad. It was obviously a very
9 wonderful source for pituitaries, the only
10 source that was available, but in retrospect
11 a bad idea.

12 A second element of the French
13 story is that every one of the patients in
14 France so far has shared a treatment period
15 that is relatively constricted. Unlike the
16 US and the United Kingdom where there has
17 been a random incidence of CJD starting from
18 about 1967 all the way up through 1977 in
19 France all of the cases of CJD shared a
20 window of treatment between the beginning of
21 1984 and the middle of 1985, a period of 18
22 months.

1 So it appears that this large
2 ever-expanding number of autopsies that were
3 done and pituitaries that were collected as
4 growth hormone use became more prevalent
5 combined with a rather bad, risky source
6 combined with what is probably either both
7 bad luck in terms of having a few patients
8 with CJD in the pot, so to speak, and
9 probable massive disregard of cross-
10 contamination during that period at some
11 point.

12 In France, as elsewhere, the pools
13 of pituitaries varied from several hundred
14 to 10- to 20,000 and in the period at issue
15 here in the mid and early 1980s batch pools
16 typically ran to at least 10,000. So there
17 was an opportunity for a greater dispersion
18 of contamination.

19 The final issue is that the method
20 of processing, and I haven't got the details
21 on this, the final chromatographic step for
22 the purification of growth hormone gave you

1 the opportunity to take either a large
2 number of fractions or a smaller number of
3 fractions and although I've never seen hard
4 data to support the contention Dr. Parlow,
5 who in this country made the growth hormone
6 after 1977 and whose hormone evidently has
7 remained and was then free from infectivity
8 because no US patient who has only received
9 the Parlow treatment has come down with CJD.

10 So that the Parlow method of
11 chromatography and the cuts he took, which
12 he says were very narrow, may have made a
13 big difference in terms of the amount of
14 infectivity that might have gotten through.
15 So this is a combination of factors. Again,
16 I don't think we will ever work them out
17 with precision, although the French
18 Inspector General and the Director of INCERN
19 once again want to rehash the whole thing
20 and go over it and find out if they can a
21 little more precisely what the cause was
22 because they have a dozen lawsuits on the

1 table in France.

2 Finally, here are four anecdotes.
3 They represent cases of Creutzfeldt-Jakob
4 disease in recipients of 57, 63, 54, and 55
5 years. The fourth column, the organs
6 donated were liver, bone, pericardium, and
7 kidney.

8 The diagnosis of the donor is
9 known in three instances, 42 cerebral
10 aneurysm, 46 myocardial infarct. The other
11 ages aren't known but one gentleman died of
12 an infarct and the final patient nothing is
13 known about.

14 So in no case was the donor
15 visibly suffering symptoms that could
16 possibly been Creutzfeldt-Jakob disease.
17 Autopsies were not done on them so we have
18 no idea whether they had incipient
19 Creutzfeldt-Jakob disease.

20 The age of the recipients is very
21 satisfactory for sporadic Creutzfeldt-Jakob
22 disease and the organs that were donated, to

1 the best of our knowledge, two of the
2 organs, bone and pericardium, are unlikely
3 to have contained infectivity even if the
4 donor had CJD. The liver and the kidney, as
5 you have seen, could have been infectious.

6 My reading of this is that all
7 four cases were probably sporadic
8 Creutzfeldt-Jakob disease but we just don't
9 know because we don't have enough data on
10 the donors. But I thought you would like to
11 see it, anyway, to know that there are a few
12 cases, a handful, in which iatrogenic
13 transmission from peripheral tissues might
14 have occurred. And that will conclude it.

15 DR. BOLTON: Thank you, Paul.

16 Questions?

17 DR. BELAY: I just wanted a
18 clarification about the infectivity data for
19 the various organ tissues that you provided
20 in the previous tables, I believe three or
21 four slides back.

22 DR. BROWN: Shall we put that on?

1 DR. BELAY: The question is were
2 all the data derived from primate models or
3 was it a combination?

4 DR. BROWN: No primate models.
5 Well, I don't know what you mean. The
6 tissue that was inoculated was all human;
7 that is to say, these were tissues from
8 humans with CJD completely.

9 The recipients were either
10 squirrel monkeys or chimpanzees. That is
11 the bioassay animal.

12 DR. BELAY: All the recipient
13 animals were primates?

14 DR. BROWN: The bioassay animals
15 were invariably squirrel monkeys or
16 chimpanzees. While I think of it let me
17 point out something. If I'd known this was
18 going to be an issue, it has nothing to do
19 with we had to I've just said, but there was
20 this whole question raised in previous
21 discussions about if you did a biopsy would
22 you stand to miss the diagnosis because it

1 wouldn't have been an area that was either
2 neuropathologically or immunologically
3 involved.

4 We do have information from our
5 review of cases in France long ago from
6 about 1965 through 1975, when it was
7 habitual in France as a routine measure for
8 anybody coming in who was suspected of CJD
9 to have a biopsy and who in many cases went
10 on to have an autopsy. Somewhere between 50
11 and 100 of those cases had both biopsies and
12 autopsies done.

13 Our analysis indicated that no
14 matter where the biopsy was done in France,
15 at least, in the way that they did it, and
16 they were usually frontal temporal biopsies
17 or parietal temporal biopsies, the rate of
18 positivity relative to the autopsy was 95
19 percent. So you may miss some but you
20 aren't going to miss many.

21 I think this concern about doing a
22 biopsy and missing is a legitimate concern

1 but you have to understand that if you get a
2 piece of frontal temporal cortex you have an
3 overwhelming likelihood of finding tissue
4 that is pathological.

5 DR. BOLTON: A follow-up?

6 DR. BELAY: I think Dr. Brown
7 would agree with me that it might be
8 appropriate to add that the dura mater graft
9 associated with CJD cases worldwide, well,
10 actually, a vast majority of them were
11 associated with a single brand of dura mater
12 or Lyodura, which was produced by a single
13 manufacturer, which I believe is very, very
14 significant because a vast majority of the
15 worldwide dura mater-associated CJD cases
16 actually received one brand of dura.

17 At that most of those dura, which
18 is Lyodura, those grafts were produced
19 before 1987. I think that is worth
20 mentioning.

21 DR. BROWN: Yes, I think it is
22 worth mentioning too, thanks. Lyodura is

1 bad news. I don't know why Brown and ----
2 are still in business. I would have thought
3 they would have been sued out of existence a
4 long time ago but they are.

5 In 1987 they introduced urea
6 exposure to their proceedings. Before that
7 they hadn't and to the best of my knowledge
8 there are only two cases of dura mater
9 transmissions using dura mater that was
10 provided by other sources. One was in Italy
11 in which a university produced dura mater
12 and one was in this country in which a
13 probable, almost certain, dura mater
14 transmission occurred from a different
15 brand.

16 DR. BOLTON: Dr. Gambetti?

17 DR. GAMBETTI: Another point of
18 information concerning the infectivity of
19 the cerebral spinal fluid which is important
20 because nowadays CSF is shipped all around
21 many countries.

22 DR. BROWN: Most of it comes to

1 you, Pierluigi.

2 DR. GAMBETTI: You had in one
3 slide two classes, I believe, concerning
4 infectivity.

5 DR. BROWN: Let's go on because
6 the numbers are better.

7 DR. GAMBETTI: Then you have a
8 number 3 out of 26.

9 DR. BROWN: Yes, that's the
10 number, something like that. Let's go back
11 one more.

12 DR. GAMBETTI: If I remember
13 correctly in that paper among these 26 cases
14 there were also Kuru cases?

15 DR. BROWN: That's correct.

16 DR. GAMBETTI: Kuru cases. Do you
17 know. Is there a way to know whether --

18 DR. BROWN: Well, I can tell you
19 that some of them, one or two. No, I don't.
20 I can't tell you whether one, two, or three
21 were from CJD or Kuru. My guess is that
22 probably all three were from CJD.

1 I have to go back on my word. I
2 don't think very much cerebral spinal fluid
3 was ever collected in New Guinea. I think
4 the likelihood is that these are probably
5 CJD.

6 I can go back and later today tell
7 you exactly if you would like or you can
8 give me a call. In the slide as a whole
9 Kuru is represented but it is not
10 disproportionate; that is, some of them are
11 Kuru. Most of them are CJD for the whole
12 slide.

13 DR. GAMBETTI: So you would
14 consider CSF infectious?

15 DR. BROWN: I would. I do. It
16 is. I mean, I don't think anybody can argue
17 that CSF would not be infectious. The nice
18 thing about this number and CSF is the
19 Kretschmar's technique, the so-called SIFT,
20 screening for intensely fluorescent objects
21 to determine the presence of pathological
22 PRP, his numbers match these numbers. You

1 get the same rough percentage of positives
2 as this. That's a point that was not picked
3 up by many readers in the paper, but it made
4 me quite sanguine about the reliability of
5 that particular test.

6 DR. BOLTON: Are there other
7 questions or comments?

8 DR. DeARMOND: Nobody can
9 duplicate the squirrel monkey experiments
10 that I know of, again, and the transgenic
11 mice present a possibility. What would have
12 to be done to convince you that one of the
13 transgenic mouse models, the MHU₂M or a pure
14 humanized or whatever would be valid?
15 Because the monkey is a gold standard.

16 DR. BROWN: Ideally it seems to me
17 the most convincing proof would be to take
18 archived specimens of these same tissues and
19 put them in the transgenic mice but I don't
20 know whether that would ever be possible.
21 If it were God knows when we go to our
22 archives, which have suffered 40 years of

1 various problems and misfilings and label
2 losses, whether we could retrieve enough
3 tissue to make that possible I don't know.

4 Alternatively, less ideal, I
5 suppose we could certainly find a handful of
6 these tissues to titer, for example, in
7 parallel. John Collins (?) and I have
8 already done an experiment which has never
9 been published and probably never will be in
10 which the same three human tissue specimens
11 from three different patients with CJD he
12 titered in his transgenic mouse assay and we
13 titered in squirrel monkeys. From that it
14 appeared that the transgenic mouse assay was
15 as good or better in terms of the end point
16 of the infectivity level as were the
17 primates.

18 So what news there is in that
19 regard is good news. I am just worried in
20 transgenics whether, as I pointed out, they
21 might not risk being too sensitive.

22 DR. DeARMOND: There can be

1 spontaneous disease and you worry about
2 things like that. Another question perhaps
3 related to a future topic this morning is
4 what sort of a decrease in infectivity
5 titer, say with decontamination, do you
6 think is safe? One hundred percent loss
7 would be ideal but it seems unlikely today
8 and still preserving an expensive
9 instrument.

10 From your studies with the monkeys
11 and other studies do you have a sense of if
12 you have ten to the ninth (10^9) in brain and
13 you were able to decontaminate it what level
14 below would you have to go to to feel pretty
15 comfortable that it wouldn't transmit?

16 DR. BROWN: Some people in the
17 audience are going to think I have seeded
18 these questions from you because that's a
19 question that I love to answer. The answer
20 is obviously it depends on the likely burden
21 of infectivity in whatever it is you wish to
22 decontaminate.

1 If it is a surgical instrument
2 used on the brain from a CJD patient I think
3 you would want to decontaminate the works.
4 I don't think you would probably accept
5 anything short of full sterility.

6 If it is a plasma product and you
7 could guarantee a one log drop in
8 infectivity 100 percent of the time it would
9 be enough because there is no way in the
10 world a plasma product is ever going to
11 contain as much as one log of infectivity
12 per mil. It just won't happen. If it had
13 happened we would see hundreds of patients
14 already who had gotten CJD from plasma
15 products.

16 So it has to be balanced against
17 what you expect from tissue. As a rule I
18 would think viewing these experiments and
19 data from other laboratories if it's a
20 question of non-central nervous system
21 tissue or tissues which are developmentally
22 associated with the nervous system like the

1 eye or in proximity like the dura mater if
2 you are outside the central nervous system
3 and its associated tissues the amount of
4 decontamination that you ought to require
5 shouldn't be massive.

6 Let's just carry it through one
7 more step. It looks very much as though in
8 patients with variant CJD there may be
9 regularly infectivity in the spleen and
10 maybe the tonsil and the rest of the lympho-
11 reticular system. We don't know about the
12 peripheral organs yet, and incidentally we
13 do have titer information on human brain
14 from sporadic CJD and on average it is a
15 little lower than five logs per gram. It is
16 not like a hamster. Even in the most
17 infectious tissue in human beings titered in
18 squirrel monkeys and in chimpanzees the
19 average end point dilution was about ten to
20 the five (10^5). It was a little less than
21 ten to the five (10^5).

22 I would suppose based on an

1 analogy with other diseases like scrapie,
2 which has been very well studied, that the
3 spleen titer is not going to be likely to be
4 much more than ten to the three (10^3) if
5 that. It might very well be down to ten to
6 the two (10^2) or ten to the one (10^1)

7 So you would still want, I think,
8 if you were a general surgeon operating,
9 taking a spleen out of a patient that you
10 knew had variant CJD, you would want full
11 sterility. I don't think the same is true
12 for sporadic CJD in general surgery. It
13 seems to me that you could undoubtedly get
14 away with less sterility and be happy.

15 The other thing is you don't
16 always have to go to autoclaving at 134 and
17 two normal sodium hydroxides. There is a
18 tendency for people to think that well,
19 either this works or it doesn't, "works"
20 meaning full sterility or nothing, but the
21 fact is that the tenth normal sodium
22 hydroxide is a damn good decontaminant. It

1 is not as good as normal but it's damn good.

2 Diluted bleach is good, urea is
3 good, and there are a couple of other
4 chemicals that have been looked at by you,
5 your group, I think, and a couple of others.
6 They work and they work to the tune of three
7 or four logs as opposed to seven or eight
8 logs. These things ought to be considered
9 for decontamination where they might be more
10 appropriate than the really harsh methods.

11 DR. BOLTON: Dr. Epstein?

12 DR. EPSTEIN: In the earlier
13 discussion today it was suggested that
14 screening might be done on the ocular tissue
15 directly rather than trying to access the
16 brain. In you studies I think you had four
17 out of five patients had a positive ocular
18 tissue.

19 Could you just comment on how the
20 eye tissue was sampled? In other words were
21 these whole eyes, were these retinas? What
22 did you actually culture?

1 DR. BROWN: They aren't specified,
2 Jay, because they typically were a pool,
3 lens, cornea, retina, and so we have no data
4 indicating which of the components of the
5 eye produced the transmission, the
6 infectivity that transmitted.

7 Based on studies that Nick has
8 done and Collins the presumption is, and I
9 think it's a plausible presumption, that
10 most of the infectivity in the eye itself is
11 in the retina and progressively less in the
12 tissues forward of the retina in a very
13 orderly way so that by the time you reach
14 the conjunctiva and the cornea you're not
15 talking about much infectivity.

16 Of course, the problem is by
17 definition one infectious unit transmits
18 disease so you don't need much infectivity,
19 particularly in a graft that is going to sit
20 there for the duration instead of a plasma
21 product which is going to be metabolized
22 quickly.

1 DR. BOLTON: Dr. Doppelt?

2 DR. DOPPELT: On this list of
3 tissues that can be infective do you have
4 any data on bone or other peripheral soft
5 tissues like ligaments and tendons?

6 DR. BROWN: I think bone was
7 inoculated from just one patient. It was
8 negative for what that's worth. Bone,
9 however, is a tissue that has been looked
10 at. I don't think we need to bring the
11 slide up again.

12 DR. BOLTON: It's on our handout.

13 DR. BROWN: Well, I think both
14 were at some point inoculated. I think it's
15 the second slide or the one after that that
16 we might as well leave on, bone marrow from
17 two patients and I don't see bone at all.
18 So we don't have any data on bone. Two bone
19 marrows were negative.

20 Bone, however, has been inoculated
21 in several studies on experimental and
22 natural scrapie and on experimental BSE and

1 it has been negative. These are good
2 studies. This is cattle to cattle, taking
3 bone and inoculating a gemisch (?) of bone
4 into the brains of other cattle. So by
5 analogy with other TSEs bone apart from
6 marrow is not likely to be infectious.

7 DR. BOLTON: Any further
8 questions? Thank you, Paul. Thank you for
9 coming in even when you are under the
10 weather. We always appreciate your input.

11 I think what we will do is we will
12 take our next speaker, Dr. Aliza Eshkol, and
13 then we will take the break, okay? We are a
14 little bit behind schedule, but really
15 because we took Paul out of order.

16 Dr. Eshkol will speak on the
17 experience of a commercial manufacturer of a
18 pituitary-derived hormone. This is really
19 the Serono experience.

20 Dr. Eshkol.

21 DR. ESHKOL: Thank you for
22 inviting me to share with you some of our

1 own experience on pituitary-derived growth
2 hormone, which is not available any more for
3 distribution in any case, but one can still
4 learn from the history of it and of the
5 various validation studies which were done
6 in the past.

7 Pituitary growth hormone was
8 started to be prepared from pituitary glands
9 in the early '50s. Initially the
10 pituitaries were collected and they were put
11 into cold acetone and kept there until they
12 were processed and the process was quite a
13 harsh process of extraction, of generally
14 ---- things and subsequently ---- by either
15 a strong acid or a strong alkaline
16 procedure, mainly the Wilhelmy procedure.
17 These products were only semi-purified and
18 they contained a lot of aggregates and
19 dimers which are frequently antigenic.

20 From the '70s on extraction and
21 purification were done on frozen glands by
22 significantly milder methodologies and they

1 included some size exclusion
2 chromatographies in order to isolate them on
3 a ---- and exclude the dimers and the
4 aggregates.

5 Now, regarding the safety, as you
6 all know better than me, probably, that it
7 depends on several issues. It depends on
8 the raw material. Obviously pituitary
9 glands can become contaminated.

10 It depends on the process, on the
11 capacity of the process to eliminate the
12 agent, if present, on the decontamination
13 procedures, on avoiding cross-contaminations
14 on the back sides and eventually, if
15 available, final quality control.

16 Now, the first issue is the
17 source. The pituitary glands are collected
18 post-mortem. The majority of the donors
19 come from the older population. Donors of
20 nervous system disease or mental illness
21 were excluded but that obviously has its
22 limitations and mostly no brain histology

1 was done. So the conclusion is there is
2 absolutely no certainty that the donors are
3 free of CJD, actually the opposite.
4 Everybody is sure that they are very
5 included between the respondents who harbor
6 the CJD or who had the disease.

7 In March of 1985 the NIH learned
8 that a 22-year old man died of CJD. He
9 received growth hormone from 1966 to 1976,
10 namely growth hormone produced by the harsh
11 old methodologies and in spite of that it
12 was transmitting the disease. In April '85
13 two additional deaths were reported.

14 Now, factually it was known in
15 principle to some investigators and
16 particularly the concern was is the
17 transition to the milder methodologies
18 better able to exclude such agents during
19 the process of the purification and a
20 validation, a clearance study, was initiated
21 in the UK in '79. It was published in
22 August '85 by Taylor in Lancet and actually

1 they showed that the process which was used
2 and the process is called the Lumley-Jones
3 (?) process was capable of eliminating about
4 five logs of infectivity.

5 The process includes an aqueous
6 phase protein extraction, a selective
7 isoelectric precipitation of the growth
8 hormone at pH 4.9 subsequent solubilization,
9 concentration, chromatography. On various
10 types of columns we use sephacryl in order
11 to isolate finally the monomeric growth
12 hormone.

13 In '85 after the occurrence of the
14 first CJD cases it was recommended to
15 introduce two additional steps, mainly a
16 millipo filtration with a cap of 100,000
17 daltons or a nanofiltration with a filter
18 with a cutoff of 20 nanometers or six more
19 of urea treatment. These two were
20 introduced in 1985 only.

21 In view of the introduction of
22 these two steps we first initiated a

1 clearance validation of those two steps
2 selectively. We analyzed two filters, a
3 100,000 cutoff filter and a 25-nanometer
4 filtration step, each of them followed with
5 urea treatment subsequently.

6 The control of the strain which
7 was used for the challenge had a 9-log
8 infectivity. After the filtration there was
9 about a 2-log reduction in infectivity with
10 both filters. It seemed, however, that the
11 urea was more effective in further reducing
12 the infectivity following the molecular
13 weight filtration rather than the
14 ----nonomole filtration by reducing by more
15 than five logs of infectivity or about 4.4
16 logs the infectivity.

17 In spite of the fact that it was
18 clear that growth hormone from pituitary
19 glands is going to be discontinued and the
20 recombinant growth hormone was available at
21 that stage we decided to continue our
22 investigation in order to know even in

1 retrospect what was the risk, actual, with
2 the growth hormone which we produced so we
3 proceeded to do more extensive validation
4 studies looking at the whole process.

5 In that study we first of all
6 checked whether there is any kind of
7 background contamination in the equipment
8 which was used. So the AGH purification
9 procedure without a challenge was done up to
10 the Sephacryl chromatography step. The
11 monomeric growth hormone was concentrated
12 and the whole product in its entirety was
13 inoculated into 66 weaning hamsters and none
14 developed scrapie, indicating that they did
15 not have any kind of a background
16 contamination.

17 Then the process was done in three
18 separate steps. One, starting material was
19 from the same batch for all the three
20 events. This is the original purification
21 methodology and that is the material which
22 was prepared up to '85 and there was a

1 reduction of about four logs of infectivity
2 which is quite similar to the one that was
3 initially described by the Taylor in the
4 Lancet '85 paper.

5 Now, this material when
6 subsequently subjected to an additional
7 filtration and the six mole of urea there
8 was a further reduction and a total
9 elimination of nine logs of infectivity so
10 quite effective.

11 Subsequently all the equipment
12 which was used during this clearance study
13 was decontaminated by either steam
14 autoclaving or by one normal NaOH. Then the
15 same equipment was used again for the
16 production of a scaled-down process of
17 growth hormone and aliquots of the monomeric
18 after the Sephacryl stage of growth hormone
19 were inoculated into 12 hamsters and the
20 residual material was again ultrafiltered
21 and urea treated and the entire product
22 again was inoculated into 135 hamsters.

1 None showed clinical signs of disease, no
2 histological lesions of the brain,
3 indicating that the decontamination process
4 apparently was effective.

5 The next issue is cross-
6 contamination, obviously, so what we did is
7 we divided the whole process into four risk
8 categories. Each step was performed in a
9 separate isolated area from the other.

10 The flow of the process goes from
11 gland handling, first extraction, crude
12 extract, semi-purified protein to purified
13 protein whereas the traffic of people and of
14 equipment can go only in the reverse
15 direction so in order to avoid any kind of
16 potential cross-contamination from one risk
17 category to the other one.

18 The effect of batch sizes: Based
19 on the assumption that there might be one
20 out of 10,000 CJD-bearing donors in donors
21 of the pituitary glands, if the batch size
22 would have been 5,000 it would mean that

1 about one out of two batches could be
2 contaminated and that would translate into
3 25,000 vials which could potentially be
4 contaminated.

5 We have opted actually from the
6 very beginning of using small batches,
7 between 5- to 600 glands per batch, which
8 means that about one-tenth of that would be
9 potentially contaminated and, as you heard
10 earlier from Paul Brown, some people
11 actually use even much higher batch sizes of
12 10,000 glands or even more.

13 Based on all these issues, based
14 on the batch size, based on the clearance
15 levels, and based on the incidence of
16 potential inclusion of contaminated glands
17 the calculated worst case and also best
18 case, which is not on this slide, of
19 contamination of growth hormone produced
20 with and without the added ultra-filtration
21 and urea treatment steps and the bottom line
22 is that the worst case, the old process, it

1 is one in 50,000 vials which would have been
2 contaminated and which means that about one
3 in 64 patients or children could have been
4 exposed to such a contaminated vial.

5 With the new purification process
6 with the added filtration and urea treatment
7 the risk obviously is significantly lower in
8 view of the additional five-log clearance.
9 The best case would have been about 1,000
10 times lower.

11 The problem is that we cannot know
12 whether in effect we did have ever any case
13 or we will have ever any case. Up until now
14 we have no reported case of CJD with the
15 product which we have distributed but we
16 know that the incubation periods can be
17 extremely long. Here we have up to 37
18 years, 38 years reported recently, and the
19 incubation with the gonadotropins in
20 Australia, the four cases between 13 and 16
21 years, a little bit shorter, but with the
22 growth hormone the incubation periods in

1 some cases are extremely long.

2 So therefore we cannot exclude
3 today that we did not have ultimately
4 probably some contamination, but if it was
5 it was very low and our worst case
6 calculation is definitely wrong because if
7 it would be true then we would have had
8 already a case so we are somewhere between
9 the worst and the best calculation in
10 reality.

11 What it shows is that growth
12 hormone, which is a single-chain protein and
13 non-glycosylated, can be purified with a
14 large safety margin provided that the
15 procedures do include steps which can
16 eliminate and inactivate CJD or any other
17 TSE agent and the process is carried out
18 under strict conditions and includes
19 effective decontamination procedures.

20 As Paul Brown alluded earlier, in
21 France they had actually exactly a similar
22 process, almost 90 cases of CJD up to the

1 years of '87 where only this kind of
2 procedure was used, but my suspicion told me
3 that there were very severe problems of
4 cross-contamination and I even had a hunch
5 at which step.

6 Now, the question is can total
7 elimination of potential infectivity be
8 guaranteed? Well, the total elimination is
9 already a loaded term. What does it mean?
10 We have no CJD case reported but in view of
11 the long incubation periods we are still
12 watching out whether there is going to be
13 something. What is sure, that if there was
14 any residual infectivity in some batches it
15 must have been extremely low.

16 Total absence of risk would need
17 assurance of the safety of the whole
18 material which is particularly important in
19 the case of older age group donors and in
20 view of the fact that this is produced by
21 pooling of the pituitary glands but
22 unfortunately the currently available test

1 metals do not have the sensitivity which
2 would make this feasible.

3 Thank you very much.

4 DR. BOLTON: Thank you,
5 Dr. Eshkol. Are there questions or comments
6 from the committee? Steve?

7 DR. DeARMOND: When I was a
8 medical student we took endocrinology. I
9 remember one of the endocrinologists said to
10 us there was a great benefit from using
11 hormones extracted from the pituitary
12 because they were never purely the hormone;
13 there were other factors associated with it
14 which were beneficial. But this was a long
15 time ago before synthetics were available so
16 I don't know how they could have tested that
17 hypothesis.

18 Is there any place today in
19 medical practice for hormones or products
20 derived from human pituitaries or do we rely
21 entirely on synthetics?

22 DR. ESHKOL: Today we rely totally

1 on the recombinant growth hormone but
2 actually even before that already with the
3 modified purification methodology which was
4 employed using the chromatographic
5 methodologies actually all the other
6 pituitary hormones they're already excluded,
7 which remained the TSH, LH, and FSH.

8 What people were thinking was that
9 a little bit of FSH and a little bit of LH
10 might be useful for these children but
11 actually in practice they have not been
12 exposed to it already from the '70s even
13 with the extractive growth hormone, which
14 did not contain these hormones. So what did
15 not change was the transition to the
16 recombinant anything in that sense.

17 DR. BOLTON: Dr. Brown?

18 DR. BROWN: Another data point on
19 that, an experimental study was done once in
20 our lab to see if we could transmit disease
21 from archived aliquots of various batches in
22 growth hormone. The results fit very nicely

1 into the concept that there was only a
2 scattered transmissible dose here and there
3 in hormone batches that were given to
4 humans.

5 The data were that out of 70-odd
6 different lots of growth hormone, which
7 represented about half the lots of growth
8 hormone distributed in this country, only
9 one lot transmitted disease and in that lot
10 only one of three monkeys that were
11 inoculated. So that would give you a little
12 idea of how little infectivity there was.

13 DR. ESHKOL: That is obviously
14 true for what has been done in the USA and
15 what raises the big question is the
16 experience in France. Why did they have so
17 many cases? So is it really, as you have
18 pointed out, because they had a higher
19 number of pituitary glands which came from
20 CJD patients? I think it is a combination.
21 That is definitely one of the contributing
22 factors but I think that also the

1 procedures, particularly cross-contamination
2 and decontamination, which were probably not
3 appropriately respected, could have played a
4 bigger role in that. But it shows that
5 pituitary derived growth hormone does have
6 the potential of transmitting in large
7 numbers of patients the disease.

8 DR. BAILAR: I have been a little
9 puzzled here as a newcomer to this field by
10 the concern about older donors when we seem
11 to know so little about when potential
12 donors might acquire the disease and what
13 their titers are during all the years it is
14 lurking around unrecognized.

15 Could you comment on that? Does
16 anybody know about these things?

17 DR. ESHKOL: I am not an expert on
18 that and I think that Paul Brown could
19 probably answer that much better if he is
20 ready to do that.

21 DR. BROWN: Yes, there is no
22 information whatsoever apart from a single

1 observation in a patient with variant CJD by
2 which I mean there simply is no information
3 to know whether during the incubation period
4 of disease in human beings there is
5 infectivity and if so where. That's in
6 humans.

7 The one exception that I know
8 about is the demonstration of pathological
9 PRP. I think it was in the appendix of a
10 patient who was operated on about 13 months
11 before the first symptoms appeared. So that
12 is probably the single data point that I
13 know of in human beings.

14 In experimental models there is no
15 question that infectivity typically occurs
16 in a rising curve throughout at least the
17 mid to late incubation period of the
18 disease. So the gist or the thrust of your
19 question is is there a risk of transmitting
20 disease from pre-clinical CJD patients. The
21 answer is almost certainly yes, there is but
22 we can't put a number on it.

1 DR. DeARMOND: Our experience, of
2 course, with the mouse and hamster models is
3 that clinical signs begin in the last 10 or
4 20 percent of the incubation period. So
5 over a four-month period, say, in a mouse it
6 is the very last stage. But we can follow
7 the protein and infectivity going up to that
8 stage.

9 In fact the brain is very filled
10 with abnormal protein and shows lots of
11 vacuolation when they finally get sick. But
12 as you go back further you see bits of that
13 already occurring. So, extrapolating to a
14 human, if there is a similar event, say the
15 disease begins spontaneously in one location
16 in the brain and from the start of symptoms
17 to death it is about four to six months,
18 that could mean that it has been percolating
19 in the brain for two to three years, maybe
20 two years, before it manifests itself with
21 clinical signs if we can extrapolate from
22 hamster and mouse to human.

1 DR. BOLTON: Ermias?

2 DR. BELAY: I just wanted to make
3 one point. As you know, the human growth
4 hormone associated with CJD, the outbreak of
5 human growth hormone associated with CJD is
6 an ongoing outbreak. It is not over yet.
7 We still continue to have cases pop up
8 almost every year.

9 So I think it's too early to say
10 that the patients who received a purified
11 form of human growth hormone would not
12 develop CJD in the future because these
13 patients received a human growth hormone
14 after 1977 and close to 1985 and it's
15 possible that the purification would
16 actually reduce infectivity and possibly
17 increase the incubation period.

18 So we need to observe this
19 outbreak for some period of time in the
20 future before we say that the purification
21 would probably have prevented the CJD in the
22 human growth hormone recipients.

1 DR. ESHKOL: I full agree with you
2 and this is why I had actually on my last
3 slide that we can not exclude that we are
4 not going still to see some cases which
5 might have very long incubation periods
6 because of very low residual infectivity in
7 the product. This is not excluded.

8 DR. BOLTON: Additional comments
9 and questions?

10 Okay, what I would like to do now
11 is to shorten our break slightly to ten
12 minutes. So I have 10:36. Let's meet back
13 here at 10:46.

14 (Recess)

15 DR. BOLTON: Our next presentation
16 is by Dr. Tom Lynch from Clearant. He will
17 be telling us about limiting batch size, the
18 effects of batch size on risk of
19 contamination with infectious agents, and I
20 believe this is work that Dr. Lynch actually
21 did while he was at CBER. Tom.

22 DR. LYNCH: Good morning. Yes,

1 the slides are coming up. I can certainly
2 talk to the donor standards but I think
3 Ms. Wilson would be upset if I did. If I
4 could have the right slides I can begin.

5 The remarks I am going to make
6 this morning are in fact based on a study we
7 did some years ago at CBER in the context of
8 human plasma-derived proteins, including a
9 product referred to as pooled plasma
10 solvent/detergent treated.

11 Our experience with hepatitis and
12 HIV has taught us that the dissemination of
13 an infectious agent into a population
14 contribute can be far more efficient to the
15 use of administration of pooled therapeutic
16 products than through the use of products
17 derived from single donors. We performed an
18 analysis in the context of these two product
19 categories, pooled plasma-derived proteins
20 and pooled plasma, in order to get a
21 quantitative measure of this risk.

22 We were specifically asking two

1 questions. One was whether limiting the
2 size of manufacturing pools from which
3 plasma derivatives were made would enhance
4 the safety of these products. This is
5 question isn't really relevant to today's
6 proceedings but the second question may be,
7 which was should any single donor product be
8 converted to a pooled product for any
9 reason.

10 Our approach at the time was a far
11 less sophisticated mathematical model than
12 the one presented by Dr. Taffs this morning.
13 We simply related the prevalence of an
14 infectious agent in a donor population the
15 size of the pool to the risk of the
16 recipients of that product made from those
17 pools.

18 Prevalence would include for an
19 agent for which no screening or testing is
20 performed the natural prevalence in that
21 population whereas for an agent such as HIV
22 for which testing is performed prevalence

1 would represent the residual risk of a false
2 negative test. The size of the pool was
3 measured in donors rather than donations
4 simply because the number of donors would
5 reflect more accurately this underlying
6 prevalence risk.

7 Finally, the risk to the
8 recipients was something we struggled in
9 defining. We finally settled on a very
10 conservative measure. We defined risk as
11 the probability of exposing a recipient of a
12 given product to a product made from a pool
13 that included a donation, a unit, and
14 material from an infected donor. Now, this
15 definition of risk was actually fairly
16 flexible, another virtue it had. It also
17 was a measure of the proportion of the total
18 product made derived from these
19 "contaminated pools" and the risk of
20 contaminating a given manufacturing pool was
21 also equivalent to this definition of risk.

22 What we did not attempt to assess

1 was the risk that such a product would pose
2 to a recipient of that product of actual
3 infection. The relationship between
4 exposure and infection was recognized as a
5 very complex relationship driven by a number
6 of factors shown on this slide. But
7 certainly the risk of infection is no
8 greater than the risk of exposure. It could
9 be somewhat less depending on these factors
10 so the use of exposure as a measure of risk
11 was taken to be a conservative or worst case
12 estimate of risk.

13 This is an example of data from
14 that analysis. It shows on a three-
15 dimensional plot the risk at any given
16 combination of parameters. Pool size is
17 shown here. You will notice it goes up to
18 very large numbers relevant to plasma
19 derivatives and it encompasses a range of
20 prevalences within the donor population from
21 one in a million up to one in 600,000. What
22 this slide illustrates is that the risk of

1 contamination or exposing the recipient of
2 the product to the infectious agent
3 increases as pool size goes up and as the
4 prevalence of the agent in the population
5 goes up.

6 A number of things needs to be
7 changed in order to make an analysis like
8 this relevant to your deliberations. Pool
9 size, for example, is one. A pool size of
10 600,000 is simply not relevant, I think, to
11 the tissue industry.

12 Another issue that is of little or
13 no importance is the risk that a chronic
14 user of a therapeutic product faces a
15 cumulative task. Transplants of allografts,
16 for example, tend to be single episodes in a
17 patient's life so I have made no attempt to
18 calculate the cumulative risk associated
19 with repeated exposure.

20 There were a couple of other
21 assumptions that we made that are relevant
22 to the model I'll show you today. First of

1 all, we assumed that there was no dilution
2 effect. This idea that somehow by taking a
3 contaminated unit and diluting it with a far
4 greater number of uncontaminated units you
5 could reduce the titers of infectivity below
6 the level of infectivity, we assumed that
7 that would not be effective and we also
8 discounted any effect of antibodies that may
9 be present in the pool that might
10 potentially neutralize an agent.

11 The reason for that is that
12 obviously not all infectious agents are
13 neutralized by antibodies effectively and,
14 secondly, for an emerging agent, a new
15 agent, the antibody titers in the donor
16 population may not be sufficient to protect
17 the recipients of a product.

18 So this is a scaled down
19 tissue-based version of the model I just
20 showed you. Again, the pool size in donors
21 is shown along this horizontal axis. The
22 risk is shown on the vertical axis. Note

1 that it goes up to one percent here because
2 the pool size is smaller and therefore the
3 amplification of the primary risk associated
4 with the prevalence of an infectious agent
5 is also less.

6 But the same relationship exists
7 on this slide. The risk increases as the
8 prevalence increases and as the pool size
9 increases as well. So clearly increasing
10 pool size is associated with an increased
11 risk, although here that risk according to
12 these parameters would be below one percent.

13 What I did want to elaborate on a
14 little bit is this large green area. I
15 don't want to give the impression that this
16 is a flat or neutral area or that there is
17 no influence of the parameters there so I've
18 replotted some of this data in the next
19 slide.

20 This is simply converting the
21 vertical axis to a log and you can show that
22 even at very low levels of risk the

1 influence of pool size and prevalence are
2 the same.

3 This slide illustrates that the
4 unitary risk, the pool size of one, this is
5 the unitary risk reflected by the prevalence
6 of the infectious agent and you amplify that
7 risk almost as intuition would predict in
8 proportion to the increased size of the
9 manufacturing pool.

10 Now, the actual numbers on which
11 these graphs are based I think were provided
12 to the committee in tabular form. There are
13 lots of numbers there, so it is a little bit
14 more difficult to grasp than the graphical
15 presentation but they are available for your
16 use.

17 Also, the model that I have just
18 presented is a simple binomial model. The
19 rationale for using it was elaborated in a
20 publication of the original analysis and a
21 copy of that publication has also been
22 provided to you. In the interest of

1 historical accuracy, your past chairman,
2 Dr. Brown, performed a similar analysis,
3 specifically in the context of TSE agents.

4 This is just a replot of the same
5 data, next slide in linear form. So the
6 conclusions from analyses such as these are
7 as follow: For the conversion of a single
8 donor product to a pool product the
9 theoretical risk of an exposure to a
10 recipient of this product increases nearly
11 in proportion to the size of the pool from
12 which those products are made. This is
13 especially true for small or moderately
14 sized pools and especially true for agents
15 with relatively low prevalence.

16 Now, we recognized originally at
17 the time that this risk is one factor and it
18 may be mitigated or offset or outweighed by
19 other factors. This is where judgment comes
20 in and where this committee is charged to
21 operate. For example, the incorporation of
22 a broadly effective pathogen and activation

1 step might justify pooling where the
2 inactivation requires the pooling process
3 and there are numerous cases of therapeutic
4 products that cannot be made from single
5 donor source materials. They must start
6 from pooled material in order for the
7 manufacturing process to be carried out.

8 The classic example of that are
9 the plasma derivatives themselves. Here the
10 risk-benefit, however, can be evaluated
11 quantitatively only when you know what the
12 risk associated with the given infectious
13 agent is.

14 I think I'll conclude there and
15 take any questions that you may have.

16 DR. BOLTON: Thank you, Dr. Lynch.
17 Questions? Steve?

18 DR. DeARMOND: It seems it gets
19 down to, as I see it and I'm not in business
20 or in industry and we don't worry about
21 money in academics because we don't have
22 any, but the cost of doing all of this has

1 to be weighed, I guess, to some degree.

2 Ultimately it seems to me the best
3 test is whether the material is infective
4 and to date we don't have an idea test but
5 bioassay is by far the most sensitive but
6 that would be expensive to do bioassays.
7 You have to take the animals and it takes a
8 while for them to get sick. Especially if
9 you have low titer it could be two years or
10 a year and a half and your time lines are
11 probably not in that length. You make a
12 batch of plasma and you would expect to have
13 it on the market, I guess, after processing,
14 within the order of months. What sort of
15 time lines do you deal with?

16 DR. LYNCH: You are right about
17 the time to market for most allograft
18 tissues. My familiarity is primarily with
19 musculoskeletal tissues and that certainly
20 fits with the sequence that you just
21 mentioned. But the products can be on the
22 market for quite some time. Current dating

1 puts a fairly substantial shelf life on some
2 of these products.

3 With regard to testing you are
4 absolutely right. The impact of testing is
5 going to be on what I refer to as unitary
6 risk of prevalence. You can start with a
7 relatively high prevalence of an infectious
8 agent in a donor population and drive the
9 effective prevalence down substantially by
10 introducing effective testing.

11 To the best of my knowledge there
12 is no perfect test so that risk has never
13 been driven to zero but it can be driven to
14 very small numbers. So in using these
15 three-dimensional plots what you would be
16 doing by introducing a test is driving that
17 Y-axis back toward the lower numbers and you
18 can assess the impact of risk from there.

19 I should point out that one other
20 distinguishing feature relevant to your
21 question and relevant to the application of
22 these numbers that distinguishes the tissue

1 industry from the plasma derivatives
2 industry is that a fairly large number of
3 products can be derived from a single donor
4 so that unitary risk when you view it from
5 the number of patients that are exposed to
6 material derived from an individual donor
7 can be amplified by the number of products
8 made. But to the extent that this practice
9 is uniform across the tissue industry the
10 proportions of risk would not be altered but
11 the absolute values might be increased.

12 DR. DeARMOND: One of the
13 questions I was asking Paul Brown was how
14 low does the amount of, say, prion protein
15 have to be before it's no longer a problem?
16 Obviously, we don't know the answer but
17 there is with each product perhaps a
18 different threshold for creating disease in
19 a recipient.

20 DR. LYNCH: And I made no attempt
21 to distinguish between the risk levels
22 associated with the material such as dura

1 mater where tests show it can have a
2 substantial risk associated with it to a
3 product like a bone dowel where absent
4 contamination with blood or marrow we think
5 the risk would be substantially lower than
6 that. I haven't tried to distinguish
7 between those types of products because one
8 would really need to know what proportion of
9 each would derive from an individual donor.

10 Perhaps it's best to think of
11 these numbers in terms of the discrete
12 products and again we are talking about
13 exposure so the levels of infectivity don't
14 enter in but ultimately that's what you are
15 trying to get to, what is the true public
16 health risk in terms of transmitting these
17 diseases by these various practices.

18 DR. DeARMOND: Could I ask one
19 more?

20 DR. BOLTON: Sure.

21 DR. DeARMOND: This is has to do
22 more with the people who are donors. There

1 are in some cases with plasma derivatives
2 from what I read in the material that is
3 sent to us some are repeated donors of blood
4 for plasma derivatives. What proportion are
5 repeat donors?

6 DR. LYNCH: Well, as I understand
7 it a cadaveric donation is a once in a
8 lifetime event.

9 DR. DeARMOND: Yes, that you can
10 only do once. My family doesn't get any
11 money for it.

12 DR. LYNCH: I assume that the idea
13 of a repeat donor, which is quite prevalent
14 in the source plasma sector and somewhat
15 less common in the recovered plasma sector,
16 is of little significance in the tissue and
17 organ donor population but I stand ready to
18 be corrected on that.

19 DR. BOLTON: Additional questions?
20 Yes. Please introduce yourself.

21 DR. SOLOMON: Ruth Solomon, FDA.
22 That's is correct but you have to remember

1 we will be overseeing reproductive cells in
2 tissues and the semen donor can donate
3 almost as often as the source plasma donor.

4 DR. LYNCH: Excellent point, yes.

5 DR. BOLTON: Other questions? I
6 would like to bring up one issue and get
7 comments especially from our epidemiologists
8 and that is the difference between the
9 prevalence of disease which we talk about a
10 lot with sporadic CJD, which is somewhere
11 between one-half and one per million
12 population per year, and the prevalence of
13 infection, which is going to depend, as we
14 discussed a little bit earlier, on the
15 incubation time and if that is a 20-year
16 incubation time in sporadic CJD then the
17 prevalence of infection may be 20 times
18 higher. Instead of one in 1,000,000 it may
19 be one in 50,000. So comments?

20 DR. BELAY: I think another way of
21 looking at it would be what's a lifetime
22 risk of acquiring CJD for a person. That

1 would be obviously higher than one per
2 million. One per million would be for each
3 year. Accumulated over time it could be
4 right about one in 10,000 is the best
5 estimate that I have of potential lifetime
6 risk of CJD.

7 DR. BOLTON: John?

8 DR. BAILAR: I agree. There is a
9 potentially big difference between the
10 lifetime incidence of infection and the
11 lifetime incidence of disease. It need not
12 be all related to the length of the
13 incubation period.

14 Some people may carry the
15 infection asymptotically forever, never
16 come down with the disease if they live to
17 150. I have been puzzled by the numbers I
18 have read in this package and some I have
19 heard this morning by what does seem to be a
20 discrepancy between the size of the lifetime
21 risk and the size of the risk in the donated
22 products. The latter seems to be generally

1 bigger so there may be a lot of this stuff
2 lurking around out there that we just don't
3 know about.

4 DR. BOLTON: Other comments?
5 Steve, did you want to say something?

6 DR. DeARMOND: I did but I didn't
7 know how to do it. First of all, I presume
8 you were talking about sporadic CJD and the
9 idea that it may be caused by an infection.
10 There was an implication that carrying
11 infection is not the way I think of sporadic
12 CJD, that it is like Alzheimer's, a change
13 in the protein configuration that leads to
14 the disease that occurs spontaneously for
15 some reason.

16 It seems like once that happens,
17 although we have no evidence that there can
18 be CJD that percolates along without
19 producing disease although a person can have
20 an auto accident and die before it manifests
21 itself, but it seems once it takes hold it
22 goes to completion. You could argue that we

1 haven't proven that so I am having some
2 trouble with the one per million but that is
3 really based on the number of people who
4 die. Is there really something much more
5 prevalent in the population? We have no
6 evidence that there is something more
7 prevalent that could transmit the disease to
8 somebody else.

9 DR. BOLTON: Yes, let me frame my
10 question or hypothesis a little better.
11 First of all, I think it was stated earlier
12 that we have no way of knowing what the
13 incubation time, and I use that term in the
14 sense of from the point of either infection
15 of spontaneous conversion of the initial PRP
16 molecule from PRP-C to PRP-SC, what that
17 time is to the onset of clinical disease in
18 sporadic CJD.

19 It could be six months, which
20 would be a good extrapolation from infection
21 by iatrogenic transmission in the cases of
22 direct intracranial inoculation, or it could

1 be 20 years. So it's probably somewhere
2 between that, six months and maybe 20 or 40
3 or 50 years, but it's in there somewhere.

4 Now, during that time once that
5 event has begun those individuals are going
6 to have some abnormal PRP in their brains
7 and our experience with transmission of
8 sporadic CJD would indicate that they are
9 infectious and therefore they are infected
10 in that sense and whether they initially got
11 the disease through a rare infection or
12 whether it was spontaneous conversion of PRP
13 is really immaterial at that point.

14 So then the question of the
15 prevalence of infected individuals becomes
16 important because that number is going to be
17 much higher. If the incubation time of the
18 average sporadic CJD case is 20 years the
19 prevalence of infected individuals is at
20 least 20 times higher.

21 As John said, if there are people
22 who have the ongoing process in place but

1 will never come down with clinical disease
2 then the number could be much, much higher.
3 Of course, we just don't have a way at this
4 time to assess those numbers.

5 So I just wanted to bring that out
6 and get some discussion on that because
7 clearly when we start talking about issues
8 of donor screening, tissue screening, and
9 what have you, the prevalence of infection
10 or disease, whatever it is, is very
11 important as we begin to deliberate on how
12 to best regulate that or how best to
13 increase the safety factor.

14 DR. BELAY: I think it's going to
15 be very, very difficult to come up with a
16 specific number for the kind of data that
17 you are looking for. That is why I say it
18 is probably better to look at a lifetime
19 risk of acquiring CJD wherever it is coming
20 from, sporadic, iatrogenic, or otherwise,
21 but I would think that the number that you
22 are looking for would probably be anywhere

1 between one per 10,000 to one per 1,000,000
2 but it will be very difficult to come up
3 with a specific number.

4 DR. BOLTON: And as we look at
5 different age demographics how does that
6 change? I mean, my inclination would be to
7 think that the older you get the closer to
8 the one in 10,000 that number becomes but
9 that may not be correct.

10 DR. BELAY: I agree with you. The
11 older you are your chance of potentially
12 incubating CJD would definitely be higher or
13 at least sporadic CJD.

14 DR. DeARMOND: In terms of just
15 looking at the deaths of CJD certainly over
16 the age of 50 it's almost up to four to five
17 per million compared to the overall
18 population because they are all concentrated
19 essentially over the age of 50. Ninety,
20 ninety-five percent occur over the age of 50
21 and drop off after the age of 72.

22 DR. BOLTON: Yes, that was always

1 my recollection, that there is a drop with
2 age over 70 or so. How dramatic is that
3 drop? I don't know if this will ever come
4 into play with respect to tissue donations
5 because at that point the tissues that could
6 be donated might not be optimal.

7 But is that something that we
8 should be considering as well? It doesn't
9 seem like it because, as you say, they
10 prefer younger tissue.

11 DR. BELAY: But I think you
12 brought up a very good point because if you
13 look at the data from CJD it tends to rise
14 in the 5th decade and then the 6th decade
15 and all of a sudden tapers down when you
16 reach the 8th decade.

17 So the incidence or the death rate
18 from CJD in persons in their 80s is almost
19 similar to persons in their 50s so there is
20 some difference as people age. Particularly
21 after 78 or 79 the incidence of CJD drops
22 down.

1 DR. BOLTON: So we will have to
2 keep that in the back of our minds here as
3 we discuss this further.

4 DR. DeARMOND: Do Pierluigi and my
5 lab and Nick Hogan's lab have to start a
6 study to try to find PRP scrapie of
7 infectivity in every autopsy brain that we
8 do?

9 DR. BOLTON: Sure, I think that
10 sounds great. You can do that for free,
11 right?

12 We will move on now. We are going
13 to pick up part of the section that Paul
14 Brown has already presented. This is Topic
15 #1-3, "Single Donor Aseptic Recovery and
16 Processing of Human Tissue," and our next
17 presenter will be Diane Wilson, R.N., from
18 Tissue Services and she will be talking
19 again on the issue of cross-contamination of
20 bone and soft tissue with higher risk
21 tissues during the recover of the tissue.

22 Diane.

1 MS. WILSON: It's a pleasure to be
2 here. What my main topic today is talking
3 about, what the AATB standards are and how
4 they apply to donor processing and donor
5 procurement in a single donor procurement.

6 Some of my slides are graphic and
7 I apologize for that but I didn't really
8 know what level of understanding that the
9 advisory committee had about donor
10 procurement and processing so I wanted you
11 to get a general overview of the entire
12 process.

13 I wanted to start real quickly on
14 donor screening just to give you an idea of
15 how we go through the process. The first
16 thing that happens is a call from the
17 hospital, the evaluation of the hospital
18 records, then a consent from the next of kin
19 and a total medical-social history. This
20 does include all high-risk activities and
21 neurological function of the potential
22 donor, past history, past surgeries,

1 hospitalizations, anything that we can paint
2 into a picture to make a total puzzle to
3 make sure that our donor tissue will be
4 safe.

5 Serological and infectious disease
6 screening, the first five tests listed there
7 are mandatory by AATB: Hepatitis-B core,
8 HIV by DNA, DNA by PCR, and HIV Antigen are
9 optional tests. Most AATB-accredited banks
10 are doing those but they are optional.

11 The physical exam is a mandatory
12 screening test and they are done on all
13 categoric donors. Then you will find tissue
14 banks with a little bit of variety. We use
15 a physician letter to the family physician
16 to find out anything else about the donor
17 that we may not have picked up in the
18 hospital records. And because we are part
19 of a blood center we will look at the blood
20 donor registry for past deferrals but those
21 are also optional. You will find tissue
22 banks will vary a little bit on the amount

1 that they do above and beyond the standards.

2 Then we have a medical director review.

3 Informed consent is extremely
4 important to us and AATB puts a lot of
5 emphasis on this. We have to make sure that
6 no coercion has been applied, that all of
7 the information that we give the next of kin
8 is accurate and not misleading, that
9 everybody is done in understandable terms,
10 that infectious disease consent has been
11 obtained in order to test them for hepatitis
12 and HIV and the other testing, and that we
13 have access to those medical records because
14 we will be getting a copy of an autopsy if
15 it has been performed and we need a total
16 copy of the medical records from the
17 hospital or at least be able to summarize
18 those records.

19 The new things that have come up
20 in the last year, AATB has added to the
21 standard saying that we should, not a
22 "shall" at this time, discuss with the

1 families whether they agree to profit versus
2 non-profit use of the tissue, cosmetic use,
3 use of tissue outside the United States, and
4 in order to use tissue for research we need
5 to have consent for that. And then always
6 give the families the right to ask questions
7 because this is the most devastating time of
8 their life and at the time you are going to
9 be talking about consent you want to make
10 sure that they have a full understanding of
11 what you are talking about, whether tissue
12 to them means eye tissue, bone tissue, or a
13 piece of bone marrow.

14 Donor identification, the first
15 thing that you'll do when you get to the
16 hospital is they must identify the donor and
17 it will be assigned a unique ID number for
18 the tracing of all the tissues and this
19 number will then follow that donor through
20 the entire process.

21 Prior to retrieval at least one of
22 the staff members shall identify the

1 potential donor's ID against the consent for
2 tissue donation knowing that they are
3 retrieving the proper tissues and they have
4 the accurate donor. Donor identity will
5 also be documented in each and every chart
6 as to how you identified, whether it was a
7 toe tag, a wristband, or whatever source of
8 identification was used.

9 We do have time constraints
10 written into AATB. Up in the top corner of
11 the slides I have written the standard for
12 AATB in case anyone wants to see more detail
13 on these standards. If a donor has been
14 refrigerated or placed in a refrigerated
15 cooler prior to 12 hours we have 24 hours to
16 begin the retrieval of that tissue. If they
17 have not been refrigerated within 12 hours
18 then we must begin tissue procurement within
19 15.

20 The environment must be
21 documented. We must do all donors in an
22 aseptic fashion. We are going to use the

1 standard surgical prep, the same that would
2 be used on any other surgical procedure in a
3 hospital, sterile packs, sterile
4 instrumentation, and the technique must be
5 trained upon, documented, and according to
6 standards.

7 The general site where the tissue
8 was removed has to be documented. This
9 means was it removed in an operating room or
10 a morgue or a procedure room or a facility
11 room that the tissue bank may own. All
12 working surfaces before and after retrieval
13 will be scrubbed using bactericidal and
14 antimicrobial agents for cross-
15 contamination.

16 The preparation of the donor,
17 we'll start with cleansing, preparing and
18 draping the skin as well as soon as the
19 technician will do a gowning and gloving as
20 any surgeon or scrub tech would do on any
21 other surgical procedure and this shall be
22 accomplished with the same diligence as used

1 routinely for all operative procedures and
2 this is the standard. Whether or not you
3 are going to terminally sterilize the tissue
4 this is the standard. The aseptic technique
5 that will be followed and the one we train
6 on is the American Association of Operating
7 Room Nurses or the AORN standards.

8 The surgical staff shall perform
9 the scrub of their hands and their forearms
10 prior to retrieval of any tissues. They
11 will wear head coverings, eye shields, mask,
12 and scrubs at the time that they do these
13 procedures. This is whether they are in a
14 funeral home or in a morgue or any other
15 place and sterile gowns and gloves shall be
16 donned after they scrub and all tissue
17 recovered, again, will be used under aseptic
18 technique.

19 Here are just a few slides of
20 scrubbing the forearms and gowning in a
21 proper procedure. The gloving technique is
22 usually closed glove because the first

1 person to glove is alone. We don't have the
2 luxury of a scrub person to help us to begin
3 a proper surgical technique.

4 In prepping the donor they will
5 shave first any areas where an incision site
6 will be. We also will stay away from any
7 abraded areas or open wounds. Here we begin
8 our surgical dissection, usually start at
9 the hip, go down to the ankle, go through
10 the fascia lata, which is one of the grafts
11 that now are used in place of dura mater
12 since we don't have any dura.

13 Here they are removing the femur.
14 The same surgical technique is used for
15 every part of this process. They are
16 disarticulating the knee at the knee joint.
17 This is the femur and the tibia with the
18 deflected patella.

19 Going down to the tibia to the
20 ankle, just making a clean dissection. The
21 rest of the muscle and all will be left with
22 the donor for reconstruction purposes. And

1 removing of the tibia down at the ankle and
2 here is the Achilles tendon coming around
3 the backside of the calcaneus there.

4 The last graft that is usually
5 removed from the donor will be the ileum.
6 They will go back up to the hip and remove
7 the ileum. In case of contamination this
8 would be your number one place to get
9 contamination would be by nicking the bowel
10 at this time.

11 Pre-processing cultures are
12 mandated by AATB. There has been a lot of
13 information out recently about some recent
14 infections and preprocessing cultures must
15 be done on all tissues retrieved. Every
16 individually recovered and packaged tissue
17 shall have a pre-processing culture and this
18 is prior to the treatment of any antibiotics
19 or sterilizing of that tissue.

20 Even if the tissue is going to be
21 sterilized you must have a culture taken to
22 give you an idea of what bio-burden the

1 donor may have had at the time. It can be
2 used in the evaluation by the medical
3 directors to see if possibly there was some
4 systemic infection. Those results will be
5 maintained in the medical chart and the M.D.
6 will review those prior to release.

7 Immediately after removing the
8 tissue from the donor they will be wrapped
9 individually in aseptic containers. These
10 will be labeled with the donor ID and the
11 type of tissue. This is just to keep the
12 tracking of the tissue number throughout the
13 process.

14 Here she is doing a swab culture
15 on a tibia. They will swab the entire graft
16 top to bottom or any cut surfaces prior to
17 putting it into the package. After each
18 tissue is removed it is individually wrapped
19 prior to the removal of the next tissue. So
20 if you have a contamination problem your
21 problem will probably be picked up as you go
22 through the case so each graft is wrapped

1 individually as it comes out.

2 Here she is wrapping it in one
3 single barrier and then she is going to wrap
4 it this way. After this point then it will
5 be labeled with the identification.

6 The transportation of the tissue
7 from the procurement site to the freezer, it
8 will be packaged in a manner to permit
9 environmental conditions to be maintained
10 during that transport time. The containers
11 do not require monitoring during the
12 transport time, according to AATB, as long
13 as the containers have been validated. If
14 they have not been validated containers then
15 they would need to put a temp tail in there
16 or something to show that they had been kept
17 at refrigerated or frozen temperatures. The
18 maximum time that AATB allows on wet ice or
19 refrigerated temperatures prior to freezing
20 or processing is 72 hours.

21 The transport receptacles must
22 contain the following labels and this is

1 even if you are coming from a coroner's
2 office back to your own facility. Any time
3 it is carried in a car or shipped on an
4 airplane it must say "Human Tissue
5 Enclosed," the name and address of a
6 retrieval agency and processing center if
7 different than the retrieval agency, and it
8 must state, "Quarantine, Not Suitable for
9 Transplant in its Current Form."

10 Reconstruction of the donor is
11 mandated on all tissue donors no matter
12 whether they will be cremated or not. We do
13 follow whatever funeral home guidelines they
14 have. Usually we use dowels, rods, PVC
15 piping, and our closure technique will
16 either be usually a baseball stitch or a
17 running stitch. Here is some muscle being
18 sewn back up on the thigh around the
19 internal brace and then a closure to the
20 donor's skin after that time.

21 Most tissue banks will tell you
22 that this is their signature as to their

1 care of the donor; therefore, much care is
2 taken during this process in cleaning the
3 donor up.

4 Single donor tissue processing,
5 processing methods, all tissue shall be
6 processed by methods known to be validated
7 to prevent contamination and cross-
8 contamination. Some tissue banks will use
9 AORN draping such as you were use in the
10 operating room. If they are using any
11 process other than draping of their back
12 tables they must have validated their
13 surfaces and processes to show that there is
14 no cross-contamination on their work
15 surfaces and before and after processing
16 they will be scrubbed again and wiped down
17 with bactericidal and antimicrobial agents.

18 And this is just a typical clean
19 room on a draped surface. You will see
20 other banks that use stainless steel
21 counters and process directly on those.
22 Those should have been validated prior to

1 use if that is what they are using.

2 A typical central supply area
3 where instruments are wrapped after each
4 donor whether being processed or procured
5 and then sterilized with the use of steam or
6 gas sterilizers. Reagents and supplies
7 according to AATB standards used in
8 processing and preservation shall be of
9 appropriate grade for the intended use and
10 sterile if indicated. On the receipt of all
11 supplies we do record all reagents and
12 supplies, including the type of agent,
13 manufacturer, lot number, date of receipt,
14 expiration date, and inspection of that
15 reagent. This is also in case there is a
16 recall on any type of agent that we may have
17 used on a donor; therefore, we will know
18 what tissue to quarantine.

19 Tissue ID numbers, in processing
20 each tissue shall be assigned that unique ID
21 number which still will follow it through
22 the process. All tissue units shall be

1 assigned the same ID number as if they are
2 identical in a lot. So once the donor has
3 been given a number that becomes your lot.

4 In pooling AATB does address this
5 issue and it is not allowed. Tissue from
6 multiple donors shall not be pooled during
7 retrieval processing, preservation, or
8 storage at any time. Cross-contamination,
9 written procedures shall be prepared,
10 validated, and followed for prevention of
11 infectious disease contamination or
12 cross-contamination by tissue during the
13 processing. So it is very important to us
14 that when a donor tissue is brought into a
15 room no other donor tissue is there and no
16 two tissues ever touch at any time during
17 that process.

18 Here is a processing lab. What
19 you see on that right hand is a metal glove
20 that is actually on top of his other gloves.
21 This is just for safety. Frequently you
22 will have a lot of sharps in your hands so

1 this is a safety glove.

2 This is a typical cutting with the
3 use of a sterile band saw. All of this is
4 autoclavable. The entire band saw is taken.
5 That is an ileum he has in his hand and that
6 is the acetabulum of the ileum he is cutting
7 there, just as a reference.

8 Small saws may be used. These, of
9 course, too, have to be able to be
10 autoclaved and sterile at all times. These
11 are little cancellous blocks that you may
12 see used in a cervical fusion or upper neck.

13 Some people will centrifuge
14 tissue. It depends on what their validated
15 process is or their process is for tissue
16 banking but this is a centrifuge cup. It
17 will be centrifuged to bring out all of the
18 lipids and marrow. You will see a lot more
19 of that in the other speakers that are
20 talking about processing.

21 Each graft is individually
22 packaged and sealed in the room that it was

1 processed in or in the packaging room to
2 make sure that, again, there is no
3 cross-contamination.

4 Ultrasonic baths are used on some
5 donors. This is again to bring out fat and
6 lipids, you will see. It just depends on
7 what type of methods the tissue banks are
8 using.

9 These are patella ligaments. I
10 just put a couple of pictures in here of
11 different grafts that you might hear about
12 over the years. When you talk about young
13 donors you are talking about patella
14 ligaments, tri-cortical blocks, and some of
15 the weight-bearing grafts that are extremely
16 important in tissue banking. You will hear
17 tissue bankers say that young donors are of
18 extreme importance because of the type of
19 grafts you can get.

20 The older donors also are because
21 the young donors are going to be used for
22 more of these structural grafts and the

1 older donors for more of a ground type of
2 material that may be used as a packing
3 material on a hip surgery. So you will see
4 the need for both types of donors.

5 Femoral heads and short distal
6 femurs or femoral condyles, cortical struts
7 used in hip surgeries, back surgeries, tri
8 cortical blocks, it's an ileum with a tri
9 cortical block. You will hear about three
10 sides of the cortical there with a
11 trabecular bone in the middle. These have
12 to be from young donors. It is very
13 important. These are the grafts, the teler
14 ligaments, femoral grafts, and tri cortical
15 blocks, that you will always see massive
16 back orders for, surgeons waiting for these
17 grafts in very high demand.

18 Fibular segments may be used in a
19 back surgery. Crushed cancellous can come
20 from a younger donor or an older donor. It
21 is used a great deal in hip surgeries, knee
22 surgeries, packing materials, defects in

1 bone, but this is not a weight-bearing
2 structure; therefore, it can come from some
3 of those older donors.

4 Final tissue culturing, once the
5 tissue has been processed terminal
6 sterilization by a radiation or ethylene
7 oxide on those lots of tissue. You must do
8 10 percent destructive sterility testing to
9 show that you have no contamination after
10 the process or an equally validated
11 procedure such as 100 percent swab testing.

12 If no terminal sterilization has
13 been done and you are calling the tissue
14 aseptically processed, you are not going to
15 terminally sterilize, then you would do 100
16 percent swab culturing according to
17 standards.

18 Tissue release, the final release
19 after all the grafts are finished, you put
20 the whole puzzle together and quality
21 control will review all of the following
22 areas again prior to release. That will be

1 the procurement and processing records, the
2 medical director approval. AATB does
3 require that a physician release the donor
4 suitability, the serology review again, the
5 culture review again, meaning the
6 procurement cultures and the processing
7 cultures, any water residuals from the
8 freeze-dried tissue, irradiation results
9 will all be put together prior to the
10 release of that.

11 Then once your tissue has been
12 released it is an AATB standard that the
13 record shall include the name and address of
14 the tissue bank, the type, quantity, and
15 unique ID number of each tissue graft, the
16 recipient name and hospital number or Social
17 Security number of the recipient, the
18 transplantation site, the date and the time,
19 the ordering physician, the dispensing
20 physician, and the tissue preparation person
21 if applicable.

22 Most tissue banks will have some

1 type of a tissue log that they will give to
2 the hospitals to help them in filling out
3 these papers. It's not mandatory that they
4 return all of these. We strongly encourage
5 it and most tissue banks will have a way to
6 go back and look at those that have not been
7 returned because in case of a recall or any
8 problems we certainly need to know where the
9 tissue has been transplanted.

10 And that's it.

11 DR. BOLTON: Thank you, Diane. I
12 particularly appreciate that last slide.
13 I'm going on vacation next week to go
14 fly-fishing, so I'm ready.

15 Questions? Ermias.

16 DR. BELAY: You say you don't
17 allow cross-contamination of the products.
18 I was curious about some of the instruments
19 you showed us on the slides, particularly
20 the saws, the different saws you use to cut
21 different bone parts. Are those instruments
22 sterilized in between donors after

1 processing between one donor from the next?

2 MS. WILSON: Yes, they are.

3 According to AATB standards you must
4 sterilize in between donors. The saws, a
5 lot of them that have any type of a rubber
6 cord cannot be steam-sterilized but they can
7 be gas-sterilized.

8 You would then validate them in
9 your gas sterilizer to show that you do not
10 have any residual tissue left in them or
11 growth on the grafts but even the large band
12 saw can be steam-sterilized and the other
13 things with the cords are gas-sterilized.

14 DR. BELAY: The other question I
15 had was do you have any demand and supply
16 problems with bone in the United States?

17 MS. WILSON: Yes, we do. The
18 demand far exceeds the supply, especially of
19 weight-bearing grafts, the patella
20 ligaments, the femoral grafts, Achilles
21 tendon, tri cortical blocks.

22 DR. BOLTON: With respect to the

1 band saw, aside from the sterilization you
2 said that can be autoclaved. Is it
3 scrubbed? Is the saw itself scrubbed in any
4 way to remove tissue or particulates?

5 MS. WILSON: The saw is. The saw
6 will have a large blade that goes around the
7 wheels. It will not only be cleaned but
8 blown out with an air hose. Then it will
9 also be soaked with a disinfectant and then
10 it will be sterilized after that period of
11 time.

12 The blades on the saw usually are
13 a one-time use only. They can be
14 re-autoclaved but because of just cuts in
15 handling the blades to the personnel we
16 usually just discard those.

17 DR. BOLTON: So the blade itself
18 is used for one patient?

19 MS. WILSON: The blade is for
20 one-time use but the saw itself that has to
21 be autoclaved, too, has to be cleaned and
22 you have to get out the other residual bone

1 powder that it will create.

2 MS. KNOWLES: And I have a
3 question. When you blow it out with the air
4 hose is that connected up to a vacuum?

5 MS. WILSON: Yes. Most people
6 will have that, yes.

7 DR. BOLTON: Yes, Dr. Wolfe?

8 DR. WOLFE: You mentioned that
9 since you don't harvest dura mater that you
10 have been using fascia lata. Certainly
11 autologous fascia lata is one of the
12 alternatives to dura mater. Is it your
13 experience that there has been an increased
14 amount of fascia lata request or demand as
15 the use of dura mater has decreased? Could
16 you just comment on that?

17 MS. WILSON: From our experience
18 there was initially when we stopped doing
19 dura mater.

20 DR. WOLFE: When did you stop
21 doing dura mater?

22 MS. WILSON: 1996, our bank did

1 and most banks stopped very shortly
2 thereafter. We had an increase for fascia
3 for probably about a year. I believe most
4 places now are using more synthetic or
5 bovine.

6 DR. WOLFE: Stopped in '96 for
7 what reasons or what was the context?

8 MS. WILSON: We had done dura
9 probably since 1987 and when the new
10 pre-market approval, 510(k)s were required
11 on dura mater, we did a very small amount of
12 dura mater and elected to not do dura.

13 DR. PETTEWAY: As far as the
14 sterilization of the tissue, the ethylene
15 oxide irradiation, what types of organisms
16 have been validated for sterilization with
17 these types of tissues, just to give us an
18 idea of how effective they may be?

19 MS. WILSON: I probably can't
20 answer for all banks. I know that we use
21 bacillus pumilus as an impregnated strip
22 that goes in every lot of irradiation as our