

1 result of Tateishi's data, but we wanted to  
2 make a better measurement and address this  
3 question particularly.

4 Then, are the filters removing  
5 infectivity at random or are they selecting  
6 a more attentive population. Finally, we  
7 want to ask the question how dependent is  
8 filtration efficiency on the spike  
9 preparation? So, this is what we prepared.  
10 Also we have two spikes.

11 One is the brain homogenate spike  
12 from 263K hamster strain scrapie, then the  
13 PrP fibrils purified from the same models,  
14 the hamster model, and during the  
15 purification we used 1 percent sarkosyl.  
16 But I want to clarify that this sarkosyl was  
17 removed at the last step of the  
18 purification, so there was no sarkosyl in  
19 our experiment.

20 Both spikes were treated with  
21 solvent detergent before adding to factor 8  
22 ---- in dilution from 1 to 100. So, our

1 vehicle was factor 8 eluate, and the spike  
2 were treated with solvent detergent.  
3 However, this solution was thinned,  
4 diluted 1 to 100. So, there was no  
5 detergent, really, in our experiment. But I  
6 want to emphasize that both preparations  
7 were exposed to detergents, and in that case  
8 we measured the titers by the end point  
9 titration.

10 This is the experimental  
11 configuration on a study that we did. This  
12 is the brain of homogenous spike, the  
13 purified fibril spike. They are identical,  
14 in terms of configuration. We spiked the  
15 material here, the first stage, and then  
16 filter on the -- the first filter was 75  
17 nanometer. Then the filtrate went through  
18 the first 35 nanometer. The filter of this  
19 the second 35 nanometer and so on. So, we  
20 spiked only once. There was no spiking in  
21 the middle.

22 We also put two filters. There's

1 millimeter in tandem, and this is because we  
2 wanted to address the question of what is  
3 the mechanism of these filters, and this  
4 point was mentioned yesterday by Bob Rowher.  
5 So in this particular case, what we wanted  
6 to learn -- if the first filter is selected,  
7 then what it goes through -- what passes  
8 through the first filter has a size that's  
9 less than 35 nanometers, so it would not be  
10 stopped. It would not be removed by the  
11 second 35 nanometer filter.

12 On the other hand, if this filter  
13 removes infectivity by stochastic or random  
14 event, then there's going to be some  
15 particles that pass through the filter that  
16 are larger than 35 and that will be stopped  
17 by the second 35 millimeter filter.

18 This is the filter configuration.  
19 This is where the challenge material is  
20 placed to pump the liquid through the  
21 filter. This is the Planova nanofilter, and  
22 then during filtration we closed this

1 outlet. We then look at pressure and make  
2 sure that we don't exceed the pressure for  
3 each filter. During filtration we collect  
4 the filtrate on this tube here.

5 This slide shows the results of  
6 this experiment, and this first line here  
7 corresponds to the infections dose per  
8 millimeter that used to challenge each  
9 filter. This is the recovery, and this is  
10 the log of retention.

11 So, for brain homogenate spike, we  
12 have a first filter remove four logs of  
13 infectivity. The first 35 nanometer filter  
14 moved almost nothing. Another thing, the  
15 second 35 nanometer removed more than the  
16 first one.

17 As I already explained to you,  
18 this obviously was an unexpected result and  
19 it's not clear at this point why we see such  
20 a phenomenon. It's possible that there is  
21 some other mechanism going on that we don't  
22 have control over.

1           The second 35 nanometer filter was  
2           only 20 infections units per liter. We  
3           challenged the first 15 nanometer filter and  
4           we saw no infectivity in the 15 nanometer  
5           filtrate. So this corresponds to less than  
6           the 6 infectious units per milliliter, and  
7           this corresponds to more than .5 logs of  
8           retention for that filter.

9           This is the result of purified  
10          fibrils. The first 75 nanometer filter  
11          removed 3 logs or 3.1 logs of infectivity;  
12          nothing from the first 35 nanometer filter,  
13          and more on the second 35 nanometer filter.  
14          Again, this is the same thing I just talked  
15          about for brain homogenate. Then the  
16          first 15 nanometer filter removed more  
17          than 2 logs of infectivity.

18          So, if we compare now the data  
19          from the two spikes, where we used -- the  
20          first 75 nanometer filter removed more --  
21          one or more of the brain spikes compared to  
22          the fibril spike, indicating that the

1 infectivity distribution in the spike fibril  
2 is more toward the smaller size, compared to  
3 brain.

4 In the 35 nanometer filter we show  
5 no difference basically, and also we -- in  
6 the 15 nanometer filter we saw there was  
7 a -- first of all -- a complete removal of  
8 infectivity for most of them. For this  
9 fibril here, we saw more than 2 logs of  
10 infectivity removal, and of course the first  
11 thing we wanted to know is: Is this the  
12 limit of the removal or this is the limit of  
13 our detection assay. But if we could  
14 challenge the same filter with more  
15 infectivity, would we find more removal or  
16 not?

17 So, the conclusion at this point  
18 is that the majority of removal was by  
19 the 75 nanometer filter, and this filter  
20 removed selectively. That's because  
21 whatever passed the 75 nanometer filter was  
22 not blocked by the 35 nanometer filter,

1 which means that it was smaller than 35  
2 nanometer.

3 This is a point I have already  
4 mentioned. The second 35 nanometer filter  
5 removes more than the first. The fibril  
6 preparation should ---- less retention than  
7 brain homogenate, and this is for the  
8 first 75 nanometer filter.

9 Also, the other conclusion is that  
10 the 15 nanometer filter retains more than 2  
11 logs of infectious 35 nanometer filter.  
12 This is something, again, that Dr. Rowher  
13 was talking about yesterday that we think  
14 that the appropriate spike for a small  
15 filter like 15 nanometer filter. It's  
16 really a pre-filter, some sort of pre-filter  
17 or what we call a condition filtrate, so  
18 that you remove all the large particles and  
19 you challenge that filter with particle size  
20 that are compatible with filter pore size.

21 Also here the detergent may  
22 facilitate filtration infectivity. That is

1 because I said our samples, even though the  
2 experiment did not contain detergent, but  
3 the spikes were exposed to detergent.

4 In summary, now, the spike  
5 modality can affect clearance potential, as  
6 I showed you for the two different spikes.  
7 The effectiveness of nonfiltration can be  
8 strongly dependent upon process parameters.  
9 This is what I've been mentioning about the  
10 presence of the treatment of the spike with  
11 detergent we might have found a completely  
12 different results if we had not treated the  
13 spike with detergent.

14 So, the idea here is that the  
15 result of nanofiltration depends  
16 exclusively -- in the context that  
17 nanofiltration is done with a specific of  
18 process parameters they apply to the spike,  
19 and to the vehicle, too. So, this of  
20 course, is strongly cautious against over  
21 interpretation of removal studies and also  
22 extrapolation of data from one study to



1 another.

2 It's also suggested that ---- of  
3 multiple removal step can always be assumed,  
4 and the serial tandem processing is an  
5 excellent means to reveal a selective  
6 removal.

7 Okay, we're going back to our  
8 model here, our pictorial model. What we  
9 found at this point in this study is that we  
10 have not found in any infectivity on the 15  
11 nanometer filter, so we can exclude -- at  
12 least in that study will not support this  
13 model here, the single protein model. It  
14 also doesn't support any of -- there is a  
15 particle, a TSE agent different from fibrils  
16 that can be filtered, that has a filtration  
17 size less than 15 nanometers.

18 But it appears, at this point, to  
19 say ---- fibrils and the ---- has reduced  
20 the fibrils to a different -- to a  
21 distribution infectivity. So, some are  
22 removed by the 75 nanometers; very few are

1 removed by the 35; and all of them are  
2 removed by the 15 nanometers.

3 As I said earlier, what we wanted  
4 to know is if we challenge the 15 nanometer  
5 filter with more infectivity, something that  
6 has already gone -- something like five or  
7 six logs of infectivity that have already  
8 been filtered to a 35 nanometer filter, so  
9 it's been conditioned, do we still find a  
10 complete removal of infectivity?

11 We have done already the studies,  
12 but the studies are almost complete but  
13 we're still missing a piece of the puzzle,  
14 so I cannot talk to you about these studies  
15 at this moment. But what we have done to  
16 address the unanswered question that came  
17 from the first study is what is the limited  
18 size of TSE agent? So, we challenged the 15  
19 nanometer filter with titers of around 6  
20 or 7 logs of infectivity that have already  
21 been conditioned on the 35 nanometer filter.

22 Also, the other question was:

1       What is the maximum pore size capable of  
2       removing infectivity? Well, when we started  
3       the study, we thought it was something  
4       between 35 nanometers and 50 nanometers; so  
5       we tested some filters that had the pore  
6       size between these two values.

7               Finally, we explored the effect of  
8       surfactant and like detergent on the  
9       filterability of TSE. And we think we're  
10      going to get this completely studied in a  
11      short time, and we hope that we can present  
12      this at another time.

13              Thank you.

14              DR. BOLTON: Thank you,  
15      Dr. Gregori. Questions.

16              DR. McCULLOUGH: I would just say  
17      excellent design of your study and formulas  
18      and the hypothesis and very interesting  
19      results. Just commending you.

20              DR. GREGORI: Thank you.

21              DR. BOLTON: I have a question  
22      actually. When you say you have purified

1 fibrils, was that material treated with  
2 proteinase-K?

3 DR. GREGORI: This one in  
4 particular were, yes. They were --

5 DR. BOLTON: So, you did have  
6 fibrils there. I just want to point out  
7 that there are alternate methods for  
8 purifying TRP which do not use proteinase-K  
9 digestion and those do not produce fibrils;  
10 they produce protein globules, and they will  
11 have different physical properties that may  
12 or may not filter in the same way.

13 I think -- a major point that you  
14 made I think is very important to emphasize,  
15 and it's been done in your study and in  
16 previous studies by Dr. Rowher and in my  
17 laboratory and others, that it is indeed  
18 true that the exact biochemical milieu is  
19 very important in how the agent acts in  
20 filtration and centrifugation and many other  
21 kinds of biophysical conditions. So, it's  
22 very difficult -- and we'll be going

1 forward -- very difficult to extrapolate  
2 from any particular study designed in a  
3 certain way to other conditions that may  
4 apply.

5 DR. GREGORI: Yes, that's exactly  
6 my point.

7 DR. BOLTON: Steve.

8 DR. DeARMOND: Although the study  
9 was well designed and looks very nice, it's  
10 really difficult to interpret because in the  
11 one you start with a homogenate, which  
12 theoretically is the native state of  
13 whatever the agent is -- we presume it's  
14 prion protein.

15 DR. GREGORI: Yes.

16 DR. DeARMOND: The other is highly  
17 processed prion protein because the fibrils  
18 require proteinase-K digestion plus  
19 detergent to form them. So, it's a  
20 different situation, and in both cases  
21 there's going to be a whole range of sizes  
22 of molecules from individual molecules that

1 can pass through that in the native state  
2 would be about 30, 35 kilodaltons. But they  
3 should pass the 35 filter.

4 But the majority seem to be dimers  
5 or polymers, and we think even a hexamer is  
6 the basic unit, the basic natural unit of  
7 the infectious agent. So, this is a very  
8 complicated thing to interpret.

9 But the question I wanted to ask  
10 is: The reduction that you're getting in  
11 infectivity titers by the time you get  
12 beyond the 15 nanometer is putting it in  
13 terms of the other chemicals ways -- sodium  
14 hydroxide denaturation and heating -- are  
15 you getting similar -- it looks like the  
16 decrease in titer is similar to treating  
17 sodium hydroxide and heat. By the time you  
18 get to the 35, is it the 35 or at the 15  
19 nanometer pore?

20 DR. GREGORI: I'm not sure I  
21 understand actually your question.

22 DR. DeARMOND: So you've got a

1 marked reduction --

2 DR. GREGORI: I'm sorry, we were  
3 not doing activation. Those are not  
4 inactivation studies.

5 DR. DeARMOND: But you end up  
6 arriving at inactivation.

7 DR. BOLTON: Steve, I think the  
8 answer to the question is yes.

9 DR. DeARMOND: Thank you.

10 DR. BOLTON: Because by the time  
11 they'd gotten past the 15 nanometer filter,  
12 they had no measurable infectivity, at least  
13 in the amounts that they tested.

14 DR. GREGORI: Yes.

15 DR. BOLTON: I don't know how many  
16 animals you inoculated with what volume, but  
17 it was below the level of detection within  
18 the assay parameters.

19 DR. DeARMOND: Then the 35  
20 nanometer, was that comparable to standard  
21 denaturation?

22 DR. BOLTON: Well, we consider one

1 normal sodium hydroxide for an hour or more  
2 to be essentially terminal activation, so  
3 I'm not sure --

4 DR. GREGORI: I still don't  
5 understand why we're considering removal  
6 same as an inactivation.

7 DR. DeARMOND: I was comparing  
8 reduction in infectivity titer using this  
9 technique -- thinking of industry. So  
10 industry could use this technique to reduce  
11 infectivity titer to close to zero, without  
12 having to use sodium hydroxide and heat.  
13 So, I was going to the practical side.

14 DR. GREGORI: Oh, okay.

15 DR. BOLTON: Only if the method is  
16 applicable to their process.

17 Sue?

18 DR. CERVENAKOVA: All right.

19 DR. PRIOLA: Two quick questions.

20 One: 15 nanometers was the size you gave as  
21 the minimal unit size. How many PrP  
22 molecules is that?



1 DR. GREGORI: How many what?

2 DR. PRIOLA: How many PrP single  
3 molecules --

4 DR. GREGORI: Fifteen nanometers?

5 DR. PRIOLA: Yes.

6 DR. GREGORI: I don't know. I  
7 would say --

8 DR. DeARMOND: I think that's less  
9 than the size of a single one. I think  
10 about 50, 60 nanometers go to a dimer, which  
11 is about 60, 70 kilodaltons. I think that's  
12 what Tikvah Albert came up with, a cross-  
13 sectional diameter of the minimal diameter  
14 of the infectious agent.

15 DR. BOLTON: It's much more  
16 complicated.

17 DR. GREGORI: I really don't know  
18 this question.

19 DR. PRIOLA: That's fine. I was  
20 just wondering if -- the second thing was  
21 more of a hypothetical thing. Have you ever  
22 thought of spiking with something other than

1 material from a clinical animal, taking into  
2 consideration what you said and what  
3 Dr. Rowher said yesterday that the nature of  
4 the spike is important, and it may be that  
5 the nature of the infectious aggregate, if  
6 it is in fact PrP SE, can differ in  
7 pre-clinical versus clinical animals. It  
8 may be smaller in a pre-clinical animal, for  
9 example.

10 DR. GREGORI: Right, yeah, I  
11 understand the question. No, we have not  
12 done that because usually these studies we  
13 go for the highest titer we can achieve to  
14 show removal. And so we have never tried,  
15 as far as I know, we never tried the same  
16 studies with pre-clinical brains.

17 DR. PRIOLA: Yeah, it's more a  
18 hypothetical, scientific question than a  
19 practical application.

20 DR. BOLTON: I have a comment,  
21 actually. In your study where you seem to  
22 get higher removal rates in the second 35

1 nanometer filter, were those samples along  
2 the line adjusted for constant protein  
3 concentration? I forget if there was  
4 supplemental protein added to the material  
5 to begin with.

6 DR. PRIOLA: No. These brains --  
7 the homogenate -- the spike where in  
8 factorate monoclonal antibody eluate.  
9 That's constant as the vehicle. So, that's  
10 the concentration of that protein supposed  
11 to be -- is not removed by these filters.  
12 So that would be the background of the  
13 studies.

14 DR. BOLTON: The question would be  
15 whether or not you're getting just  
16 adsorption to the filter and that could  
17 still, I suppose, happen in the presence of  
18 the antibody, but it sort of depends on what  
19 the protein concentration is.

20 DR. DeARMOND: Just one other  
21 comment on size. If the prion protein, of  
22 course, in the native state, is

1 triglycosylated -- or diglycosylated, mono,  
2 and no glycosylation, and the sugars account  
3 for about 35 percent of the volume of the  
4 molecule. So, it's possible that the  
5 majority are going to be stuck at the 35,  
6 but the mono and then finally the  
7 non-glycosylated forms could get through  
8 multiple filters, and they could be a  
9 smaller proportion that would get through.  
10 So, it's a very complicated -- that's why  
11 the interpretation is extremely complicated  
12 here.

13 DR. BOLTON: I hope you're not  
14 confusing kilodaltons with nanometers,  
15 because the size --

16 DR. DeARMOND: I'm trying to  
17 remember the sizes of the molecule, and  
18 you're right, I can't do it.

19 DR. BOLTON: Dimers and trimers  
20 are going to be much, much smaller than 15  
21 nanometers as a cross-sectional. So,  
22 certainly -- now, I just wrote something on

1 this, so I should remember it but I don't.  
2 But the size of a tetramer or hexamer is  
3 going to be much smaller than a 15 nanometer  
4 pore size, even smaller than 9 nanometer  
5 pore size.

6 A shell of PrP of about 35 or 40  
7 subunits might be in that range of 12 to 15  
8 nanometers. Other questions or comments?  
9 Ah, one more.

10 DR. ESHKOL: Maybe just to remind  
11 the audience.

12 DR. BOLTON: Would you introduce  
13 yourself?

14 DR. ESHKOL: Aliza Eshkol from  
15 Geneva ----. I presented yesterday, data on  
16 the validation of the ---- production, the  
17 possible validations, which included not  
18 only the filtrations, and we have never been  
19 able to remove by 20 nanometer filters more  
20 than 2 logs of infectivity.

21 DR. BOLTON: Again, I think that's  
22 important to point out, that the different

1 milieu or the different pre-treatment makes  
2 these studies very complicated to interpret.

3 At this point, just before we --  
4 well, let me ask again, are there any other  
5 comments or questions from the committee?

6 Dr. Belay has reminded me that  
7 there is in fact a single variant CJD case  
8 that resides in the United States, and he  
9 would like to bring the committee up to date  
10 on that case. Dr. Belay?

11 DR. BELAY: Yes. I think  
12 everybody's aware of this case because it's  
13 been in the media, widely reported. This is  
14 a person who was born in England and moved  
15 to the United States as a teenager and has  
16 been residing or living in the United States  
17 since then. The patient is still alive and  
18 receiving medical care in the United States.

19 Initially, there was a question on  
20 whether or not this case should be assigned  
21 to the U.S. or to the U.K. The working  
22 group had already made a decision that vCDJ

1 cases should be assigned by the country in  
2 which they had illness onset or they resided  
3 at the time of illness onset. Because this  
4 patient was a U.S. resident, it's now being  
5 called a U.S. case.

6 DR. BOLTON: Could you give us  
7 when she was a teenager, in terms of the  
8 epidemic, the BSE epidemic?

9 DR. BELAY: She was born and  
10 raised in the United Kingdom throughout  
11 the 1980s. As you recall, this is a time  
12 period where BSE was rising and no  
13 preventive measures were instituted to  
14 prevent export to humans.

15 DR. BOLTON: When did she move to  
16 the U.S?

17 DR. BELAY: Early 1990s.

18 DR. BOLTON: Any other questions  
19 or discussion? Well, I would entertain a  
20 motion to adjourn then.

21 One thing I would like to say,  
22 actually, before we do that. It's clear

1 that we have seen maybe the very early  
2 defects of the guidance implemented in  
3 January. I think that we need to get an  
4 update on that at our meeting in October, so  
5 that we have a little bit better picture of  
6 what effects that may have had, so I would  
7 just ask David and FEA to arrange to have  
8 that done.

9 DR. SCOTT: We plan to do that and  
10 I want to thank all our speakers today for  
11 all of their efforts in updating us on the  
12 blood supply and also for the scientific  
13 updates, which were very informative and  
14 useful. We will update you at the next  
15 meeting.

16 DR. BOLTON: Thank you, Dot, I was  
17 remiss in thanking everyone. Thank you all,  
18 all the committee members, the members of  
19 the public for attending this meeting.

20 (Whereupon, at 12:32 p.m., the  
21 PROCEEDINGS were adjourned.)

22 \* \* \* \* \*