

ajh

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE  
74th MEETING

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

Thursday, September 12, 2002

8:00 a.m.

Hilton Silver Spring Hotel  
8727 Colesville Road  
Silver Spring, Maryland

MILLER REPORTING COMPANY, INC.  
735 8th Street, S.E.  
Washington, D.C. 20003-2802  
(202) 546-6666

## PARTICIPANTS

Kenrad E. Nelson, M.D., Chair  
Linda A. Smallwood, Ph.D., Executive Secretary

## Members

James R. Allen, M.D.  
Charlotte Cunningham-Rundles, M.D., Ph.D.  
Kenneth Davis, Jr., M.D.  
Samuel H. Doppelt, M.D.  
Michael G. Fitzpatrick, Ph.D.  
Harvey G. Klein, M.D.  
Raymond S. Koff, M.D.  
Suman Laal, Ph.D.  
Judy F. Lew, M.D.  
Daniel L. McGee, Ph.D.  
Terry V. Rice  
Paul J. Schmidt, M.D.  
Sherri O. Stuver, Sc.D.

## Consumer Representative

Robert J. Fallat, M.D.

## Non-Voting Industry Representative

Toby L. Simon, M.D.

## Temporary Voting Member

Liana Harvath, Ph.D.

C O N T E N T S

	<u>PAGE</u>
Welcome, Statement of Conflict of Interest	5
Announcements	8
<b>Committee Updates</b>	
Meeting Summary: PHS Advisory Committee on Blood Safety and Availability Meeting Held on September 5, 2002	
Virginia Wanamaker	10
Summary of Workshop on Pathogen Inactivation, August 7-8, 2002	
Jaroslav Vostal, M.D., Ph.D.	23
West Nile Virus and Blood Safety	
Anthony Marfin, M.D.	33
Jesse Goodman, M.D.	69
<b>Self-Administration of the Uniform Donor History Questionnaire: First-Time Donors</b>	
Background and Introduction:	
Alan Williams, Ph.D.	94
Presentation:	
John Boyle, Ph.D.	105
Presentation:	
Victoria Virvos, M.Ed	147
Open Public Hearing	
Mary Townsend, M.D., AABB	174
Peter Page, M.D., American Red Cross	186
Celso Bianco, M.D., America's Blood Centers	206
Paul D. Cumming, Ph.D., Talisman Limited	210
Committee Discussion and Recommendations	221
<b>Update on Testing for Chagas Disease (Informational)</b>	
Introduction:	
Robert Duncan, Ph.D.	251
Latest Trends in Transfusion-Transmitted Chagas Disease:	
David Leiby, Ph.D.	254
Regulatory Pathway for Donor Screening:	
Robert Duncan, Ph.D.	277

C O N T E N T S (CONTINUED)

Open Public Hearing	
David Persing, M.D., Corixa	295
Kay Gregory, AABB	296
<b>Window Period HIV Cases and Current Estimates of Residual Risk (Informational)</b>	
Introduction and Background:	
Indira Hewlett, Ph.D.	297
Case Report--Florida Blood Services:	
German Leparc, M.D.	304
Viral Dynamics in Early Seroconversion:	
Michael Busch, M.D.	311
Open Public Hearing	
Wm. Andrew Heaton, M.D., Chiron	331
Dr. James Gallarda, Roche	342
Sherrol McDonough, Ph.D., Gen-Probe	350
Ronald O. Gilcher, M.D., Oklahoma Blood Institute	354
Susan Stramer, Ph.D., American Red Cross	361
Paul Holland, Blood Source	369
Celso Bianco, M.D., America's Blood Centers	375
Kay Gregory, AABB	380
Adjournement	383

P R O C E E D I N G S

**Welcome, Statement of Conflict of Interest**

DR. SMALLWOOD: Good morning. Welcome to the 74th meeting of the Blood Products Advisory Committee.

I am Linda Smallwood, the Executive Secretary of the committee. At this time, I will read the Conflict of Interest Statement that applies to this meeting.

The following announcement is made part of the public record to preclude the appearance of a conflict of interest at this meeting. Pursuant to the authority granted under the Committee Charter, the Director of FDA's Center for Biologics Evaluation and Research has appointed Dr. Liana Harvath as a temporary voting member.

Based on the agenda, it has been determined that there are no products being approved at this meeting. The committee participants have been screened for their financial interests. To determine if any conflicts of interest existed, the agency reviewed the agenda and all relevant financial interests reported by the meeting participants.

The Food and Drug Administration has

1 prepared general matters waivers for the special  
2 government employees participating in this meeting  
3 who require a waiver under Title 18, United States  
4 Code 208.

5 Because general topics impact on so many  
6 entities, it is not prudent to recite all potential  
7 conflicts of interest as they apply to each member.  
8 FDA acknowledges that there may be potential  
9 conflicts of interest, but because of the general  
10 nature of the discussion before the committee,  
11 these potential conflicts are mitigated.

12 We would like to note for the record that  
13 Dr. Toby Simon is participating in this meeting as  
14 an Industry Representative acting on behalf of  
15 regulated industry.

16 With regard to FDA's invited guests, the  
17 agency has determined that the services of these  
18 guests are essential. There are interests which  
19 are being made public to allow meeting participants  
20 to objectively evaluate any presentation and/or  
21 comments made by the participants.

22 For the discussions on the Window Period  
23 HIV Cases and Current Estimates of Residual Risk,  
24 Dr. Michael Busch is the Scientific Director, Blood  
25 Centers of the Pacific. He has grants, receives

1 speaker fees and is an advisor for firms that would  
2 be affected by the discussion.

3 Dr. German Leparc is employed as the Chief  
4 Medical Officer for Florida Blood Services. In  
5 addition, listed on the agenda are speakers making  
6 industry presentations. These speakers are  
7 employed by industry and thus have interest in  
8 their employer and other regulated firms.

9 FDA participants are aware of the need to  
10 exclude themselves from the discussions involving  
11 specific products or firms for which they have not  
12 been screened for conflict of interest. Their  
13 exclusion will be noted for the public record.

14 With respect to all other meeting  
15 participants, we ask, in the interest of fairness,  
16 that you state your name, affiliation, and address  
17 any current or previous financial involvement with  
18 any firm whose products you wish to comment upon.

19 Waivers are available by written request  
20 under the Freedom of Information Act.

21 At this time, I would ask if there any  
22 additional declarations to be made from any meeting  
23 participants.

24 Hearing none, I would like at this time to  
25 introduce to you the members of the Blood Products

1 Advisory Committee.

2 Members, when I call your name, if you  
3 would please raise your hand.

4 Dr. Kenrad Nelson, Chairman. Dr. Stuver.  
5 Dr. Allen. Dr. Harvath. Dr. Lew. Dr. Doppelt.  
6 Dr. Klein. Dr. Fitzpatrick. Dr. Fallat. Dr.  
7 Simon. Mr. Rice. Dr. Laal. Dr. McGee. Dr. Koff.  
8 Dr. Schmidt.

9 **Announcements**

10 DR. SMALLWOOD: Before we proceed with the  
11 formal meeting, we have two retiring members  
12 leaving the committee at this time, and I would  
13 like to ask Dr. Jay Epstein, the Director of the  
14 Office of Blood Research Review, to come forward  
15 and to make the presentations to Mr. Terry Rice and  
16 Dr. Toby Simon. If you would come forward, please.

17 DR. EPSTEIN: It is my sad pleasure and  
18 privilege to be awarding plaques in recognition of  
19 the years of good service that have been given to  
20 us both by Mr. Rice and Dr. Simon as members of the  
21 Blood Products Advisory Committee.

22 We know that it takes substantial effort  
23 on the part of the members to read the voluminous  
24 packets that we send you on very short notice and  
25 to deliberate long and hard on the many difficult

1 questions that we bring before the committee.

2 I just want to express the thanks of the  
3 Food and Drug Administration to each of you for the  
4 work that you have done these last couple of years,  
5 and we do hope that you will agree to say on as  
6 special government employees, so that we can also  
7 tap your expertise ad hoc from time to time.

8 Thank you very much.

9 [Applause.]

10 DR. EPSTEIN: These are also letters of  
11 appreciation.

12 DR. SMALLWOOD: I would just like to  
13 remind everyone that this is a one-day meeting of  
14 the Blood Products Advisory Committee. We have a  
15 very full agenda today and we will try to adhere to  
16 our time range as best as we can. We would ask  
17 that when it is time for your presentation to be  
18 made, that you be prepared, and if you have a  
19 presentation for which you will need assistance  
20 with our audio-visual group, would you please let  
21 them know.

22 At this time, I would like to turn over  
23 the proceedings of the meeting to the Chairman, Dr.  
24 Kenrad Nelson.

25 DR. NELSON: Thank you, Dr. Smallwood.

1           The first part of the agenda is a series  
2 of summaries of workshops and of other evolving  
3 issues. First, on the agenda, is Virginia  
4 Wanamaker summarizing the Advisory Committee on  
5 Blood Safety and Availability meeting that was held  
6 about a week ago.

7           **Summary of PHS Advisory Committee on Blood Safety**  
8           **and Availability Meeting, 9-5-02**

9                           **Virginia Wanamaker**

10           MS. WANAMAKER: Good morning. I am  
11 pleased to be here this morning to tell you a  
12 little bit about the Advisory Committee on Blood  
13 Safety and Availability that met last Thursday,  
14 September 5th, and the topic of our meeting was how  
15 can government and industry work together to assure  
16 the availability of blood and blood products.

17           There were actually two issues at the  
18 meeting, the first being the CMS proposed rule on  
19 new payments for outpatient services, and the other  
20 was the blood supply.

21           So, right away, fairly shortly after the  
22 meeting started early in the morning, the committee  
23 proceeded with two recommendations. One of the  
24 recommendations was for HHS to direct CMS to  
25 establish 2003 Medicare hospital outpatient

1 prospective payment system payment rates for blood  
2 and blood components, transfusion services, and the  
3 transfusion laboratory procedures based on the  
4 current year acquisition and actual total cost  
5 rather than hospital outpatient claims from  
6 previous years.

7           Then, there was another recommendation  
8 relatively similar, but this one addressed payment  
9 for plasma-derived therapies and their recombinant  
10 analogs and that they be based on current year  
11 acquisition and total actual cost of providing such  
12 products and services both within hospitals and  
13 non-patient settings to include physicians' offices  
14 to assure patient access to care.

15           From that, we moved on to looking at the  
16 blood supply. There were actually two components  
17 of this. One was monitoring of the blood supply,  
18 and the other was to look at the question how much  
19 is enough. We also, at the end of the day, had a  
20 brief updating on the West Nile virus, but I  
21 believe that is on your program and I will just  
22 merely mention that and move on.

23           In the Monitoring Section, we heard from  
24 about five monitoring systems that are currently in  
25 process.

1           The first was the Department of Health and  
2 Human Services monitoring system, which is a  
3 sentinel site blood monitoring project. This  
4 project has 26 hospitals and 3 community sites. It  
5 collects quantitative data.

6           From this project report, it appears that  
7 the overall supply, especially from these sentinel  
8 sites, is adequate, however, there are a few of  
9 these sentinel sites that have chronic issues or  
10 chronic shortage problems.

11           The next was FDA's TransNet. It is not  
12 yet fully functional, but it is a web-based plan  
13 with daily entry. It has various markers of  
14 shortage. There will be a daily--once the web site  
15 is up--there will be a daily map displaying areas,  
16 and it will highlight the areas with shortages.  
17 This is a qualitative system with no quantitative  
18 data.

19           Next, we heard from ABC, America's Blood  
20 Centers. My understanding of their system is that  
21 it is a two-phase system. The first phase monitors  
22 the day's supply. The second phase will show  
23 members areas of access, however, to date, they  
24 have mostly had shortage issues, and that is mostly  
25 what they are displaying.

1           Then, we had a presentation from the  
2 National Blood Data Resource Center. There were  
3 about four points from their presentation, that  
4 total collections information 2001 were over 50  
5 million units; more surgeries were affected by  
6 shortages in 2001 than in 1999.

7           The collections and inventory total so far  
8 this year are unchanged in comparison with last  
9 year prior to September 11. By the year 2020,  
10 there will be 12 million people added to the age  
11 group that are at risk for transfusion. NBDRC  
12 believes that long-term quantitative monitoring is  
13 an essential part of the blood monitoring system.

14           We also heard from the American Red Cross.  
15 They manage inventory across 36 regions. They  
16 consider a two-day supply to be critical inventory,  
17 and they did fall to this level at the end of last  
18 month. They do consider a seven-day supply to be  
19 optimal.

20           We had a small session on forecasting,  
21 which actually was an overview of the monitoring  
22 programs. The speaker or the presenter favored  
23 quantitative programs or the need for quantitative  
24 programs. He actually liked the sentinel site, and  
25 he did state that a shotgun effect, if you have a

1 lot of different monitoring systems, and they  
2 approach monitoring in various ways, that they give  
3 you a comparable end result, then, they are doing a  
4 good job.

5 We also heard from the Department of  
6 Defense on strategic reserves, that there are  
7 problems with frozen reserves, and a liquid reserve  
8 on a national basis would be advantageous to all.

9 There was a suggestion that there would be  
10 four to six sites throughout the U.S. located near  
11 large international airports or large military  
12 bases.

13 Then, we moved to session of how much is  
14 enough. We heard from Puget Sound Blood Center,  
15 which says that about two-thirds of the blood they  
16 collect is used in the Seattle metropolitan region,  
17 the other one-third goes to surrounding counties,  
18 and they can export small amounts.

19 We heard from Georgetown University  
20 Hospital on the hospital perspective. The point  
21 here was stressed that appropriate usage is a very  
22 important issue, and that their oversight is driven  
23 by educational programs and that blood utilization  
24 reviews play into this. The speaker did point out  
25 that platelets can sometimes be an issue.

1           Then, we heard from the New York Blood  
2 Center, who says they continue to struggle with the  
3 aftermath of 9/11. They have lost some of their  
4 blood drives due to loss of offices or companies  
5 that participated in these blood drives. They  
6 continue to struggle with the CJD deferral, the  
7 summer slump, and self-deferral of some donors.

8           We heard from the Oklahoma Blood Center,  
9 which said that really blood serves two purposes.  
10 One of the purposes that we don't really speak to  
11 are addressed quite often, but is very significant  
12 and very important, is the availability of the  
13 blood.

14           Even though blood is not used, it is still  
15 an insurance policy that allows for a procedure to  
16 occur. Quite often a unit of blood may never be  
17 used, but it may have been cross-matched three or  
18 four times, so it has indeed served a purpose  
19 because it was available for those medical  
20 procedures to go forward.

21           The presenter did tell us that their blood  
22 center supplies 89 hospitals with 11,000 units.  
23 They have in excess a 17-day supply with their  
24 liquid, and they are moving to having a frozen  
25 supply that will allow them to have a 23-day

1 supply.

2           We heard from the Mississippi Valley  
3 Regional Blood Center, which says they are able to  
4 supply their hospitals with a 5-day supply, keep a  
5 10- to 12-day supply in their center, and export up  
6 to 50 percent of the red blood cells they produce.

7           After that, we move to Recommendations.  
8 These, of course, are paraphrased. One of the  
9 recommendations was that the Department should  
10 support initiatives to improve management of blood  
11 inventories--I am sorry, I skipped the first  
12 one--that DHS should promote increased public  
13 awareness of the ongoing need for routine blood  
14 donations by healthy persons, and this could be  
15 done through periodic public service announcements,  
16 visible blood donations by top officials, and paid  
17 advertising campaigns, also by funding of  
18 demonstration projects, supporting specific  
19 initiatives to encourage routine donations by young  
20 persons and minorities, and play a leading role in  
21 increasing participation of federal employees in  
22 donating blood.

23           Another recommendation was that DHS should  
24 maintain and/or increase funded support for blood  
25 supply monitoring. Some of the ways to do this

1 would be long-term trends in blood collection and  
2 use or some of things that should be done, should  
3 be addressed.

4           Data on daily national distributed blood  
5 inventories, indicators of blood shortages and  
6 excesses, predictive models to identify trigger  
7 points for coordinated national donation campaigns,  
8 and coordination of government and non-government  
9 initiatives.

10           There was another recommendation that has  
11 not yet been voted on, but I will go ahead and  
12 mention it to you, that DHS should support  
13 initiatives to improve management of blood  
14 inventories. This would include defining the roles  
15 of liquid into frozen reserves and by integration  
16 of supply forecasting into intervention strategies,  
17 and also strategies to facilitate movement of blood  
18 from areas of surplus to areas of shortage.

19           I failed to mention earlier, under the  
20 "how much is enough," that we also had a  
21 presentation from American Red Cross, and they did  
22 mention that they monitor some 36 sites and that on  
23 occasion, it has fallen to a two-day supply.

24           Actually, that is my presentation for  
25 today. I notice that many of the speakers are in

1 the audience, so I would like to take this  
2 opportunity to apologize if I misquoted or missed  
3 the point of your presentation, but I thank you  
4 very much for his opportunity, and I hope I did  
5 highlight the main points of the meeting.

6 DR. NELSON: Thank you.

7 Any question or comments? Toby.

8 DR. SIMON: When these discussions are  
9 held, for the most part people tend to forget that  
10 in the late 1980s, there was a program called the  
11 National Blood Resource Education Program that was  
12 funded by the National Heart, Lung, and Blood  
13 Institute. It was designed to use the same  
14 techniques that the institute had used for  
15 awareness on cholesterol and high blood pressure,  
16 for awareness on blood donation. They created a  
17 huge advertising campaign. There were ads in  
18 airport billboards, and other such things, and it  
19 was largely regarded as a failure.

20 So, I think if we are going to move  
21 forward or if there are recommendations to move  
22 forward, I would suggest that people look back at  
23 that program and try to diagnose the problems it  
24 had before investing in a similar program in the  
25 future.

1 DR. KLEIN: I just wanted to comment that  
2 there was one other presentation that you didn't  
3 review. After the major blood organizations  
4 reported surprising shortages, especially over the  
5 past two months and especially in terms of  
6 O-positive blood, and the New York Blood Center  
7 told us that they were transfusing increasing  
8 amounts of O-positive to O-negative patients  
9 because they didn't have sufficient supplies of  
10 O-negative blood.

11 The American Hospital Association gave us  
12 what I thought was a very startling page of data,  
13 which included the fact that of their 5,000  
14 transfusing members, some 57 percent had delayed  
15 surgery during the past year because of  
16 unavailability of blood, and that in urban areas,  
17 77 percent of their membership had delayed surgery  
18 because of lack of blood for transfusion. I found  
19 that startling.

20 DR. ALLEN: A question for any member of  
21 the blood banking community that might have an  
22 answer. My guess is that most people, when they  
23 donate, do so with a certain sense of civic  
24 responsibility and under the assumption that their  
25 blood probably is going to be used in the

1 geographic area. The Puget Sound Blood Center was  
2 mentioned, the majority is within the Seattle area  
3 of surrounding counties, and I suspect that that is  
4 what most donors would expect.

5 Is there a reaction on the part of donors  
6 if they understand, if they are in an area where  
7 there is excess red cells being collected, that it  
8 may be sent anywhere around the country? Does that  
9 tend to defer people from coming in to donate, and  
10 is that an issue that needs to be addressed as we  
11 look at the supply and distribution of blood?

12 DR. SIMON: The general rule over the  
13 years is if you educate donors about that, they are  
14 agreeable to having their blood used for anyone who  
15 is in need. So, as long as people have been  
16 educated appropriately, this does not seem to be a  
17 serious issue.

18 DR. FITZPATRICK: We heard an excellent  
19 report from Iowa at the meeting on a community  
20 blood center that produces an excess and exports,  
21 and the community is very supportive of that. I  
22 think there is proven community blood centers that  
23 are able to do that.

24 DR. EPSTEIN: I think that we have not  
25 really looked strategically at what I would call

1 large system issues, and I think one of the points  
2 that came across I mean clearly in a disaster, it  
3 is obvious that there is enough blood out there, in  
4 other words, there are enough qualified donors if  
5 you can bring them in.

6 It has been said by many people that the  
7 crux of the matter is investing in recruitment  
8 efforts, but then that has a collateral effect on  
9 raising the cost of blood, and then we have, on the  
10 other side, problems with reimbursing any additive  
11 costs of blood, and I think that we haven't really  
12 looked at the economic issues that affect the whole  
13 issue of trying to bring in donors and that it is  
14 sort of an unspoken part of the problem.

15 DR. NELSON: The cost of blood has really  
16 increased quite a bit recently. It was interesting  
17 that there was a mention of the reimbursement for  
18 that. I don't know if that is a continuing  
19 problem, but the cost has certainly increased, yes.

20 DR. SCHMIDT: One often forgotten point in  
21 relation to what Jim Allen and the other statement  
22 is that local blood centers are not really operated  
23 by their CEOs who see this big picture, and if they  
24 are operated by their boards of directors, who are  
25 local citizens who are charged with having enough

1 blood locally, but also cutting down the expenses  
2 or looking for other sources of income over  
3 expenses, and shipping blood out to a place like  
4 New York and supplying hospitals can bring income  
5 to those blood centers, so policies are made by  
6 those people and we generally just talk to the CEOs  
7 who, when they go home, they may hear a different  
8 story from their board of directors.

9 DR. FITZPATRICK: Just to follow up on  
10 Jay's comment, while we know that there are plenty  
11 of donors available and that we can collect the  
12 blood after a tragedy or a disaster, the key  
13 element is that we have to have it available, on  
14 the shelf, at the right place, at the right time to  
15 meet the needs of the disaster, and 24 to 48 to 72  
16 hours later is not the solution to the problem.  
17 The solution is having it available at the time we  
18 need it.

19 DR. NELSON: The second item, if there are  
20 no more comments, is the summary of a workshop, an  
21 important workshop on pathogen inactivation. This  
22 was held in August at NIH.

23 Dr. Vostal.

24 DR. SMALLWOOD: While Dr. Vostal is  
25 coming, I would just like to apologize to the

1 speakers. We are having some obvious technical  
2 difficulties. I am told that this LCD is not  
3 accepting the signal from the laptop, so we are  
4 trying to secure another one, hopefully, very  
5 shortly.

6 **Summary of Workshop on Pathogen Inactivation**

7 **August 7-8, 2002**

8 **Jaroslav Vostal, M.D., Ph.D.**

9 DR. VOSTAL: Thank you. Thank you for  
10 this opportunity to share with you the summary of a  
11 workshop we had in August. The title of the  
12 workshop was Safety and Efficacy of Methods for  
13 Reducing Pathogens in Cellular Blood Products.

14 The objectives of the workshop were to  
15 review the different approaches to evaluating  
16 efficacy of pathogen reduction methods in cellular  
17 blood products, to establish the appropriate  
18 methodology for testing efficacy, to obtain  
19 consensus on what is the minimum level of efficacy  
20 required, to discuss appropriate evaluation of  
21 toxicity of the methods, and that is toxicity to  
22 the cellular product, as well as to toxicity to the  
23 recipient of the treated cellular products, and  
24 finally, to summarize the risks and benefits of  
25 using the pathogen-reduced cellular products in

1 clinical situations.

2           The outline of the workshop. The workshop  
3 was presented over two days. On the first day, we  
4 had an overview of the pathogens found in cellular  
5 transfusion products and the risk of  
6 transfusion-transmitted diseases from these  
7 pathogens and the ones we focused on were bacteria,  
8 viruses, and parasites.

9           We then had an overview of the molecular  
10 mechanisms of pathogen reduction systems. Then, we  
11 had a discussion on the evaluation of efficacy for  
12 the methods against each class of the pathogens,  
13 and this was followed by a panel discussion.

14           The first day ended with a presentation  
15 from the manufacturers, and they presented their  
16 own data on their individual systems.

17           On the second day, we focused on toxicity.  
18 We started off with evaluation of toxicity to the  
19 cellular products, and we focused on platelets and  
20 red cells, and each session was followed by a panel  
21 discussion.

22           We then moved on to an overview of  
23 toxicity and carcinogenicity evaluations for  
24 biologic products as is usually done by FDA, ad  
25 this was also followed by a panel discussion.

1 Then, we had two talks on risk-benefit analysis,  
2 and this was followed by a public comment period.

3           So, to get into the actual summary, for  
4 the transfusion-transmitted pathogens, it was  
5 pointed out that bacteria posed the highest risk,  
6 and the risk of a serious adverse reaction is  
7 probably somewhere between 1 per 10,000 to 1 per  
8 100,000 platelet transfusions.

9           For viruses, the transfusion-transmitted  
10 risk is a lot lower. It ranges somewhere between 1  
11 per 1 million transfusions to 1 per 5 million  
12 transfusions when these products are screened by  
13 NAT testing.

14           Of interest was that the window period  
15 viral load can be very high, up to  $10^8$ ,  $10^{10}$ , and  
16  $10^{12}$  particles/ml for HAV and B19 viruses, and also  
17 interesting was that low levels of virus maybe at  
18  $10^2$  genomes/ml can transmit disease.

19           For parasites, it was noted that these are  
20 emerging diseases that we should be concerned  
21 about. An example is Chagas disease, which there  
22 is 1 in 25,000 donor seropositive for Chagas  
23 disease, and 63 percent of these are parasitemic.

24           We then moved on to a discussion of the  
25 mechanisms or overview of the mechanisms of

1 pathogen reductions, and it was pointed out that  
2 all methods involve addition of a chemical to a  
3 cell product that interacts with nucleic acids to  
4 kill the pathogens. All are therefore potentially  
5 mutagenic and carcinogenic. They also bind  
6 proteins and lipids, which may lead to unexpected  
7 toxicity to the product itself or to the recipient  
8 of those products.

9           They do reduce the titer of extracellular  
10 or intracellular envelope viruses, however, their  
11 activity against non-envelope viruses is less  
12 defined. They can increase the titers of bacteria  
13 and parasites in blood, however, they are not  
14 effective against spores or endotoxin.

15           The next session was a presentation or  
16 several presentations followed by discussions on  
17 the efficacy against viral agents. It is difficult  
18 to capture the discussion in a summary like this,  
19 but I will just try to point out some of the  
20 statements that were made.

21           It was agreed that treatment will not  
22 eliminate current testing. The treatments may have  
23 potential to inactivate new and emerging pathogens  
24 not detected by testing, and they should have  
25 capability of 6 to 10 log reduction in the viral

1 load based on the window period loads.

2           Again, it was pointed out that low levels  
3 of viral load can transmit infectivity, therefore,  
4 it would be good that the methodology would have  
5 excess pathogen kill.

6           There was a discussion about a need for  
7 standard methodology for testing efficacy, for  
8 example, to define log reduction per ml of product,  
9 for the total bag of product.

10           Then, we moved on to a session with  
11 bacterial pathogens, and some of the points made in  
12 that discussion was that contaminants are most  
13 often skin organisms, but donors with occult  
14 bacteremia contribute significantly.

15           Both gram positive and gram negatives are  
16 associated with fatalities. Gram negatives produce  
17 endotoxin and do not require extended storage to  
18 reach toxic levels. Therefore, to eliminate these,  
19 the treatment needs to be pre-storage.

20           In terms of what bacteria should be used  
21 to establish efficacy, it was suggested that a  
22 limited list of bacteria is sufficient. The list  
23 should include the most commonly found organisms.

24           Finally, the clinical isolates of the  
25 bacteria should be used to model real life

1 conditions.

2           We then moved on to discussions of  
3 toxicity to the cellular product, and I am going to  
4 summarize the discussion that went on for both  
5 platelets and red cells.

6           This evaluation is usually done in three  
7 parts. The first phase is in vitro studies, and it  
8 was pointed out that in vitro studies have limited  
9 predictive value for in vivo performance, and they  
10 should serve as a screening method for identifying  
11 gross damage to different aspects of cellular  
12 function.

13           In Phase II, these are small clinical  
14 studies. These are usually done with radiolabeling  
15 and reinfusion of controlled and treated cells.  
16 Recovery and survival and circulation post-infusion  
17 are the readouts of these experiments.

18           There was a discussion on the necessity  
19 for establishment of uniform control and for  
20 platelets, this was considered to be fresh  
21 platelets, and a discussion on the minimal  
22 acceptable values for recovery and survival of  
23 these products.

24           In Phase III clinical studies, these will  
25 be large clinical studies that look at the function

1 and some of the functional endpoints of these  
2 products should be bleeding for platelets and  
3 oxygen delivery for red cells.

4           These kind of studies should also follow  
5 kinetic endpoints, such as transfusion response and  
6 frequency of transfusions.

7           We then moved on to a discussion on  
8 evaluation of toxicity to the recipient of these  
9 products, and this was a presentation to  
10 demonstrate how FDA reviews toxicity in general and  
11 to get advice on whether this is appropriate for  
12 pathogen-reduced products.

13           So, we covered general toxicity studies  
14 for biologic products, and these are usual animal  
15 models in small clinical trials. We talked about  
16 genotoxicity studies, which are aimed at  
17 identifying gene mutations and chromosomal  
18 aberrations, and usually, this required two in  
19 vitro studies and one in vivo study.

20           Carcinogenicity studies usually require a  
21 long-term carcinogenicity study in rodents, usually  
22 up to two years. We are moving towards using  
23 transgenic animals, which shorten that period down  
24 to six months. CDER guidances are available for  
25 design interpretation of these studies.

1           These products will likely be transfused  
2 to pregnant women, so reproductive toxicity is also  
3 an issue, and reproductive toxicity is studied in  
4 three phases. The initial phase evaluates toxicity  
5 to fertility, in general, reproductive performance.

6           This is followed by the second phase is a  
7 teratological study in rodents and non-rodents, and  
8 this will be followed by perinatal and postnatal  
9 toxicity in rodents, a unique toxicity that may be  
10 associated with these products with the generation  
11 of immunogenicity, so we had a presentation that  
12 dealt with how to evaluate this.

13           This is actually a difficult problem for  
14 not only these cells, but for other products. We  
15 found out that immunologic response to novel entity  
16 is not dose dependent and response could be to the  
17 original compound, metabolites, treated cells, or  
18 treated plasma proteins.

19           Animal models for immunogenicity may not  
20 be relevant to humans, and it was pointed out that  
21 this may be a low frequency event, it might not be  
22 detected in preclinical or clinical studies, and  
23 that postmarket surveillance would most likely be  
24 the way to attract these problems.

25           Another unique toxicity that may be

1 associated with these products is toxicity to the  
2 health care workers. These individuals will be  
3 handling high concentration of the chemical  
4 compounds and may be actually the highest risk  
5 population when these methods go into clinical use,  
6 and safeguards need to be in place for their  
7 protection.

8           So, then, we moved on to the final portion  
9 of the workshop, which was a risk-benefit analysis,  
10 and we had two talks. I think the main point was  
11 that the blood supply is very safe, as it is today,  
12 that bacterial contamination is the highest  
13 infectious risk, but there are other risks, such as  
14 medical errors, that are even 10- to 100-fold  
15 higher risk category.

16           The chemical treatment of blood decreases  
17 effectiveness of the transfused product and adds  
18 toxicity to the recipient that is not clearly  
19 defined. Pathogen reduction may be appropriate for  
20 certain patients, and the use pathogen-reduced  
21 products should be a medical decision, not a  
22 regulatory decision.

23           Finally, the cost of implementing  
24 universal pathogen reduction should be weighed  
25 against other approaches, such as bacterial

1 detection.

2 So, that concluded our workshop. I would  
3 be happy to answer any questions.

4 DR. NELSON: Questions? Toby.

5 DR. SIMON: This may be a question you  
6 can't answer, but can you give any further guidance  
7 timewise as to when we might expect to see such  
8 technologies be approved and come into use?

9 DR. VOSTAL: It is difficult to say  
10 because there are problems on the company side, as  
11 well as on the regulatory side, in terms of review,  
12 so I would say we are still maybe five years away  
13 from routine use.

14 DR. FITZPATRICK: Based on the meeting, do  
15 you see the need to revise or change any of the  
16 guidance documents that are currently used by  
17 industry to develop the path for submission of  
18 applications for these products?

19 DR. VOSTAL: I am sorry, I didn't catch  
20 the first part.

21 DR. FITZPATRICK: Based on the meeting, do  
22 you see the need for FDA to revise or put out  
23 different information regarding any guidance  
24 documents that industry uses to submit applications  
25 for approval of these products?

1 DR. VOSTAL: I think that is a good  
2 suggestion. We have certainly covered a lot of  
3 area in terms of how to evaluate platelets and red  
4 cells, so we have a platelet testing guidance we  
5 would like to update with that information. We  
6 would also like to put together a red cell guidance  
7 to have a similar type of thing.

8 Of course, we do not yet have a guidance  
9 for pathogen reduction, and that will be very  
10 helpful to have for other companies to follow, so  
11 based on what was presented at the workshop, we  
12 will try to put something like that together.

13 DR. NELSON: Thank you.

14 Next, our two speakers are going to review  
15 an emerging issue, mainly West Nile virus and blood  
16 safety.

17 First, is Dr. Marfin from the Division of  
18 Vector-Borne Infectious Diseases from CDC.

19 Dr. Marfin.

20 **West Nile Virus and Blood Safety**

21 **Anthony Marfin, M.D.**

22 DR. MARFIN: Good morning. I apologize  
23 to people. I see a lot of familiar faces. This is  
24 very similar to the talk that I gave last week, but  
25 I promise you there is going to be updates of

1 numbers, and I promise you that there is even some  
2 new maps in there.

3 [Slide.]

4 Here is the order of topics. I will just  
5 say a few things briefly about the virus. Then, I  
6 am going to talk about the viremia infection, the  
7 antibody response. I am an epidemiologist, so you  
8 know that I am going to talk about the epidemiology  
9 because that is what has really predominated our  
10 time in Fort Collins anyway.

11 With regards to the epidemiology, I am  
12 going to emphasize the geographic spread over the  
13 years since 1999 with special emphasis on the 2002  
14 epidemic, which we are probably in about the middle  
15 of. Then, I am going to talk about a special case  
16 that we have been investigating with regards to  
17 confirmed West Nile virus infection that occurred  
18 in organ transplant recipients.

19 [Slide.]

20 West Nile virus is a flavivirus.  
21 Flavivirus is a big family, but there are only a  
22 few human pathogens. Most of the human pathogens  
23 are, in fact, arthropod-borne other than hepatitis  
24 C.

25 Specifically, with regard to West Nile

1 virus, it is related to yellow fever and dengue,  
2 and these are classic human pathogens. They can  
3 achieve high viremia and I should emphasize here  
4 that they have never been associated with a  
5 transfusion-related case of illness.

6 West Nile virus is only distantly related  
7 to hepatitis C. West Nile is part of the Japanese  
8 encephalitis serocomplex, and there has been a  
9 similar virus, an almost identical virus, that has  
10 been in the United States since 1933, when there  
11 was an outbreak of about 2,500 cases in St. Louis,  
12 St. Louis County, and the surrounding areas.

13 Almost all the members of this  
14 serocomplex, there is eight members in the  
15 serocomplex, and all of them are primarily bird  
16 viruses. They make birds sick, that is what they  
17 do. Human beings, horses, we are not an amplifying  
18 host that we know of. We have never served as a  
19 reservoir for any of these eight in the Japanese  
20 encephalitis serocomplex. We are merely incidental  
21 hosts.

22 Despite that, the West Nile virus since  
23 its introduction in 1999 into New York City has  
24 caused quite a stir, and I am going to show you  
25 why.

1 [Slide.]

2 Just a little about the infection. I want  
3 to emphasize this because this is the part that has  
4 somewhat been lost over the past few weeks, and  
5 that is, essentially all infections in the United  
6 States are due to mosquito bites.

7 Over the years, there have been infections  
8 that have occurred in the lab either due to  
9 percutaneous injury or inhalation, but I want to  
10 emphasize that when I get to the numbers, that  
11 almost all of those are due to mosquito bites.

12 With regards to the incubation, illness  
13 onset usually occurs about two to six days after  
14 infection. Again, these are measured in settings  
15 where the infections are due to mosquito bites.  
16 There may be some variation if we identify new  
17 modes of transmission.

18 The bite will occur. You get local viral  
19 replication. You get more replication in the  
20 regional lymph nodes, and this has been studied  
21 extensively in animal systems.

22 There is supposed to be a primary viremia  
23 in which the virus will spread from the regional  
24 lymph nodes to seed and replicate in the liver and  
25 spleen. This has not been demonstrated in humans,

1 it has been seen in animal systems.

2           Then, there is a secondary viremia that  
3 leads to invasion of the central nervous system,  
4 and it can result in febrile headache, which we  
5 call West Nile fever specifically. It can result  
6 in aseptic meningitis or it can result in  
7 encephalitis.

8           An important part when speaking last week  
9 and this week to people that are interested in  
10 transfusion is that the second viremia lasts five  
11 to six days, and this has been shown primarily in  
12 studies from the 1950s in Israel, as well as some  
13 experimental evidence from human beings also done  
14 in the fifties in cancer patients where the West  
15 Nile virus is being used as a therapeutic agent.

16           One of the problems when you look at these  
17 studies, especially the ones in Israel in the  
18 mid-fifties, are that this peak viremia occurs the  
19 day before illness onset, and that is not helpful  
20 to people who are wanting to have clues as to  
21 whether somebody is infected with West Nile virus.

22           [Slide.]

23           We have been involved in some recent West  
24 Nile fever studies in Louisiana this year, and we  
25 have screened approximately 250 people who

1 presented to a health care facility with headache,  
2 fever, and no other identifiable source of  
3 infection.

4 In those people, we have collected an  
5 initial serum sample, measured it for IgM to West  
6 Nile virus, but in addition, we have used NAT to  
7 see if there is any West Nile virus in there, and  
8 they are currently being set up for culture.

9 We have had the opportunity to identify  
10 three seroconverting people, people who had no  
11 evidence of West Nile virus infection on their  
12 initial testing, and then two weeks later, have IgM  
13 antibody to West Nile virus.

14 In fact, some of these people progressed  
15 to encephalitis, which is a relatively new finding.  
16 We have always assumed that people declared  
17 themselves. When you get infected and then you go  
18 on to illness, you are either in encephalitis,  
19 meningitis, or febrile headache.

20 In fact, we have measured people that go  
21 from the febrile headache to the encephalitis, but  
22 one of the things we haven't been able to do is we  
23 haven't been able to measure any viremia in these  
24 seroconverting people.

25 They initially present to us. There is no

1 IgM in their blood, and when we put them through  
2 our TaqMan testing, we are not able to demonstrate  
3 the presence of any sequence due to the West Nile  
4 virus.

5 In fact, isolating virus in the United  
6 States since 1999 has been very difficult. We only  
7 have one documented human isolate.

8 This was from a person who had very low  
9 levels of immunoglobulin and, in fact, NIH and the  
10 State of Maryland were able to make several  
11 isolates from this gentleman, and it is my  
12 understanding that he recently died despite  
13 treatment with intravenous immunoglobulin that was  
14 sent to the NIH center from Israel, and the Israeli  
15 population and immunoglobulins from Israel tend to  
16 have a higher concentration of antibody to West  
17 Nile virus.

18 So, I am going to come back to this last  
19 point, and that is, that in the fifties, when you  
20 go back and you look at these studies, especially  
21 the Israeli studies, that, in fact, humans develop  
22 a very low concentration of virus, about  $10^3$  or  $10^4$   
23 virus per ml.

24 Our primary method of diagnosis for West  
25 Nile virus over the years--and it is kind of old

1 hat for a lot of people who want to use Taq  
2 polymerase--is serology.

3           When I came to the Division of  
4 Vector-Borne Infectious Diseases, we still had to  
5 learn about complement fixation and hemoagglutinin  
6 inhibition, and I am glad to say those are gone,  
7 but now we rely primarily on looking at  
8 viral-specific IgM and IgG, but I am just going to  
9 summarize it by saying that with regard to the  
10 flavivirus, this can be a problem.

11           Despite those problems, about 95 percent  
12 of people will develop West Nile virus IgM antibody  
13 by the eighth day of illness, and something that we  
14 have seen at least empirically, and we are going to  
15 have to look at it a little more closely, is that  
16 as the IgM titers go up, the viremia rapidly drops.

17           [Slide.]

18           I actually did this on the plane two days  
19 ago, so you will pardon those curves, but what we  
20 are able to see in the green line is that the  
21 viremia is peaking just before the illness onset.  
22 Illness onset is shown by that dotted white line.

23           By the first or second day of illness,  
24 that IgM is coming up rapidly. In fact, it is  
25 almost the rule given the sensitivity of our

1 serology testing now, that when people come in with  
2 illness, that we are already able to demonstrate  
3 that they have IgM to West Nile virus, so that goes  
4 up rapidly.

5           It peaks at about day 14 to day 21, and  
6 then it starts to decrease. What we have seen, at  
7 least in our New York City cohort, is that about  
8 two-thirds or three-fourths of those people are  
9 still going to have IgM antibody in their blood a  
10 year to two years later. That makes a little bit  
11 of a problem in terms of attributing last year's  
12 infection to this year's presentation of  
13 encephalitis.

14           Then, with regards to the IgG and  
15 neutralizing antibody, which is primarily IgG, this  
16 usually starts to rise about the fourth to sixth  
17 day, and then it peaks about day 21, and then it  
18 lasts for a lifetime, and is supposedly protective  
19 for the rest of their life.

20           [Slide.]

21           Here are many diagnostic methods. There  
22 has been much discussion that there are no rapid  
23 diagnostic tests for West Nile virus. In fact,  
24 there are rapid tests. The truth of the matter,  
25 though, is that they are not ready for the use in

1 large-volume industry, such as the transfusion  
2 industry.

3           We do have West Nile virus antigen  
4 detection. This is primarily used for insect  
5 pools, and we are now going to start using them in  
6 terms of testing animal tissues, and it is simply a  
7 dipstick, we also have an ELISA, and it detects  
8 about 10 plaque-forming units per 100 lambda.

9           We have amplification testing. We have  
10 both traditional RT-PCR and then we use TaqMan PCR,  
11 and for people that are not familiar with that  
12 real-time PCR, it involves the science of both Taq,  
13 as well as a probe that is chopped away, and then  
14 has a fluorescent signal when the components become  
15 liberated from that probe.

16           With TaqMan, we are able to identify virus  
17 if it is present in this concentration as low as a  
18 10th of plaque-forming unit per 100 lambda, which  
19 is about equivalent to 50 copies per ml.

20           In addition, of course we still do virus  
21 isolation, which is not rapid, but for West Nile,  
22 it is rapid compared to some of the other ones that  
23 we have. The virus that we have in this country  
24 will come up positive in cell culture in about five  
25 to six days.

1           Most of the other flaviviruses with which  
2 we work are up to two weeks, and sometimes will not  
3 grow at all. They are very, very temperamental.  
4 This virus does not seem to be. We also have  
5 immunohistochemistry in which we use both  
6 polyclonal and monoclonal antibodies to demonstrate  
7 the present of antigen in affected issue, and then,  
8 of course, the serology.

9           Our classic serologies are IgM capture  
10 ELISA, IgG ELISA, and then the plaque reduction  
11 neutralization assay.

12           [Slide.]

13           With regard to the epidemiology, then, we  
14 know that the human infection rate correlates well  
15 with the mosquito infection rate in the Culex, BC's  
16 Culex, the urban Culex mosquito, the northern house  
17 mosquito, the southern house mosquito drives this  
18 epidemic. Although they are primarily bird  
19 vectors, they can develop such an infection rate  
20 that they can also bite horses, humans, and other  
21 mammals, and that is when we get into an epidemic  
22 situation as we have this year.

23           From studies especially in Bucharest, in  
24 1996, we know that infection rates are roughly  
25 equal across age groups. We also know that because

1 of the work that we have done with regards to St.  
2 Louis encephalitis especially in Pine Bluff in  
3 1991.

4 We know that illness, and this is  
5 meningoencephalitis, primarily affects people who  
6 are 65 years and older. We have looked at  
7 infection rates in this country. We have done four  
8 serosurveys, and these things are exhausting, so we  
9 try to stay away from them, but there was one done  
10 in the Hot Zone of Queens in 1991, and it was  
11 demonstrated that 2.6 percent of the population had  
12 evidence of recent West Nile virus infection.

13 I should point out that the survey area  
14 was extremely gerrymandered to look at the maximum  
15 seroprevalence rate that could be achieved. That  
16 is not a seroprevalence rate for the entire borough  
17 of Queens.

18 In 2000, we had serosurveys in Staten  
19 Island, Suffolk County, and in the southern part  
20 of--well, in Greenwich and Stamford townships. You  
21 can see that we had less than half a percent Staten  
22 Island, we had 0.1 percent in Suffolk County, which  
23 is on Long Island, and in Stamford, we were unable  
24 to demonstrate anyone that had a recent West Nile  
25 virus infection.

1           As I pointed out to the people last week,  
2 I was part of all three of these serosurveys. I  
3 literally walked the neighborhoods and birds are  
4 falling out of the trees. I mean there is an  
5 epizootic of undescribed proportion going on.  
6 There is crows that are dancing in the middle of  
7 the street everywhere.

8           These two were hot zones at least from an  
9 epizootic standpoint. In that year, though, there  
10 were only 10 human cases reported from Staten  
11 Island. There were no human illnesses reported in  
12 Suffolk County and then in Connecticut site.

13           [Slide.]

14           This is part of the problem when we talk  
15 about West Nile virus. Very few people--and that  
16 is the very top of this triangle--very few people  
17 develop what we call meningoencephalitis, and this  
18 has been show repeatedly. It has been shown in  
19 Bucharest in 1996. It was shown in Volgograd in  
20 2000. We have shown it here in the United States  
21 since 1999. The Israelis have had a similar  
22 problem over the year since 1998, have also shown  
23 that only a few people that are infected develop  
24 illness.

25           In fact, what we find is that ratio is

1 about 1 to 150. For every 150 infections, you will  
2 get about one case of West Nile  
3 meningoencephalitis. For every 150 infections, you  
4 will get about 20 to 30 cases of what we call West  
5 Nile fever - fever, headache, myalgias, flulike  
6 symptoms. All the rest of the people are going to  
7 be asymptomatic.

8           They are going to have good antibody  
9 response. To the best of our knowledge, their  
10 viremia is the same as the top. In fact, the only  
11 difference is that you see that there are host  
12 factors that can account for this progression to  
13 West Nile meningoencephalitis. One of the ones  
14 that you will see discussed often is age.

15           So, when we are talking about the top of  
16 the triangle, we are talking about primarily older  
17 people. When we are talking about the bottom of  
18 the triangle, asymptomatic infections, these are  
19 primarily younger people.

20           [Slide.]

21           Let me talk a little bit about the  
22 epizootic.

23           [Slide.]

24           In 1999, infected birds were reported in  
25 28 counties. This is when the virus is first

1 introduced into the country.

2 [Slide.]

3 In 2000, 136 counties reported infected  
4 birds. These are birds that people picked up and  
5 actually demonstrated the presence of virus.

6 [Slide.]

7 In 2001, there were 328 countries. You  
8 are seeing a theme here as it is moving  
9 centripetally.

10 [Slide.]

11 Let's talk about the components that led  
12 to this year.

13 [Slide.]

14 In 1999, human infections--this is  
15 meningoencephalitis--human illness,  
16 meningoencephalitis, was reported from six  
17 counties.

18 [Slide.]

19 In 2000, we now are talking about 10  
20 counties, but it has really not moved out of the  
21 New York City metropolitan area.

22 [Slide.]

23 This is the growth year here. In fact, 39  
24 counties reported human infections, but you can  
25 still see this primarily along the eastern

1 seaboard. It is maybe spreading a little to the  
2 west, down in the south.

3           You will see one county down in Louisiana,  
4 Jefferson County, in which there was one case  
5 reported, but as you will see in the later map,  
6 this was a harbinger of sorts.

7           [Slide.]

8           So, these are the human cases from 1999  
9 through 2001. In 1999, despite intensive  
10 investigation, only 62 cases. In 2000, we are  
11 bringing on almost every state east of the  
12 Mississippi to find cases. Only 21 cases  
13 identified. Last year, there were 66 cases from 10  
14 states in 39 counties.

15           I will take the opportunity now to show  
16 that, in fact, the illness onset date is very long  
17 for this disease or for this epidemic. The  
18 earliest onset in 2001 was the middle of July, but  
19 the latest was just before Christmas, and that is  
20 not unusual.

21           We have cases from Massachusetts in late  
22 November, so it is not just the addition of the  
23 southern states.

24           [Slide.]

25           Again, a summary of any activity in the

1 United States, and we will go right to 2001. Last  
2 year, there were 28 states or 358 counties in 28  
3 states, and you can see that samples were collected  
4 from the beginning of April all the way until the  
5 day after Christmas.

6 [Slide.]

7 So, where are we now?

8 [Slide.]

9 This is as of yesterday, so this is the  
10 update from last week. There are now 42 states and  
11 the District of Columbia that report any West Nile  
12 virus activity in animals. There are now 30 states  
13 and the District that are reporting human West Nile  
14 virus illness. This is fever or  
15 meningoencephalitis. Now, we are up to 1,201 human  
16 illnesses that were reported. This includes 46  
17 deaths. Approximately, 60 to 70 percent of that  
18 1,200 are due to West Nile virus.

19 If you use that 150 to 1 multiplier, we  
20 are talking, in these 42 states and the District,  
21 we are talking about 100,000 to maybe 130,000 total  
22 infections. Those are not illnesses, those are  
23 infections. As I pointed out, about 80 percent of  
24 these infections are going to be completely  
25 asymptomatic.

1           So, in terms of illness, it is relatively  
2 rare. When you start doing the multiplication by  
3 150, numbers add up, but when you put it over 42  
4 states, it is still not all that frequent. We are  
5 not talking about influenza here.

6           [Slide.]

7           Here are the maps and here are the birds  
8 as of two days ago. You can see that the birds are  
9 predominantly being reported from the north central  
10 states. You can see several red areas especially  
11 up in Cook County there in Illinois, Harris County  
12 down in Texas, that is where Houston is, where they  
13 have hundreds of positive birds that they have been  
14 picking up.

15          [Slide.]

16          This is the map for horses, somewhat of a  
17 different area. Again, the red areas are the areas  
18 where the most horses have been reported, and you  
19 can see this is northern central, but a little  
20 further to the west. In fact, many of these  
21 counties don't have any positive birds at all, as  
22 you can see when you compare this to the map  
23 before.

24          The first illness is a horse. Now, why is  
25 this important? Mosquitoes that bite horses also

1 bite humans. They are mammalophilic as opposed to  
2 ornithophilic. People that live in these counties  
3 are at risk. There are not a lot of people that  
4 live in these counties, though, these are  
5 relatively low density except for my county right  
6 there in Colorado.

7 [Slide.]

8 These are the human cases. You can see  
9 that the human cases have spread way out of the New  
10 York City metropolitan area. In fact, when you  
11 look at the southeast, where in 2001, that is where  
12 a lot of our activity was, we have really shifted.  
13 We are now in the Mississippi River delta.

14 The hot areas right now are, in fact,  
15 Houston, Texas, New Orleans, Jackson, Mississippi,  
16 Memphis, St. Louis, Chicago, Detroit, and  
17 Cleveland, right up the Mississippi River Valley.  
18 In fact, that is roughly the way that they were  
19 reported to us, ascending northwards along the  
20 Mississippi River Valley.

21 By the way, this map here looks a whole  
22 lot like 1975, St. Louis encephalitis outbreak, and  
23 we are predicting that that is the kind of year  
24 that we are going to have this year.

25 [Slide.]

1           So, what are the problems? We have  
2 widespread and spreading activity. We have focal  
3 hot spots. It is not continuous. Again, this is  
4 not influenza. Activity can persist in a given  
5 area. Something I didn't mention earlier is that  
6 Suffolk County has had West Nile virus infections  
7 in humans reported four years in a row. That is  
8 something we haven't experienced a lot with St.  
9 Louis encephalitis for the most part. It is a  
10 relatively low human infection rate when you put  
11 that 100,000 over 41 states.

12           Other problems are the peak viremia occur  
13 prior to the illness, 80 percent of infected people  
14 are asymptomatic. Most of the symptomatic people  
15 are older and a lot of the are ill and not  
16 necessarily in your donor population.

17           The most important one, like almost all  
18 the other viruses in the JE complex, they cause  
19 unpredictable, sporadic, and epidemic infection  
20 patterns, so that is a real problem.

21           [Slide.]

22           Let me just say something about the West  
23 Nile virus infections in the organ transplant  
24 recipients, which has pulled my division into  
25 making presentations at meetings like this. We

1 don't do a lot of blood transmissible agent stuff.

2 [Slide.]

3 In late July of 2002, an eventual organ  
4 donor was in a motor vehicle crash. This person  
5 was a resident of the southeastern United States  
6 and from an area of moderate enzootic activity and  
7 low human activity.

8 During the first 24 hours, there were  
9 valiant attempts to save this person, and that was  
10 surgery and massive transfusions. The person then  
11 survived another 18 hours until they harvested her  
12 organs and during that 18 hours, they were  
13 preparing the person for organ donation.

14 There were five tissues that were  
15 collected. The two kidneys, the liver, and the  
16 heart did eventually go to four recipients. In  
17 late August, three of these four recipients had  
18 developed West Nile virus encephalitis. This is  
19 confirmed, there is no question that they developed  
20 infection, and one of those people died.

21 Just recently, the fourth recipient was  
22 confirmed to have West Nile virus fever, and that  
23 is recent confirmation, in fact, they all developed  
24 illness approximately the same time.

25 [Slide.]

1           Two of the four cases had outdoor exposure  
2 after transplant. They went home. They went home  
3 to areas where there were mosquitoes biting. They  
4 went home to areas where there was enzootic  
5 activity. They went home to places where there  
6 might have been a human case. So, they have some  
7 outdoor exposure.

8           They were residents of the southeast  
9 United States, but these two people did not receive  
10 any transfusions before their illness. The other  
11 two people had no outdoor exposure, they never went  
12 home after their transplant. They, too, were  
13 residents, so if they were to have received their  
14 infection at home, they would have had to have been  
15 done quite a bit before their hospitalization, but  
16 they are residents of enzootic counties, and they  
17 received lots of transfusions.

18           [Slide.]

19           What we tried to do very early on, then,  
20 is look at our organ donor to determine if this  
21 person had West Nile virus infection prior to the  
22 crash, and this is an exhaustive search by the  
23 Georgia and Florida state health departments, as  
24 well as CDC. All we came up with was 75 lambda of  
25 early serum. This is serum that was collected

1 prior to the first transfusion.

2 We were unable to demonstrate any antibody  
3 to West Nile virus in there. Our TaqMan was  
4 negative, and the culture, I put "culture pending,"  
5 I am not sure that we had enough to culture, and if  
6 we did, I am not sure what it is going to mean.

7 Since that time, by the way, about two ago  
8 we identified a new vial of serum that had been  
9 collected by police in terms of the investigation  
10 of the crash, and those have been sent to Fort  
11 Collins, so hopefully, this slide will change in  
12 the coming weeks.

13 We then identified some late--it says  
14 serum, but it is actually plasma from the organ  
15 donor--and again, no antibody to West Nile, but now  
16 we had a very low level, but repeatable positive  
17 TaqMan for West Nile virus. This one, the culture  
18 is pending and it is still growing or it is not  
19 growing anything, but it is still incubating.

20 [Slide.]

21 You have to ask yourself, well, what about  
22 the transfusions. Here, the organ donor comes in,  
23 they are healthy, they are in a motor vehicle  
24 crash. There is no evidence that an encephalitis  
25 presentation contributed to that crash. In fact,

1 they received blood products from 63 unique donors.  
2 Those 63 donors actually produced about 142  
3 co-components, and here is the breakdown.

4 I don't have to tell you how massive the  
5 investigation is. There are 63 organ donors or  
6 blood donors for the organ donor that are going to  
7 be approached. There is 35 recipients of the  
8 co-components. There is 27 of these units,  
9 however, being returned from the fractionator, but  
10 2 have already been pooled by a fractionator. The  
11 other ones have been expired, broken, discarded, or  
12 simply not distributed.

13 [Slide.]

14 So, where are we in terms of the  
15 investigation? American Red Cross has been  
16 invaluable in terms of their contribution. They  
17 have located the segments from donation that we are  
18 currently testing in Fort Collins. They have  
19 identified and retrieved in-date co-components that  
20 we are testing in Fort Collins.

21 They are identifying the consignees that  
22 transfused the recipients of co-components. They  
23 are going to be identifying, and, in fact, they  
24 have already started identifying and contacting the  
25 donors, the blood donors to the organ donor, so

1 that we can obtain more information, as well as  
2 test them for IgM to West Nile virus.

3 They are assisting the state health  
4 departments, CDC, in terms of identifying the  
5 consignees, to do the same thing with recipients of  
6 potentially infect co-components. They, too, will  
7 be tested for West Nile virus IgM.

8 [Slide.]

9 So, the ongoing investigation then, what  
10 are we trying to do? We are trying to estimate the  
11 infection date of the organ donor. That is why we  
12 continue to look for tissues and liquid from the  
13 organ donor, because we are trying to figure out  
14 when this person was infected. So, we are  
15 continuing to test other tissue and blood.

16 We are currently doing TaqMan PCR of the  
17 segments from the original blood donors, as well as  
18 any recovered products. Then, of course, as I  
19 mentioned in the last slide, we are going to be  
20 determining if the donors were recently West Nile  
21 virus infected, and that will be by doing serology  
22 for IgM, and then the same with the recipients.

23 [Slide.]

24 This is my second to last slide. I  
25 apologize for going over.

1           This organ transplant very likely  
2 resulted--I added "very likely" because that is the  
3 way CDC is, we are a conservative group. I would  
4 like to say that organ transplant resulted in these  
5 four West Nile virus illnesses in terms of the  
6 organ recipients.

7           I don't think that that is going to be an  
8 arguable point. It is very unlikely that these  
9 four people were infected by mosquitoes and all  
10 came down with this illness, but we still have some  
11 more work to do to completely nail that down.

12           I want to emphasize that mosquito bites  
13 are still the principal means of acquiring  
14 infection in endemic and epidemic zones in this  
15 country, but that transfusion, when you look at  
16 this case, you have to consider it. We have to go  
17 out and we have to ask ourselves whether the  
18 transfusions were the source of infection to the  
19 organ donor especially when you look at some of  
20 these results.

21           But I think it is also fair to say that to  
22 date, there has been no case of West Nile virus  
23 infection that has been shown to be transfusion,  
24 and it still in there because that's the same thing  
25 I said week.

1 Next and last slide.

2 [Slide.]

3 We are involved in other investigations.

4 In fact, yesterday, we were on a conference call  
5 with the state health departments, all 48 of the  
6 contiguous states, and we are soliciting more case  
7 reports from the state health departments, and what  
8 we are doing is looking for probable or confirmed  
9 cases of West Nile meningoencephalitis in persons  
10 who received blood products in the four weeks prior  
11 to their illness onset.

12 To date, we have been involved in  
13 investigations in Georgia, the one that I just  
14 described, as well as Mississippi, North Dakota,  
15 and Louisiana. So, right now we have about six  
16 ongoing investigations.

17 That is it. Do you want me to take  
18 questions or wait until Dr. Goodman is done?

19 DR. NELSON: Any questions? Harvey.

20 DR. KLEIN: Could I ask you if those  
21 handful of lab infections that you reported, were  
22 they from concentrated virus or were they from  
23 human specimens?

24 DR. MARFIN: It is a mix. In terms of the  
25 inhalation, it was from concentrated virus. In

1 terms of the percutaneous injury, it could be  
2 working with infected tissue directly taken at  
3 necropsy, or it could also be concentrated virus,  
4 as well.

5 DR. KOFF: I think you said we are halfway  
6 through the current epidemic. Can you give us some  
7 sense of what you would envision the total number  
8 of cases, and is this based on last year's  
9 experience?

10 DR. MARFIN: We can't base this year on  
11 any year's experience with West Nile. What we are  
12 looking to is the 1975 outbreak of St. Louis  
13 encephalitis in which a large number of the cases  
14 occurred in the last week of August and the first  
15 two weeks of September, and it primarily affected  
16 the Midwest.

17 The states at that time that were affected  
18 were Ohio, Illinois, Indiana, and those are the big  
19 three states. We are kind of seeing the same  
20 situation again this year. We are seeing  
21 Cleveland, we are seeing Chicago, we are seeing St.  
22 Louis, a very, very similar pattern. So, we are  
23 waiting for later reports meaning the September  
24 reports from these areas. In addition, there is  
25 always a lag with regards to surveillance data.

1 DR. SCHMIDT: I would like to see in the  
2 record something I consider a correction. In the  
3 transfusion literature, in the recent report from  
4 the CDC, it states that another flavivirus, dengue,  
5 was transmitted by transplantation in Puerto Rico  
6 in sort of a background information.

7 Well, that was 1995. Granted, the dengue  
8 laboratory for the CDC is in Puerto Rico, but at  
9 that time, I was the Director of Clinical Service  
10 for the American Red Cross in Puerto Rico, which  
11 supplied this particular hospital with all of its  
12 transfusion services and arranged through the Miami  
13 Red Cross to back up the bone marrow transplant.

14 The case was two sisters. The timing was  
15 right that after the transplant, both developed  
16 dengue, however, just before the transplant, both  
17 sisters' young children were at home, they shared a  
18 bedroom, and we heard about the urban Culex, well,  
19 there certainly are a lot of urban Culex in San  
20 Juan while I was there, and I remember specifically  
21 the admonition from the Health Department to be  
22 aware of the bedroom closet because that is where  
23 they were.

24 So, I think the evidence for this dengue  
25 transmission by transplantation was circumstantial,

1 and the significance only is that now it's in the  
2 transfusion literature as a fact.

3 DR. NELSON: I think it may be very  
4 difficult to separate this out to exclude mosquito  
5 transmission even in somebody who has been  
6 transfused, but even if you have a couple of donors  
7 positive, that still doesn't prove that it was  
8 transfusion, so it is a difficult problem, I think.

9 DR. MARFIN: I think you are correct. I  
10 think that what we would be looking for would be  
11 either demonstration of virus in the segment going  
12 into the organ donor in this case or you are  
13 identifying IgM-positive donors, and then you have  
14 potentially as many as three co-recipients--that is  
15 a situation we haven't identified--and then showing  
16 that they are all IgM-positive, as well. The  
17 likelihood of that would be low, but it is  
18 circumstantial.

19 With regards to the dengue, I have spoken  
20 about this with my division director Duane Gubler,  
21 who has been looking for evidence of a transfusion  
22 excluded from transplantation, evidence of dengue  
23 like for 30 years, and he brought up that case, but  
24 I had to point out to him that there is  
25 transplantation involved there, so it is not as

1 straightforward as we would like, but your point is  
2 very well taken.

3           It is very difficult to show for dengue  
4 and yellow fever, not necessarily because it  
5 doesn't happen, but because the infection rate in  
6 the population is so high, how do you ever  
7 attribute it to the transfusion as exposed to  
8 exposure.

9           DR. NELSON: But these organ transplants  
10 are pretty convincing at this point.

11           DR. FALLAT: Could you amplify a little  
12 bit more about the parallels of this epidemic with  
13 the St. Louis epidemic to give us some at least  
14 speculation about what the future holds?

15           DR. MARFIN: I can tell you that in 1974  
16 through 1976, there were probably about 2,500 cases  
17 reported over that three-year time period, maybe a  
18 little more, but '75 was the big year, and the  
19 infection rates were, as I mentioned, highest in  
20 Illinois, Indiana, and Ohio. Those were the big  
21 three. A lot of cases were in Chicago. They came  
22 actually late in the year.

23           With regards to more about that, I mean it  
24 was very much like West Nile virus. It is  
25 predominantly older people who had West Nile virus

1 meningoencephalitis. During that time, there were  
2 no cases of transfusion-associated St. Louis  
3 encephalitis reported.

4 Did we have the technology to identify it,  
5 did we have the surveillance to identify it?  
6 Probably not. Do we have the capacity to go back  
7 and look at some of those things? It's a question  
8 that we are contemplating, but I don't think that  
9 we have any of the material left.

10 DR. FALLAT: I was thinking more in terms  
11 of what has happened since 1975 with regard to the  
12 St. Louis virus, and would you speculate that the  
13 same thing is going to occur with the West Nile  
14 virus.

15 DR. MARFIN: Oh, I am sorry. In fact,  
16 1975 was a banner year and most of the country  
17 responded by intensifying the control of their  
18 urban Culex, and there were huge programs put into  
19 the control of urban Culex.

20 As things will happen when diseases don't  
21 show up for a number of years, those funds for the  
22 control of urban Culex begin to dwindle, and, in  
23 fact, as we have come into this year and last year,  
24 that is what we have seen. We have seen large  
25 urban areas that used to have good mosquito control

1 operations, they longer have those, they are not  
2 longer there.

3           Has that contributed to the outbreak of  
4 West Nile virus now? There will be some people  
5 that would suggest that. Since 1975, there have  
6 been some outbreaks. In 1989, in Mesa County,  
7 Colorado, in Grand Junction, there was an outbreak.  
8 In 1991, in Pine Bluff, Arkansas, there was an  
9 outbreak. Last year in Northeast Louisiana, there  
10 were 72 cases of St. Louis encephalitis in  
11 Northeast Louisiana.

12           So, it is still out there, and you can see  
13 that the pattern is somewhat different. It is  
14 hitting, burning, hitting, burning, hitting,  
15 burning, and you are not seeing the persistence as  
16 we are in some of these areas, and you are seeing  
17 very focal outbreaks. There is no large tracts of  
18 area that are involved in the epidemic as they were  
19 in 1975.

20           Why is that? I think that it is probably  
21 because this virus is coming into equilibrium with  
22 its mosquito vectors, it is coming into equilibrium  
23 with its amplifying hosts, and whether it gives us  
24 a St. Louis encephalitis-like pattern or whether it  
25 is going to forge its own pattern is simply not

1 known, and we don't enough data yet.

2 DR. LEW: Although it sounds like your CDC  
3 is asking for people to think of cases of people  
4 who get illnesses after four weeks, number one is  
5 why was four weeks chosen. I would assume that  
6 those who got the virus potentially from transplant  
7 had disease soon after, but if you could also  
8 elaborate on that, when did they have to start  
9 their illness.

10 Also, I guess if the illness does come  
11 within four weeks--is that what you are saying?

12 DR. MARFIN: Within four weeks of  
13 transfusion, yes.

14 DR. LEW: But what data is that based on?

15 DR. MARFIN: Oh, the data. It is going  
16 back and looking at the organ transplants. Some of  
17 these people had illness onset as long as 19 days  
18 after the organ transplantation. I would have to  
19 go back and look at my line listing, but that is  
20 why there is always a consideration, did they get  
21 infected while they were out of the hospital during  
22 those 19 days.

23 But, in fact, if you look at them, they  
24 tend to be a little bit longer. I think, like you,  
25 a lot of us would have said these people have no

1 intact immune system, why do we not have onset of  
2 illness by the second day or the third day, and, in  
3 fact, that was not seen. It would appear to be a  
4 little bit longer although I think one of the cases  
5 was within about four or five days.

6           When you go back and you look at the 1957  
7 data in which people with terminal cancer were  
8 given West Nile virus experimentally, you do see  
9 people who had viremia the very next day after they  
10 were injected intradermally with West Nile virus.  
11 In fact, those would be the higher levels of  
12 viremia that we have seen, but, in fact, illness  
13 came on, on the second or third day.

14           So, that is a little bit of a difference  
15 here. These are organ transplants. Why is there  
16 that delay, and we do not know why, but that is why  
17 are we pushing out those dates. We now know that  
18 some people can become ill as long as 17 to 19 days  
19 out after infection.

20           DR. LEW: One last question. Is that the  
21 only prospective study that you are reaching out to  
22 do, to look at possible transmission of West Nile?

23           DR. MARFIN: I am sorry. Which study?

24           DR. LEW: Well, it sounds like CDC is  
25 asking people to consider this and then refer it to

1 you guys, but is there any other prospective study,  
2 or maybe the blood banks know, to try to take a  
3 look at that issue?

4 DR. MARFIN: We do have a surveillance  
5 system in this country that is one of the CDC's few  
6 real-time surveillance system. It is called  
7 Arbonet, and Arbonet collects cases within days of  
8 their identification, and we are adding a new  
9 component to that, to specifically inquire of  
10 states and ill persons about transfusions, so those  
11 will also result in the potential identification of  
12 new cases.

13 DR. LAAL: What is the Israeli experience  
14 with the West Nile virus to blood transfusions?

15 DR. MARFIN: I am sorry, I don't know, but  
16 it is one of the things that is on our list of  
17 things to do. Last year they had hundreds of cases  
18 in Israel, as well as the year before. They have a  
19 very similar age structure to ours, they have a  
20 very similar medical system, but it is something  
21 that we are going to reach out and find out what  
22 their experience is.

23 DR. FITZPATRICK: I am sorry, I might have  
24 missed it on one of your slides, but have you been  
25 able to get tissue samples from the organs and test

1 any tissue samples from the organs that were  
2 transplanted?

3 DR. MARFIN: The organs that were  
4 transplanted, yes. Some services will set up a  
5 routine biopsy as part of their postoperative care.  
6 Some will only do it when there is evidence of  
7 rejection. We have looked at, at least one of the  
8 kidneys, and we were unable to demonstrate any  
9 viral antigen in that kidney.

10 Thank you.

11 DR. NELSON: Dr. Goodman from FDA.

12 **Jesse Goodman, M.D.**

13 DR. GOODMAN: Good morning. Similar to  
14 Tony, I have to apologize for those who heard my  
15 presentation at the PHS Advisory Committee last  
16 week, but similar to him, I can say it is updated  
17 and I hope you find it interesting.

18 I was going to say that it is not quite as  
19 dramatic, but perhaps in our case we have the  
20 regulators falling from the trees right now, at  
21 least that is how we feel late at night when we are  
22 working on this.

23 [Slide.]

24 Here is some background. Basically, the  
25 world of thinking about West Nile virus in blood

1 changed on 9-4. Before that time, we were all  
2 concerned about the biological plausibility for  
3 transfusion transmission to occur, and this was  
4 based on the known transient viremia in West Nile  
5 virus patients, as Tony showed you, believed to be  
6 on the order of just days to perhaps a couple of  
7 weeks, the fact that most patients with infection  
8 are asymptomatic and therefore would certainly be  
9 at risk of being in a donor pool.

10 The risk, though, was viewed as likely to  
11 be quite low. Why is that? Well, there is  
12 certainly on chronic carrier state known, and  
13 again, as Tony reported, some fairly extensive and  
14 systematic and also diagnostic studies from CDC  
15 reported pretty low yield of PCR in cultures in  
16 patients with West Nile disease. That would  
17 certainly suggest that once infected, you don't  
18 have prolonged viremia, even as detectable by a  
19 sensitive PCR assay.

20 There have been no cases reported in prior  
21 years or in endemic countries. I didn't get the  
22 details of the question about Israel, but FDA did  
23 make at least an informal query to Israel, and the  
24 Israeli blood folks could not tell us about any  
25 cases of transfusion transmitted disease there.

1           One point I would like to make about that  
2 is that, you know, just like the healthy public  
3 exposed to West Nile, it is possible that there  
4 could be transmission through transfusion and that  
5 many or most transfusion recipients would not have  
6 disease, but we need to bear that in mind, that the  
7 absence of evidence in other countries that this  
8 was not transmitted, the absence of evidence of  
9 transmission is, of course, not proof that  
10 transmission did not occur.

11           CDC recently published some risk modeling  
12 based on the 1999 New York epidemic and an assumed  
13 six-day viremia and 100 percent transmission rate,  
14 and came up with an estimate that something like 1  
15 to 2 in 10,000 individuals during an epidemic could  
16 conceivably be viremic at one time when they were  
17 in a donor pool.

18           That is a useful estimate, but it is based  
19 on a number of assumptions and another epidemic.

20           With plasma derivatives, we do know that  
21 closely related flaviviruses, which have been used  
22 in most of the inactivation schemes, these include  
23 enveloped viruses, such as BVDV, hepatitis C, et  
24 cetera, are very inactivated, but this situation is  
25 being looked at carefully I know by the plasma

1 industry. Even though we are confident of this, it  
2 may be that other studies will be done.

3 So, based on the above, FDA, working  
4 together with CDC and NIH, did issue the alert 8-17  
5 about this possibility, trying to raise awareness  
6 and particularly in endemic areas or epidemic  
7 transmission areas be sure to be very vigilant  
8 about donor exclusion criteria, such as fever and  
9 prodromal symptoms.

10 [Slide.]

11 What about after 9-4? Well, that is the  
12 day when based on confirmation of diagnosis in  
13 multiple organ recipients and evidence that the  
14 donor may have been infected, we concluded there  
15 was a high likelihood that transmission has  
16 occurred via transplantation, as CDC just  
17 presented.

18 As mentioned, the possible sources still  
19 remain natural mosquito-borne exposure or the  
20 multiple transfusions which this donor received.  
21 Given the number of multiple transfusions, a very  
22 high number, we are quite concerned that that could  
23 be a source in this case although there obviously  
24 are alternative explanations.

25 So, there has been a heightened level of

1 attention and concern. At present, though, there  
2 is still no proven transmission by transfusion,  
3 there is an increased suspicion with additional  
4 recent reports and some suggestive PCR results,  
5 which Tony didn't go into, but I believe are  
6 mentioned in an NMWR that is out or forthcoming.

7 But this is a very incomplete  
8 investigation and ongoing at this point, and  
9 cultures, follow-up serology of these individuals  
10 is pending. In some of those instances, results  
11 are negative, as well.

12 I think it is important to recognize and  
13 some of the questioning in this room before with  
14 Tony raised this, that the results of these  
15 particular few case investigations may, in fact,  
16 not be definitive. They may be or they may not,  
17 because individuals in areas with exposure to blood  
18 from potentially viremic donors have so far also  
19 had high potential for naturally acquired  
20 infection.

21 So, follow-up serologies and PCR on any  
22 PCR-positive donors may be helpful in sorting this  
23 out, also, co-recipient tracking. Certainly, if  
24 one saw, as in the organ transplant case, a high  
25 number or co-recipients of products also developing

1 disease in a similar time frame, this would be  
2 highly suggestive, so we want to be vigilant to  
3 that, and hopefully, CDC's increased awareness in  
4 reporting mechanisms could bring that to attention.

5           In addition, if there were cases where  
6 there was long-term hospitalization prior to onset  
7 without mosquitoes flying around hospitals and  
8 having worked in many hospitals in the United  
9 States, I would say that mosquitoes do fly around  
10 hospitals, sometimes even bats and squirrels fly  
11 around hospitals, but this would seem helpful and  
12 unlikely.

13           Another thing would, and it is hidden by  
14 the button, but an out-of-area case. I mean I  
15 think if we had an instance where blood from a  
16 highly epidemic area was routed to an individual  
17 who had been in an area with no ongoing  
18 transmission, and that individual developed  
19 disease, that would be the kind of thing that would  
20 make us all feel pretty strongly that this was  
21 likely going on.

22           I think still getting back to the original  
23 point, it is biologically plausible, and I think I  
24 would be somewhat startled if this never occurred.  
25 The question is how often may this occur, is it a

1 problem, and what would we need to do about it.

2 [Slide.]

3 So, what has been the public health  
4 response so far? You heard much of this from Tony.  
5 There has been a very close working relationship,  
6 very positive, between FDA, CDC, the States, the  
7 blood collectors in industry, and in the case of  
8 the organ transplant, HRSA, who regulates that  
9 area.

10 You heard about the continued  
11 investigation. There has been withdrawal of all  
12 in-date products as soon as CDC and FDA were  
13 notified of these cases. There has been a lot of  
14 work, such as this, with you, but also with the  
15 blood community, the media, consumers, to share  
16 information, and I think this can be challenging  
17 because sometimes information can be difficult,  
18 especially complex information like this can be  
19 difficult to communicate effectively.

20 On the other hand, I think the fact that  
21 we are sharing information helps increase trust and  
22 confidence and understanding.

23 Also, this stimulates reporting that we  
24 want to do. It gives us the opportunity to try to  
25 do balanced-risk communication that keeps in mind

1 the risk and benefits of transfusion and  
2 transplantation.

3 I think we need to continually give the  
4 message that there is uncertainty of the current  
5 knowledge base regarding risk, and this is rapidly  
6 evolving. Tony and our other colleagues at CDC, I  
7 mean we are being spun like a yo-yo by lab results  
8 and reports coming left and right, and we need to  
9 keep equilibrium and a careful look at those, and  
10 things may change in a matter of hours, days,  
11 weeks, or they may not.

12 It is still a very important point, and  
13 Jim Hughes of CDC made this, and I am sure Tony  
14 would agree, that the risk of West Nile virus from  
15 a mosquito bite right now is the big public health  
16 problem in this country. Of course, we are  
17 concerned about the safety of the blood supply, we  
18 are very serious about this, but that is another  
19 perspective.

20 [Slide.]

21 So, what is needed? Well, I think one of  
22 the questions that I heard before raised the  
23 question of how are we going to figure this out.

24 I think to some degree these cases may  
25 help, particularly if we have some definitive ones,

1 such as I suggested, but we do need to define the  
2 problem and rapidly deploy a research agenda, that  
3 retrospective studies are generally case reports  
4 and investigations, such as you have heard  
5 described, and others that may occur.

6 But also there is a potential to use some  
7 of the banked studies from some of the transfusion  
8 study groups and a group of people involved with  
9 that, and the FDA and CDC had a phone conversation  
10 yesterday about trying to mobilize such a study  
11 with one of the banked groups that may have sites  
12 in epidemic areas.

13 There is a need for prospective studies,  
14 we think, and a real important question  
15 particularly raised by some of the most recent  
16 testing data is that you saw the risk estimate from  
17 the original CDC study of Dr. Peterson based on the  
18 New York epidemic. It really predicted a very,  
19 very low incidence of viremia at a specific time in  
20 a donor population.

21 I think we need to be sure that we are  
22 not, based on some of what we are seeing and our  
23 level of concern, that there isn't something  
24 completely different and unexpected going on, and  
25 so we are trying to work with various partners to

1 mobilize a pretty rapid study during this season  
2 while transmission is still going on of the  
3 incidence of viremia in donors in an epidemic  
4 setting.

5           An early study may not be a definitive  
6 one, but it may give us a better idea of the scope,  
7 if any, of the problem. This should include  
8 emerging hot spots and also we think controlled  
9 populations where there is no disease transmission  
10 particularly given issues that come up about PCR  
11 methodologies.

12           Seroprevalence in frequently transfused  
13 individuals could be another study, studies to  
14 evaluate duration of viremia, et cetera,  
15 potentially needed laboratory research on the  
16 nature of the pathogen itself, its inactivation by  
17 various measures and conditions.

18           [Slide.]

19           Well, if we are identifying a significant  
20 problem, right now we don't know the seriousness or  
21 extent of it. As I said, we really believe we need  
22 to take this very seriously, and we want to prepare  
23 and move on these studies and on other things as if  
24 there were a problem. We can always then, if there  
25 is not one, at least have been ready, and if there

1 is one, be ready as quickly as possible.

2           So, it further intervention is needed, the  
3 basic arms of such an intervention could include  
4 the traditional donor screening and deferrals, and  
5 we have been asked questions at press conferences  
6 about could you exclude everybody with mosquito  
7 bites, et cetera.

8           Obviously, this would not be a  
9 particularly sensitive or specific intervention.  
10 We suspect that lots of people who maybe do not  
11 recall mosquito bites could be infected, and  
12 certainly the vast majority of people we know from  
13 the epidemiology, everyone in these areas is bitten  
14 by mosquitoes, yet, you saw seroprevalence data of  
15 2 percent in some of these outbreak situations.

16           So, it wouldn't be effective and given  
17 current problems we heard about earlier today with  
18 supply, it could harm a lot more people than it  
19 could potentially even help even if this were a  
20 true threat in the blood supply.

21           It is possible that one could hone this if  
22 our CDC and State colleagues could identify sort of  
23 hyperepidemic areas, and if those seem to be the  
24 places where this risk were occurring, it is  
25 possible that one could try to, as a temporary

1 measure, remove donors from those areas if this  
2 were an emergency and the risk was identified and  
3 present and threatening lives.

4           If that occurred, there would be supply  
5 implications, as well, but I think again we would  
6 have to understand that we were dealing with a  
7 potential public health emergency. So, this is  
8 just something to look at.

9           Again, I would like to say none of this is  
10 FDA or CDC policy or recommendations. We are still  
11 in the early stages of an investigation to  
12 determine what is going on, but we are concerned.

13           You heard earlier from Jaro that there was  
14 a recent workshop. There is a lot of very  
15 innovative work going on in industry about pathogen  
16 inactivation. This is an area where there may be  
17 targeted products or targeted recipients or  
18 targeted areas that could potentially evidence a  
19 favorable risk-benefit ratio for considering those  
20 kinds of interventions under the right  
21 circumstances.

22           So, it is just something that we all need  
23 to recognize that although currently unlicensed, it  
24 is a potential part of our armamentarium.

25           [Slide.]

1 Well, a lot of questions raised about  
2 testing of donor blood if it were needed, and if it  
3 were needed, we would have to ask who needs it,  
4 should this be general screening of all blood  
5 versus should it be possibly targeted screening if  
6 we can identify high-risk transfusion recipients,  
7 or at-risk areas in terms of the donor pool, or  
8 defined time periods which we heard are rapidly  
9 expanding.

10 Antibody testing appears to be unlikely to  
11 identify most early asymptomatic donors with  
12 viremia, but whether, for instance, hypersensitive  
13 IgM assays might detect some, we just don't know  
14 that at this point.

15 It would appear that direct detection  
16 is--it is funny, this reminded me when I have been  
17 thinking about it, it is also the reverse of the  
18 HIV situation where the window period is where most  
19 transmission is going on, and there sort of is no  
20 other period, so our focus here is really on a  
21 window period--direct detection therefore would be  
22 most likely of potential value.

23 Of course, there is nucleic acid  
24 amplification, as Tony has described, this can be  
25 quite sensitive, although we need to say that the

1 levels of virus in blood appear quite low and one  
2 questionable issue is whether this would be  
3 sensitive enough to do on pooled specimens, such as  
4 done with NAT for HIV and hep-C. Antigen detection  
5 methods have been developed, but are significantly  
6 less sensitive.

7           These assays have really been deployed and  
8 developed in research and clinical lab settings.  
9 They have not been applied to samples where you  
10 would expect the overwhelming majority of samples  
11 to be negative and from healthy donors, and so  
12 their performance in that setting is unknown at  
13 this time.

14           So, there are challenges in terms of  
15 transferring research and academic and public  
16 health lab technology to an industry blood banking  
17 setting, the issue of validation and use for donor  
18 screening in low prevalence populations. These  
19 things can't be underemphasized.

20           There is many things that are wonderful in  
21 one center or one lab, that when the rubber meets  
22 the road, there are bumps, but on the much more  
23 positive side, and I have tried to say that I think  
24 if we have a problem here, you know, this country  
25 and our industries and our scientists have the

1 capability to respond to this.

2           It may not be overnight, but there are  
3 facilitating factors, one of which is all the  
4 industry, blood bank, and FDA experience with  
5 existing NAT testing. Those platforms are out  
6 there, the testing centers are out there, et  
7 cetera.

8           Another is that some of these diagnostic  
9 technologies currently in use, I think would be  
10 promising for adaptation into that, and that might  
11 speed availability again at least in targeted areas  
12 potentially under IND, et cetera, again, if this  
13 were needed.

14           [Slide.]

15           In finishing, the investigation continues.  
16 I think we should not underestimate the level of  
17 alert and level of concern we all have. Even  
18 though the risk has been believed to be quite low,  
19 I think we need to be sure that we work hard to be  
20 sure of that and to define it.

21           We do need to better define that risk, as  
22 I said, and strategies potentially to mitigate it.  
23 There has been really close interagency  
24 collaboration and the blood industry has been  
25 extremely cooperative.

1           There has been good communication and  
2 information sharing with multiple parties and again  
3 I think that there has been a balanced yet flexible  
4 perspective on the level of risk, but it is a real  
5 challenge to keep doing this with rapidly evolving,  
6 almost on a daily basis, and an uncertain  
7 situation, and sometimes scientists and public  
8 health people and regulators, we just have to I  
9 think be candid and share the information and try  
10 to explain the complexities of it, but, you know,  
11 that is life.

12           FDA, we are certainly considering the need  
13 to move towards guidance for industry, and I think  
14 we are going to be planning to move in that  
15 direction rapidly, but again, given the changing  
16 target here, we want to be able to adapt to that in  
17 terms of what the guidance is.

18           For now, we have been involved with CDC  
19 and others in communication with industry that has  
20 encountered these cases or questions related to  
21 West Nile, to try to be helpful and consistent in  
22 those communications, and we welcome that.

23           If there is a potential need for a donor  
24 screening test, and I would say there certainly is  
25 a potential need at this point, we feel it is

1 important to be as ahead of the curve as possible  
2 and encourage and facilitate technology development  
3 and transfer.

4 I would say that is probably true given  
5 what has gone on this year with the expansion of  
6 this epidemic. This may not go away, and that even  
7 if we don't have a big blood problem this year, we  
8 should at least have the things in place, so that  
9 if one were to develop, we could deal with it.

10 To that end, we are planning and working  
11 with both the blood community and the medical  
12 diagnostic device industry to try to bring people  
13 together to begin to move forward on these issues  
14 there.

15 That is really about it. In terms of the  
16 BPAC, we welcome discussion here, we welcome input,  
17 and I know that FDA and CDC will continue to seek  
18 that input.

19 Thank you very much.

20 DR. NELSON: Thank you.

21 Yes, Judy.

22 DR. LEW: Could you help put this, or  
23 maybe CDC, as well, in perspective in terms of we  
24 heard that maybe 100,000 people have been infected,  
25 how does this compare to St. Louis eastern equine,

1 western equine?

2 I mean these are diseases we expect to see  
3 during the summer, so if it is truly epidemic of  
4 West Nile, I mean in comparison to the other  
5 encephalitides, which we normally would test for if  
6 we saw encephalitis.

7 DR. GOODMAN: Maybe Tony can answer, but  
8 this is an epidemic in this country at this point,  
9 and there are less cases of these other diseases  
10 right now.

11 Do you want to comment?

12 DR. MARFIN: Just to reiterate what Jesse  
13 said, if we looked at last year, we are talking  
14 about potentially 900 to 1,000 total infected  
15 people for the entire year, so this is out of  
16 proportion to previous years.

17 Theoretically, it should be about the same  
18 as St. Louis encephalitis, in fact, the ratio is  
19 about the same. It is about 1, in that case, it is  
20 a little higher, 200 to 300, and the patterns, the  
21 viremias, all of the things are almost identical.  
22 It is almost the same virus. With regards to  
23 eastern, in fact, it does have a higher attack  
24 rate, so it would be a relatively small number.

25 The fact of the matter is, though, that we

1 are not seeing most of those. We have not seen  
2 western equine encephalitis in this country for  
3 many years. Every year, there are 100 to 150 cases  
4 of La Cross encephalitis, but that primarily  
5 affects younger people, 9-year-olds, 10-year-olds,  
6 that are not donating.

7 So, in terms of arboviruses, this is a  
8 brand-new phenomenon given what has been going on  
9 with the others for the past few years.

10 DR. LEW: I recognize for West Nile, since  
11 it is new to this country, this is truly an  
12 epidemic, but just in terms of perspective, we are  
13 talking about we are worried about West Nile in our  
14 blood system, whatever, but St. Louis has been  
15 around and every year it infects so many people.

16 So, comparatively, is it just meeting what  
17 St. Louis has always been at its baseline, not  
18 epidemic, or is this really much more than even St.  
19 Louis at this time. Do you see where I am going  
20 with this?

21 DR. MARFIN: Well, I can say that we have  
22 had epidemics in the past 25 years of St. Louis  
23 encephalitis. Last year, there were 72 cases in one  
24 city in Louisiana. There were no other cases in the  
25 country or one or two.

1           That is the pattern that they have  
2 established. It is very focal. It is periodic.  
3 The last one before last year was 1991, so we  
4 haven't had an outbreak of St. Louis encephalitis,  
5 a focal outbreak, in 10 years. So, it is very,  
6 very spotty.

7           I don't know whether West Nile virus is  
8 going to become like that. I just know that this  
9 year we have a lot more cases than we would have  
10 anticipated.

11           DR. GOODMAN: I think a legitimate comment  
12 and maybe where you were coming from is there are  
13 probably other viral diseases that cause transient  
14 viremia and offer the theoretical possibility of  
15 transmission in blood, and we just need to keep  
16 this in perspective with other risks and other  
17 infections.

18           But we are dealing here with this striking  
19 transplant case and with some reports of at least  
20 cases potentially associated with, but not clearly  
21 due to, transfusion. So, I think we do have to  
22 keep that in perspective, that is different, and  
23 obviously, there is much more influenza, and  
24 influenza can be in your blood for a short time.

25           We are not aware of horrendous problems

1 with influenza such as this, but again, how robust  
2 are our studies and monitoring systems to detect  
3 that. So, in a way we have a challenge here. I  
4 mean it is a modeling for many things. It is a  
5 model for dealing with a new potential threat to  
6 blood, but it is a model also to keep that in  
7 perspective and try to respond to it responsibly  
8 and with changing and grossly deficient knowledge.

9 DR. NELSON: I think this epidemic sort of  
10 illustrates that there are many different agents  
11 that come and go, and this year West Nile is very  
12 important. It would be good if there were sort of  
13 an ongoing pre- and post-transfusion serum bank  
14 linked to donors that we could look at risks, and  
15 there were in the past, the TTV study, the FACT  
16 study, et cetera.

17 As far as I am aware, there is no ongoing  
18 large, I mean NIH has some follow-up, but in terms  
19 of a comprehensive database that we could go look  
20 at a new risk, I don't think there is one. It is  
21 often hard to make a case that, well, something is  
22 going to happen and we need to know it. It is  
23 always retrospectively, after it happens, and then  
24 you can't get the data that you really need.

25 During the FACT study, we studied several

1 different agents sequentially, not what we started  
2 with, but it is has always been difficult to get  
3 that funding, but it would be good if we had a  
4 donor-linked pre- and post-transfusion that we  
5 could look at, because with most infections being  
6 asymptomatic, both in the donor and the recipient,  
7 you are really looking at a really small iceberg  
8 when you are looking at clinical events  
9 retrospectively.

10 DR. GOODMAN: Right, and I think some of  
11 the repositories--again, Jay and many of you at the  
12 table know much more about this than I do--but some  
13 of the repositories like REDS, RADAR, et cetera,  
14 are potential resources for looking at this.

15 DR. HARVATH: Ken, I would like to say  
16 that the NHLBI REDS study has the RADAR repository,  
17 and I think Mike Busch would like to describe what  
18 the discussions have recently been about utilizing  
19 that.

20 DR. BUSCH: Yes, the RADAR repository is  
21 being put down by REDS. It is actually a  
22 collaboratively supported study with CDC. There  
23 are five large blood centers, main REDS centers,  
24 plus two CDC-supported sites that are currently  
25 freezing down donation samples pre-transfusion, and

1 then follow-up samples from recipients. I think  
2 the total goal is about 10,000 recipients, about  
3 50- to 100,000 units that went into those  
4 recipients plus additional donations that didn't go  
5 into the recipients are being frozen down in  
6 parallel.

7           These include some of the hot spots.  
8 Detroit is one, and, in fact, will likely include  
9 Detroit in an initial study of West Nile  
10 prevalence. There is also a study at NIH that is  
11 called the TRIP study, that Harvey Alter is  
12 conducting. It is kind of interleaved with the  
13 RADAR, it has got more frequent recipient sampling.

14           In this particular epidemic, it is turning  
15 out are the sites where we are recruiting these  
16 donors and recipients at the hot bed of the  
17 epidemic, and so we are realizing that we need to  
18 supplement what we are going to do with RADAR with  
19 some unlinked and then downstream linked studies in  
20 some of the other hot zone regions.

21           MR. RICE: Just a clarification. With  
22 respect to an identified donor that went into a  
23 manufactured pool, the current way that that is  
24 being handled is a withdrawal situation of in-dated  
25 product as opposed to a recall, and has there been

1 any established like effectiveness check as  
2 follow-up since you are taking the product out of  
3 circulation as a result of an identified donor  
4 post-manufacture, is that the way that it is  
5 currently being handled as opposed to a more formal  
6 situation in a recall sense?

7 DR. GOODMAN: I will let Jay comment, but  
8 in the absence of guidance, which as I said we are  
9 working towards, that is the way it is being  
10 handled, but FDA has been involved very directly in  
11 each of these cases with the blood organizations.

12 Jay, any comment on that?

13 DR. EPSTEIN: Yes. We have not been  
14 recommending withdrawal of pooled products, in  
15 other words, there have been no plasma derivatives  
16 withdrawals.

17 At the present time, however, it is also  
18 the case that we have not been told of a product  
19 that contained a unit made from a donor who  
20 potentially may have transmitted to a component  
21 recipient, but our current perspective is that we  
22 have reviewed all of the validation data for virus  
23 inactivation of the plasma derivatives. In all  
24 cases, representative viruses in the Flavivirus  
25 family were studied, so we believe that the

1 products will remove or inactivate, and the  
2 processing will remove or inactivate this  
3 flavivirus.

4 We have a dialogue ongoing with the  
5 fractionators to talk about additional studies with  
6 the West Nile virus, but bear in mind that these  
7 products have been made safe for hepatitis C and  
8 that all of that was done with marker virus studies  
9 since you can't grow hepatitis C in vitro.

10 So, we do think that the safety profile is  
11 very good, and we are not at this point in time  
12 asking for derivative withdrawals. What we have  
13 been doing case by case is discussing with the blood  
14 centers retrieving any in-date components from the  
15 donors when the donors are under investigation for  
16 the possibility of having transmitted through  
17 components to a recipient.

18 DR. GOODMAN: And we are asking for  
19 retrieval of any plasma that has gone to  
20 fractionators, as well.

21 DR. EPSTEIN: Right.

22 MR. RICE: So, you are retrieving the  
23 components, but not the derivative products.

24 DR. EPSTEIN: That is correct.

25 MR. RICE: Okay.

1 DR. NELSON: Other comments?

2 Thanks, Dr. Goodman.

3 DR. GOODMAN: Thank you.

4 DR. NELSON: The next item is a discussion  
5 of Self-Administration of the Uniform Donor History  
6 Questionnaire for First-Time Donors.

7 Dr. Alan Williams.

8 It has been suggested that maybe since  
9 there are several presentations, we are a bit  
10 behind, maybe we should take a break and do it  
11 afterwards, and then up until the lunch hour, we  
12 will discuss the whole issue rather than have one  
13 or two presentations and then a break.

14 DR. WILLIAMS: It sounds fine particularly  
15 since those aren't my slides.

16 [Laughter.]

17 DR. NELSON: We will come back at 10:30,  
18 please.

19 [Break.]

20 DR. NELSON: Dr. Williams.

21 **Self-Administration of the Uniform Donor**  
22 **History Questionnaire: First-Time Donors**  
23 **Background and Introduction**

24 **Alan Williams, Ph.D.**

25 DR. WILLIAMS: Again, good morning. I

1 would like to start off with just a brief  
2 administrative announcement before getting to the  
3 topic.

4           As many of you know, blood establishment  
5 registration, which is for blood and plasma  
6 collection establishments and all FDA-registered  
7 laboratories, is required annually near the end of  
8 the year.

9           We would just like to provide a heads-up  
10 that it is FDA's intent this year to offer an  
11 electronic version of this registration form. This  
12 form is actually going to mimic the paper form and  
13 will be available with last year's data and can  
14 simply be modified electronically and resubmitted.

15           The detailed information about this and  
16 the instructions for completion will be sent to all  
17 registrants at the time of renewal, and  
18 acknowledgment of receipt of the form will still be  
19 done manually just to ensure that everyone knows  
20 that the material has been received. So, just an  
21 indication of FDA's intent in this direction.

22           [Slide.]

23           The major topic for discussion is a  
24 follow-up to previous discussions regarding the  
25 revised Uniform Donor History Questionnaire which

1 has been under active study by an interagency task  
2 force coordinated by the American Association of  
3 Blood Banks, and the decision point for today  
4 really concerns whether components of the  
5 questionnaire should be self-administered versus  
6 administered by oral interview or equivalent means.

7 [Slide.]

8 I would like to start of the discussion  
9 just by establishing a little bit of context as far  
10 as regulatory oversight of the mode of  
11 administration of the donor screening process as  
12 opposed to the content of the screening process.

13 Prior to the early 1990s, there was really  
14 no regulatory position on donor screening  
15 methodology and industry practices tended to be  
16 mixed, varying between self-administration of  
17 certain portions of the questionnaire to actual  
18 interview administration of the whole or portions  
19 of the questionnaire.

20 That changed in early 1992 with an FDA  
21 memorandum recommending direct oral administration  
22 of the AIDS-related high-risk questions, and this  
23 was on the heels of a published study by Donna  
24 Mayo, et al., in Transfusion, showing that, in  
25 fact, this method was more effective at eliciting

1 high-risk behaviors from the donor population.

2           In 1998, based on submitted data, which to  
3 my knowledge have not been published, some blood  
4 centers applied and have been approved for a fully  
5 self-administered questionnaire, and that includes  
6 the higher risk questions. This is not true of the  
7 entire industry, it is limited to a subset of  
8 current blood establishments.

9           In January of 2002, final guidance was  
10 issued with respect to the travel deferrals for  
11 protection against variant CJD and BSE exposure.  
12 This guidance recommends oral questions about  
13 European travel and residents for first-time  
14 donors.

15           The reason for this change was specific to  
16 the nature of the questions and the complexity of  
17 the information that was being gathered. From the  
18 earlier guidance relating to UK travel, there was  
19 recognized a marked increase of biologic product  
20 deviation reports to FDA related to post-donation  
21 information.

22           In Fiscal Year 01, 76-plus percent of the  
23 deviation reports were related to post-donation  
24 information or PDI, and close to 90 percent of the  
25 PDIs were due to false negative screening tests,

1 that is, the donor was apparently aware of the  
2 information at the time of donation and it wasn't  
3 reported as part of the screen.

4           Interestingly, about 45 percent of those  
5 PDIs were related to either United Kingdom or  
6 malaria travel questioning, and these data are  
7 available on the FDA web site.

8           In April of 2002, pertinent to today's  
9 discussion, FDA issued its current thinking on  
10 self-administration of the donor questionnaire in  
11 draft guidance, and I will go over some elements of  
12 this guidance document because they impact on the  
13 revised Uniform Donor History Questionnaire and its  
14 future mode of implementation.

15           Some key aspects of this guidance document  
16 were recommendation for oral interview of  
17 first-time donors, and the intent of the guidance  
18 was to apply this to the newer, more complex travel  
19 questions, as well as questions that use more  
20 complex medical or scientific terminology, such as  
21 Chagas disease, babesiosis, xenotransplantation,  
22 and terms like that, as well as the high-risk  
23 questions.

24           This guidance actually removes the  
25 recommendation in the earlier memo for oral risk

1 interview for the high-risk questions and repeat  
2 donors, and the intent, although this is  
3 discussable based on the considerations being given  
4 to the parameters today, that previous approvals  
5 for oral questioning with respect to other aspects  
6 of the questionnaires will stand. In the absence  
7 of data showing any sort of safety problem, FDA  
8 doesn't currently feel that mode of administrations  
9 that are currently approved should be altered.

10 [Slide.]

11 A little more specific history with  
12 respect to the discussions of this committee  
13 particularly at the last meeting, we gave a little  
14 background of certain aspects of the donor  
15 qualification process that we didn't want to spend  
16 time reviewing today, that is, the importance of  
17 having an accurate donor qualification process not  
18 only to remove risk for agents such as HIV and  
19 hepatitis C where there are tests available, but  
20 equally, if not more importantly, to have the  
21 ability to remove potentially harmful donors in  
22 situations where a test does not exist. So,  
23 accuracy is very important.

24 Secondly, we reviewed the stages of donor  
25 qualification. This runs the gamut from

1 pre-donation education of the donor and  
2 self-deferral at that point, to screening and  
3 self-deferral at the time of the donation process,  
4 to recognition after the donation fact and  
5 reporting by post-donation reports.

6           We reviewed the donor screening process,  
7 evidence of successes, namely, that first-time  
8 donors and repeat donors have considerably lower  
9 levels of risk in evidence compared to the general  
10 population, and some of the areas where sensitivity  
11 of the process appears to be flawed, for instance,  
12 those donors who are found to have a transmissible  
13 infection at the time of screening frequently have  
14 risks that should have prevented their donation.

15           Survey research shows that a certain  
16 proportion of uninfected donors also carry risk.

17           I think I would also attribute the  
18 post-donation information data as representing a  
19 failure of that donor to recognize that that  
20 information should have been brought forward at the  
21 time of the screening process.

22           Unfortunately, most of these data cannot  
23 be stratified in terms of whether the donation  
24 screening process was done by a self-administration  
25 process or by an oral interview. The data for the