

1 individual donation testing sites.

2 [Slide.]

3 The clinical utility of this assay was  
4 further established by testing 10 seroconversion  
5 panels in comparison with antibody and antigen  
6 assays, HIV-1 RNA was detected 10 and 3 days  
7 earlier at 1 to 16 dilution, and 12 and 7 days  
8 earlier, when tested undiluted.

9 The test met the current FDA sensitivity  
10 standard of 100 copies per ml.

11 [Slide.]

12 Subsequent to licensure, two reports of  
13 HIV transmission involving three recipients were  
14 identified. The first case was at the South Texas  
15 Blood and Tissue Center in San Antonio and the  
16 second at Florida Blood Services in St. Petersburg.

17 [Slide.]

18 Dr. Busch and Dr. Leparc will review these  
19 cases in detail, but the next two slides present  
20 the key points of this report.

21 In the San Antonio case, transmission to a  
22 single recipient occurred from a unit of red cells  
23 that tested negative by an investigational minipool  
24 NAT assay, p24 antigen and antibody assays.

25 The implicated unit was tested at

1 different dilutions by unlicensed and FDA licensed  
2 assay. Importantly, inconsistent detection was  
3 observed in diluted samples, but the undiluted  
4 samples were detected suggesting that ID-NAT may  
5 have detected this donation. The viral load was  
6 approximately 150 copies per ml in the sample, so  
7 we are looking at a very low viral load sample  
8 here.

9 [Slide.]

10 In the Florida case, FFP and red cells  
11 manufactured from a unit that tested negative by a  
12 licensed minipool NAT assay, p24 antigen and  
13 antibody assays, transmitted HIV to two recipients.

14 The cases were identified by lookback.  
15 Genetic studies are underway to establish linkage  
16 between donor and recipient, but in this case, the  
17 implicated sample is not available for further  
18 testing.

19 [Slide.]

20 These cases indicate that rare event/HIV  
21 transmissions continue to occur even after  
22 implementation of pooled sample NAT, which reduces  
23 risk to 1 in approximately 2 million and the window  
24 period to 11 days. ID-NAT is expected to further  
25 reduce this to 1 in approximately 3 million and the

1 window period to 7 days. This is for HIV.

2 [Slide.]

3 Although ID-NAT is technically feasible,  
4 further refinements are needed for efficient  
5 nationwide implementation. Current platforms for  
6 ID-NAT are semi-automated and require manual  
7 specimen preparation, reagent addition, et cetera.

8 [Slide.]

9 Upgrades are needed to maximize efficiency  
10 for high volume use and these upgrades and the  
11 regulatory submissions for approval will require  
12 time.

13 Automation capabilities and associated  
14 training of lab technical staff will be necessary  
15 to minimize error and assure component safety and  
16 availability.

17 [Slide.]

18 So, in conclusion, FDA strongly encourages  
19 manufacturers to expedite development of fully  
20 automated platform for high volume use of ID-NAT to  
21 further reduce the low risk of HIV transmission  
22 from window period donations.

23 FDA will work with manufacturers to  
24 expedite the review process to ensure timely  
25 implementation of ID-NAT nationwide at some point

1 in the future.

2 Thank you.

3 DR. NELSON: Thank you very much for that  
4 succinct and complete summary.

5 Are there any questions? No.

6 Dr. Leparc wanted to report on the Florida  
7 case.

8 **Case Report - Florida Blood Services**

9 **German Leparc, M.D.**

10 DR. LEPARC: Thank you.

11 [Slide.]

12 Following is a summary of the donation  
13 data associated to the investigation of the  
14 recipients of a newly seroconverted volunteer donor  
15 on whom serologic markers for HIV were found to be  
16 positive on the last of five blood donations that  
17 were collected in the course of eight months.

18 [Slide.]

19 This is a depiction of the total donor  
20 history. In yellow you will see the seropositive  
21 donation that took place in May, and all products  
22 from that donation were discarded. In red, you  
23 will see the donation immediately prior to the  
24 seropositive one during the window period that  
25 resulted in two of the components being made

1 available and eventually transfused into two  
2 recipients, red blood cells and fresh frozen  
3 plasma.

4           The platelets were discarded after their  
5 expiration date, five days after collection.

6           [Slide.]

7           The prior three donations were part of our  
8 lookback, also an effort and failed to reveal any  
9 patient, recipient of this blood, who seroconverted  
10 as a result of the transfusions of those  
11 components.

12           The first donation by the donor was  
13 collected exactly a year ago today, during the  
14 massive public outpouring that followed the tragic  
15 events of September 11th, 2001. Subsequent to  
16 that, a whole blood donation from the same  
17 individual was collected every 56 to 61 days, so  
18 this person became a first-time donor and a very  
19 regular donor after September 11.

20           Each and every one of those donations  
21 prior to seroconversion was tested individually for  
22 HIV-1/2 antibodies, for p24 HIV antigen using FDA  
23 licensed enzyme immunoassays, as well as for the  
24 presence of HIV viral genome using nucleic acid  
25 testing based on transcription-mediated

1 amplification using reagent in a 16-member  
2 meaningful configuration as specified by the test  
3 manufacturer and within the testing protocol  
4 established in the IND approved by FDA to evaluate  
5 the feasibility of nucleic acid testing for the  
6 screening of blood donations.

7           Seroconversion of the donor was detected  
8 in the last blood donation in May of this year.  
9 All blood components, as I mentioned before, were  
10 appropriately quarantined and discarded.

11           [Slide.]

12           The seropositive condition of the donor,  
13 once confirmed, prompted the initiation of lookback  
14 procedures that led to the discovery of  
15 seroconversion in the recipients of blood  
16 components from the immediately preceding blood  
17 donation.

18           Both the recipient of red blood cells and  
19 the recipient of fresh frozen plasma from the blood  
20 donation in March were found to be seropositive for  
21 HIV, as well as have positive HIV RNA by nucleic  
22 acid testing.

23           The inner platelets from this donation  
24 again was discarded after reaching the five-day  
25 expiration date.

1 [Slide.]

2 As far as the prior donations, we have at  
3 least one living accessible recipient for each one  
4 of those, and in every case we have no evidence of  
5 seroconversion indicating that there was no  
6 exposure to the HIV virus in those prior donations.

7 [Slide.]

8 An exhaustive analysis of the testing  
9 performed on samples of the index donation to runs,  
10 as well as testing on all of those who participate  
11 in the same donor drive did not uncover any testing  
12 anomalies and we had the conclusion from those  
13 results that the donation linked to the  
14 transmission of HIV occurred during that brief  
15 period estimated to be somewhere between 7 to 11  
16 days after exposure when the donation cannot be  
17 interdicted by the use of current testing methods.

18 [Slide.]

19 Review of the testing data for the  
20 seropositive donation shows that the seropositive  
21 was clearly positive with the ELISA test on the  
22 initial testing being 7 times the cutoff, as well  
23 as on the repeat testing.

24 The NAT-HIV Multiplex Minipool had values  
25 of almost 22 times the cutoff. In the Multiplex

1 Singlet for HIV, it was about 18 times the cutoff,  
2 and in the Discriminatory HIV and Singlet, about 15  
3 times.

4           The immunofluorescence assay gave a  
5 positive result of 2-plus negative control and  
6 positive, so it was not the strongest  
7 immunofluorescent assay, but showing that there was  
8 some degree of early seroconversion.

9           [Slide.]

10           The donor at the time was notified of the  
11 abnormal results and actually follow-up samples  
12 were obtained and with similar results. By  
13 contrast, when we reviewed the results of the  
14 testing performed in the donation collected during  
15 the serological window period, we found no evidence  
16 of either HIV antibodies with a very low optical  
17 density and a signal-to-cutoff ratio of 0.2, the  
18 signal-to-cutoff ratio for the antigen, again these  
19 are tested on in singlet, was low, at 0.1.

20           The nucleic acid testing in the multiplex  
21 configuration in a minipool of 16 members, purple,  
22 showed a good internal control with over 173,000  
23 relative light units. The anilide was well below  
24 the internal control and with a signal-cutoff ratio  
25 of 0.2, close to 0.3, so this was a clearly



1 negative result.

2 [Slide.]

3 In conclusion, we could not determine the  
4 viral load on the donor at the time of the  
5 collection, during the window period, and this was  
6 because no archival samples were available. The  
7 red blood cells were transfused, the plasma was  
8 transfused, and the platelets were discarded after  
9 expiration date. As a result of that, we are  
10 looking at the feasibility of maintaining archival  
11 samples for future investigations.

12 Our laboratory, however, tests  
13 approximately 9 percent of the nation's blood  
14 supply for nucleic acid testing, and at that volume  
15 to have a feasible archival and retrieval system  
16 that can allow efficient aliquotting and store for  
17 long term, at this point we haven't figured how to  
18 accomplish that.

19 We had components. As opposed to the case  
20 in Texas where there was a remnant of fresh frozen  
21 plasma that provided plenty of material for study,  
22 we didn't have anything like that.

23 As Dr. Hewlett mentioned, there are  
24 studies ongoing on the HIV genotyping for donor and  
25 recipients to establish a link. These studies are

1 being done both at the CDC and FDA laboratories  
2 from samples obtained at different times from each  
3 one of the three parties.

4           Lastly, we plan to perform an HIV  
5 infection dynamic staging on the seropositive  
6 samples that are archived from the donor. There  
7 are ways where you can approximately determine the  
8 time when the donor could have become infected  
9 prior to donation by doing some staging tests that  
10 Dr. Busch will describe later.

11           So, that is a brief summary of the case  
12 that we had.

13           DR. NELSON: Thank you very much.

14           Neither the donor nor any of the  
15 recipients had a seroconversion illness of any  
16 type?

17           DR. LEPARC: No. Actually, this was, of  
18 course, a surprise for all three parties. There  
19 was no indication whatsoever that infection had  
20 occurred.

21           DR. SIMON: Did you find any risk factors  
22 in the donor?

23           DR. LEPARC: Yes, and I cannot go into  
24 much details about this because, of course, there  
25 is litigation now in progress, and our counsel has

1 advised us not to discuss this, but there was a  
2 risk factor which was unknown by the donor at the  
3 time.

4 DR. NELSON: Thank you.

5 Dr. Busch.

6 DR. NELSON: I think this case and these  
7 cases are important because they certainly say  
8 something about the sensitivity of our surveillance  
9 and the usefulness of lookback and the whole issue.  
10 I am impressed that we were able to find some cases  
11 where there was still an issue that all of us  
12 hypothesize might not have disappeared completely.

13 DR. SIMON: I guess in the interim, even  
14 with individual NAT based on what Dr. Hewlett  
15 showed, you still have a few days there, so we are  
16 not going to get to zero until we get to pathogen  
17 inactivation theoretically is my feeling.

18 DR. NELSON: I was a little surprised  
19 given the doubling time of HIV, at the very low  
20 copy number, which should be a fairly small window  
21 on average, but maybe this patient wasn't average.  
22 Maybe there was something going on leading to this  
23 very low copy number.

24 **Viral Dynamics in Early Seroconversion**

25 **Michael Busch, M.D.**

1 DR. BUSCH: I can start just talking  
2 through the slides. The first few slides are the  
3 summary of the case reports both from the San  
4 Antonio transmission case and an earlier published  
5 study that we did in collaboration with CDC related  
6 to a transfusion in Singapore that transmitted HIV  
7 from a window phase unit.

8 The San Antonio case, the first slide is a  
9 timeline. It is very similar to Dr. Leparc's study  
10 in that a donor seroconverted to HIV antibody, and  
11 the prior donation from that donor had been  
12 transfused, and through lookback, the recipient of  
13 that donor was recalled and found to be infected.

14 Fortunately, in that case, the recovered  
15 plasma had actually been shipped to Europe but not  
16 yet pooled, so it was able to be brought back to  
17 the States and studied, and it is through studies  
18 of that plasma, as well as follow-up samples from  
19 the donor and from the infect recipient that we  
20 were able to, first, confirm that this was the  
21 source of transmission, so extensive sequencing  
22 studies were done to unequivocally demonstrate  
23 that.

24 The other thing we were able to do was to  
25 do viral load analysis and most importantly,

1 dilutional studies to assess what the detectability  
2 of that unit would have been had it been tested  
3 singly or at intervening dilutions relative to the  
4 current pooled test systems.

5           So, there is a table that demonstrates the  
6 detection. The sample was detected undiluted and  
7 there was detection, and as the sample was diluted  
8 out on both the Roche and the Gen-Probe systems  
9 both at 1 to 8, 1 to 16, 1 to 24, we began to lose  
10 detection a fraction of the replicate tests.

11           Importantly, this original NAT was  
12 actually what is considered a home brew NAT, San  
13 Antonio brought up their own assay system under IND  
14 from FDA, but this particular donation was just  
15 given at a viral load of about 150 that was just  
16 detectable essentially at the pool sizes being used  
17 with some relative rates of detection.

18           The other case that is described in there  
19 was published a year and a half ago. It was again  
20 a transfusion in Singapore, same story in that a  
21 donor seroconverted. They were not screening by  
22 pooled NAT at the time, but the prior donation  
23 plasma that was determined to have infected a  
24 recipient was again available and was subjected to  
25 the same kind of sequence proof of transmission and

1 then characterization of the viral load.

2           The same sort of story played and that the  
3 unit was detected consistently by both the Roche  
4 and Gen-Probe systems undiluted and was detected at  
5 serial pooled dilutions with relative frequencies  
6 that eventually, essentially became negative out at  
7 the 1 to 24 pool size.

8           So, these are two additional examples  
9 although in these cases, the plasma from the  
10 implicated unit was available.

11           That was the time course, the sequencing.

12           [Slide.]

13           This is the dilutional data on the San  
14 Antonio case, and again at 1 to 8, both  
15 manufacturers essentially picked it up. At 1 to  
16 16, it was still picked up 3 out of 3 by one, but a  
17 portion on the other, and at 1 to 24, both  
18 companies missed it, about a third or a quarter of  
19 the time.

20           [Slide.]

21           The Singapore case, I talked through it.

22           [Slide.]

23           So, this is the dilutional work on the  
24 Singapore case. Again, the upper part is controls,  
25 importantly undiluted both Gen-Probe and Roche

1 systems detected consistently, but as you began to  
2 dilute it out 1 to 8 and then 1 to 16 and 1 to 24,  
3 you see the sample is negative, so, just as  
4 predicted from the earlier studies, as you will  
5 see.

6 [Slide.]

7 I just want to quickly, though, run  
8 through our understanding, which we have had for  
9 quite a long time, of the evolution of viremia. We  
10 really predicted these cases would happen, and it  
11 shouldn't have been a surprise, talk a little about  
12 the sequential stages of early infection for both  
13 HIV, HCV, and a little bit about HBV, and then get  
14 into really what we would predict would be the  
15 frequency that we would be missing units that could  
16 be detected by individual and are being missed by  
17 minipool.

18 [Slide.]

19 Just HIV, the early dynamics. Many of you  
20 have seen this many times in this arena.  
21 Importantly, I think this so-called eclipse period  
22 following exposure before we can detect virus even  
23 by single unit NAT, there are observations when we  
24 test back samples from infected people of transient  
25 periods of very low level viremia during this

1 period, which we don't know for sure, but may be  
2 infectious, as well.

3 Then, we have this brisk ramp-up viremia  
4 followed by antibody conversion and stabilization,  
5 steady-state RNA.

6 [Slide.]

7 This is just one of the examples of a blip  
8 viremia for HIV, so this is a plasma donor who was  
9 detected by pooled NAT at day zero. That is the  
10 definition here. Then, as we test back, day minus  
11 4 on back, we can detect by full-input,  
12 high-sensitivity studies single unit type testing.

13 Immediately prior to the early consistent  
14 minipool positive, we can detect low level  
15 frequency viremia, which essentially is analogous  
16 to these transmission events that we are observing,  
17 but interestingly, if we look back in a number of  
18 these panels a week or so before that early  
19 viremia, we detect another transient phase of  
20 viremia, sort of primary viremia phenomenon similar  
21 to what you heard this morning about West Nile.

22 Again, whether this is infectious, we  
23 don't know. Again, it is erratically detected even  
24 by the individual NAT, and we think this eclipse  
25 phase between here is probably not infectious, but



1 studies are in process to study that further.

2 [Slide.]

3 By looking at a large number of panels, we  
4 can quantify the ramp-up viremia, and it is really  
5 this data that allows us to estimate the doubling  
6 time and project the relative window closure  
7 achieved by individual versus minipool NAT or  
8 intervening pool size.

9 [Slide.]

10 HCV, a similar summary graph. Again, I  
11 will show you some examples of really a very common  
12 phenomenon, that before ramp-up viremia, there is  
13 frequently a transient very low level viremia that  
14 is observed for weeks before this explosive ramp-up  
15 phase, and then you go through a very long, almost  
16 two-month plateau viremia, very high titer, readily  
17 detected by minipool NAT, which explains why we are  
18 seeing such a relatively high yield of HCV minipool  
19 NAT.

20 [Slide.]

21 This is just one example of an HCV blip  
22 viremia, so this donor, plasma donor was picked up  
23 at day zero by minipool NAT. They had one sample  
24 here that had about 100,000 copies that was  
25 initially missed by the large plasma pooled NAT,

1 but what I want to emphasize is this period of  
2 actually several months prior to ramp-up viremia,  
3 during which we could detect viremia.

4           This is four replicate, full-input TMA  
5 assays, and the portion of the bars that are filled  
6 here are the percentage of the four reps that were  
7 positive by the single sample input full  
8 sensitivity TMA, so you see this donor went through  
9 sort of cycles of a week of very low level viremia  
10 erratically detected even by individual NAT, then  
11 negative for a week, and then positive for a week,  
12 then negative, then positive.

13           [Slide.]

14           Just shows a series of these. This is a  
15 group of six panels that are actually, as I will  
16 show you later, being transfused into chimps now,  
17 serial samples from these human plasma donors, but  
18 you can see here this blip viremia extending back  
19 from day zero in six of these cases with a sort of  
20 similar cyclic kind of viremia.

21           Again, this is the proportion of four  
22 replicate individual donation NATs that are  
23 positive. An important point here is this strongly  
24 suggests that even individual donation NAT is  
25 unlikely to interdict all infectivity because it is

1 only able to erratically detect the viremia that  
2 exists in this early eclipse phase.

3 [Slide.]

4 Just one slide. This is from some work  
5 that Fred Prince is just publishing where they  
6 infected chimps with very low level, exposed chimps  
7 to one viral particle, then 10, then 100.

8 What you can see here is that a single  
9 viral particle actually can lead to--this doesn't  
10 show it on this slide--but to transient viremia and  
11 a low level T-cell immune response, and then as you  
12 get to 10, there is actually even a low-level  
13 antibody response, and then at 100 copies you get  
14 full infection.

15 So, the message here is that we think  
16 these blip viremias, they are either an early phase  
17 of virus just smoldering in the liver and just  
18 beginning to get a foothold in the body, or they  
19 may represent repeat exposures in these high-risk  
20 people at very low doses that are unable to  
21 establish a full infection.

22 [Slide.]

23 These, as I indicated, in collaboration  
24 with Harvey Alter and Chris Murphy, these units  
25 from these pre-blips in the valleys between the

1 blips and ramp-up, and then the blips are being  
2 transfused into chimps to try to really define when  
3 is infectivity established relative to the  
4 detectability of viremia either during the blips,  
5 which is very erratic, or if necessary, into the  
6 early ramp-up phase.

7           So, we are really trying to do the studies  
8 that will better characterize infectivity versus  
9 viral load in the early phase of infection.

10           [Slide.]

11           Just for HCV, similar, we have got a  
12 number of these cases, 37, where we have good  
13 ramp-up phase viremia load data and can, from that,  
14 derive a doubling time estimate, and then the next  
15 slide shows just one example of how we can use that  
16 ramp-up phase viremia and the differential  
17 sensitivity of minipool versus individual NAT,  
18 which is about a 20-fold difference.

19           [Slide.]

20           Given the rapid ramp-up phase, a 20-fold  
21 difference in sensitivity, testing a sample  
22 essentially in pools of 20 versus singly with the  
23 same assay, only translate into about a four-day  
24 difference in the window period closure.

25           This is the important sort of conversion.

1 It allows us to project the yield of the new assay,  
2 single versus pool.

3 [Slide.]

4 The same thing we have for HBV, a doubling  
5 time of about two and a half days.

6 [Slide.]

7 This is actually a summary of the work we  
8 did with FDA, Biswas and colleagues looking at the  
9 performance of different antigen tests versus  
10 pooled sample versus single sample NAT on HBV. The  
11 important point here is with HBV, we actually  
12 achieve much more window closure with the  
13 conversion from pooled to single unit because the  
14 doubling time is much slower, so the ramp-up is  
15 slower, so you actually get about a 20 to 30-day  
16 further closure of the window by going for minipool  
17 to single unit.

18 So, as we begin to get HBV, then, clearly,  
19 single unit NAT will be an important advance.

20 [Slide.]

21 So, how do we take this window closure  
22 data and tell you how many people will get infected  
23 because we are not doing individual NAT? To do  
24 that, we need to know the rate of new infections in  
25 the donor pool, and that is the incidence rate, and

1 this is data from the REDS group looking at  
2 incidence rates for the main viruses.

3 You can see actually that the incidence  
4 rates have dropped and that we are now looking at  
5 incidence rates of about 2 per 100,000 person years  
6 for HIV and about 3 for HCV, higher incidence for  
7 HBV.

8 [Slide.]

9 The incidence rate parameter is adjusted  
10 to account for higher incidence in first-time  
11 donors and to exclude non-transfusable units and  
12 then we multiply that adjusted incidence rate times  
13 the window period to estimate either the residual  
14 risk or the yield of going to individual NAT.

15 [Slide.]

16 In terms of the duration of the  
17 pre-detectable window, what we have done is to take  
18 the current sensitivities of the assay system, so  
19 the pooled NAT systems currently are detecting at  
20 about 80 copies per ml for HIV and 190 for HCV, the  
21 50 percent hit rates for HBV. We are still using  
22 surface antigen tests, so we are picking up at  
23 around 2,200 copies, and then we estimate based on  
24 the doubling time how far back in time would these  
25 donors, these people have had a viral load of 1

1 copy per 20 ml, which is the infused volume of  
2 plasma.

3           So, we are now using a new, more empiric  
4 dataset to estimate the duration, the theoretical  
5 duration of infectious viremia that would be  
6 present at the level, sort of worst case estimate  
7 that one copy in an infused volume of blood could  
8 transmit.

9           [Slide.]

10           When we do that, we take the detection  
11 limits of the current screening systems, the  
12 minipool NAT or HBsAg. We take the doubling time  
13 of the virus and from that doubling time and viral  
14 load, we can project back that there is about 8 to  
15 9 days of probably infectivity for HIV and HCV  
16 preceding detection by the minipool NAT.

17           [Slide.]

18           Then, we can take those periods of time  
19 and multiple them times the incidence rate to get  
20 current risk estimates. So, this is how we kind of  
21 talk in terms of the risk now for HIV is in the  
22 range of 1 and 2 million, and for HCV is in the  
23 range of 1 and 1.5 million, and we now have  
24 estimates with confidence bounds integrating the  
25 incidence rate and the window period estimate.

1 [Slide.]

2 The critical question today, though, is  
3 how much extra closure of the window would be  
4 achieved if we moved from minipool to individual  
5 NAT. As I showed you on that one graphic, and do  
6 statistical modeling of the data, we can estimate  
7 the window period difference by increasing the  
8 sensitivities of these assays 20-fold by going from  
9 an average pool size of 20 to single, and we  
10 estimate that really there is only about a  
11 three-day for HCV and about a four-day for HIV  
12 window period difference between the minipool and  
13 single unit.

14 When you then multiply those window  
15 closures times the incidence rate, you get around 2  
16 per 10 million, 2 to 3 per 10 million, which would  
17 be about 3 to 4 per year predicted donations that  
18 are missed by minipool NAT, that could be  
19 interdicted were we doing single unit NAT on an  
20 annual basis.

21 [Slide.]

22 Now, there has been discussion, and you  
23 will hear some suggestions that perhaps we should  
24 move the pool size from 16 to 8, or 24 to 6, and  
25 this is actually the same data as I showed before,



1 but instead of on a logarithmic scale, it shows it  
2 on a linear scale to give you a better sense of why  
3 that doesn't get you much.

4           This shows, for HIV, the rate of ramp-up  
5 viremia, and for HCV, and what you can see here is  
6 that going from an assay that has 80 to 40 copies,  
7 so twice the sensitivity, really only gets you a  
8 very modest closure of the window, because you are  
9 in an exponential growth phase of the viral load.

10           So, this just graphically illustrates what  
11 I will show you in the next slide, which is the  
12 statistical analysis of what would you get if,  
13 instead of testing with Gen-Probe at pools of 16,  
14 we went to Gen-Probe at pools of 8, or a similar  
15 analysis on the right side for Roche if you went  
16 from the 24-member pools to test the intermediate 6  
17 pools, so let's just focus on the Gen-Probe because  
18 I think that is the assay where there is serious  
19 discussion about reducing pool size.

20           [Slide.]

21           What you can see for HIV is that by  
22 reducing pool size in half, you will only detect 5  
23 per 100 million donations so it is 1 in 20 million  
24 units would be predicted to be detected by going  
25 from a pool size of 16 to 8.

1           In contrast, if you went all the way, and  
2 you took it that additional 8 individuals, you  
3 would pick up an additional 15 per 20 million--0.14  
4 per 10 here. The bottom line is you will only pick  
5 up 4 by going all the way from 16 to neat.

6           If you go from 16 to 8, you will pick up 1  
7 of those 4, from 8 to 4, you will pick up a second  
8 one, from 4 to 2, a second one, so you will only  
9 pick up one-quarter of the yield that you would get  
10 if you went all the way to single unit NAT by going  
11 from 16 to 8. That is the big message of this  
12 analysis with confidence bound, so essentially  
13 there is not even a statistically significant  
14 window closure given all the data we have by going  
15 from 16 to 8.

16           [Slide.]

17           This slide is just to emphasize, this is  
18 the summary of the risks, that pre-NAT, you know,  
19 we had risks in around 1 in a million for HIV.  
20 Post-minipool-NAT, we are down at close to 1 in 2  
21 million, but even after we go to indication NAT,  
22 because of that low level viremia that exists, we  
23 think the risk will remain and we will still be  
24 talking about risks with individual NAT of about 1  
25 in 3 million, so don't think that by going all the

1 way to individual NAT we will eliminate risk,  
2 because we won't. We will still have breakthrough  
3 transmissions and still have risk.

4 [Slide.]

5 This is just one other way that we can  
6 estimate the residual risk and the impact of going  
7 to individual NAT and what we realized is that we  
8 actually have an unbelievably accurate measure of  
9 the rate at which donations are being given in this  
10 early window period. That is the NAT yield that we  
11 are picking up.

12 So, what we realized is if we take the NAT  
13 yield rate as observed and then we simply factor  
14 the NAT yield rate times the relative durations of  
15 the minipool-positive window and these earlier  
16 window periods, either the pre-minipool NAT,  
17 potentially infectious window, or the ID-NAT  
18 window, we can calculate out the projected risk  
19 with minipool NAT or the project yield of  
20 individual donation NAT, so a very simple  
21 calculation of taking the yield of minipool NAT and  
22 adjusting it by the relative lengths of these  
23 window periods allows us to derive an independent,  
24 but on the next slide you will see a virtually  
25 identical way of estimating the risk, and they come

1 out almost identical to the rates that I presented  
2 earlier.

3           So, we have picked up so far in the first  
4 three years, about 145, 145 HCV yield cases and 10  
5 HIV yield cases. When we take these through those  
6 calculations, we estimate the same risk factors of  
7 about 1 in 1.5 million for HCV and 1 in 1.7 million  
8 for HIV, and we also can predict the yield of  
9 ID-NAT going all the way to single unit would pick  
10 up about 1 in 5 million donations that are  
11 currently being missed. So, I think strong  
12 corroborating data to support the predictions that  
13 were based on the window period model.

14           [Slide.]

15           I just want to take a moment, I don't have  
16 time to go into any detail, but just to mention  
17 that there are a number of studies that demonstrate  
18 the relationship between viral load and  
19 infectivity. I don't have time to talk about it,  
20 but there is animal studies analogous to kind of  
21 the studies I showed you, the infection of chimps  
22 with serial doses of virus or the transfusion of  
23 plasma units from these window period donors to  
24 understand when does infectivity exist.

25           All of these studies for certainly HBV and

1 HCV indicate that as few as 10 viruses transmit 50  
2 percent of the time to chimps, so in my opinion,  
3 infectivity probably exists. Certainly single unit  
4 NAT positives are infectious and probably exist  
5 even in the low level that may exist in a component  
6 undetectable by single unit NAT.

7 [Slide.]

8 Then, the human data, these kinds of cases  
9 we are talking about, the transmissions from these  
10 lookback studies are actually very important cases  
11 to study to understand that window period  
12 infectivity, so we are really trying to compile as  
13 many of these cases into a national effort to get  
14 the data and the samples that may exist from these  
15 cases to build a model and understand infectivity  
16 in real humans from transfusion of these  
17 pe-seroconversion units.

18 [Slide.]

19 This is what I did want to talk very  
20 quickly about, though, if that, you know, when we  
21 talk about picking up on or two additional  
22 infections per year, how much does that translate  
23 into really health care and human savings.

24 Obviously, for each of these patients that  
25 gets infected, it is a tragedy, and if we could do

1 it, we should clearly move to individual NAT, but  
2 just to emphasize that the number of quality life  
3 years that are lost by virtue of transfusion of an  
4 HIV infected unit, because of the age of patients,  
5 the underlying, you know, morbidity of patients  
6 that are transfused translates into about seven  
7 quality life years for HIV and 0.6, so HCV is much  
8 less clinically important than HIV from a health  
9 care outcome perspective.

10           When you then ask, okay, how many cases  
11 will be prevented per year by minipool NAT and how  
12 many quality life years gained by going to doing  
13 what we are doing now in minipool NAT. We are  
14 really, with current minipool NAT, only gaining  
15 about 60 quality life years by doing the  
16 combination HIV-HCV minipool NAT.

17           By going the next step of introducing  
18 single unit NAT, We are only going to buy an  
19 additional total of about 20 quality life years.

20           The final slide I will show is this one,  
21 which just puts into context that as we have moved  
22 from introducing the first generation assays in  
23 this example HIV, we interdicted a very large  
24 number, about 1 in 10,000 units was infected, and  
25 those units were causing 92,000 lost quality life

1 years by transfusion of those unscreened units.

2           Introducing the first generation assay  
3 essentially saved 90,000 quality life years of  
4 morbidity and life. In contrast, as we  
5 progressively move to first generation, second  
6 generation, third generation antibody assays,  
7 closing the window, we really have only picked up a  
8 few hundred quality life years with each of those  
9 progressive improvements in the antibody test.

10           Then, as we bring in antigen or NAT,  
11 because there is so little residual risk, the  
12 incremental gain both in terms of infections  
13 prevented and in quality life years gained is  
14 really extremely modest, only about 20.

15           I think the point here is we have made  
16 enormous progress and clearly I think there is  
17 agreement that we need to get to individual  
18 donation NAT, but I think the additional gain that  
19 we will be gaining by doing so is very modest  
20 relative to where we have come.

21           Thank you.

22           DR. NELSON: There were a number of people  
23 wanted to comment.

24           Dr. Andrew Heaton from Chiron.

25   **Open Public Hearing**

1 DR. HEATON: Thank you for the opportunity  
2 to review the recent introduction of the Chiron  
3 Procleix NAT test and the implications for a  
4 potential reduction in pool size.

5 During my presentation, I will review  
6 Chiron's experience in the introduction of NAT on a  
7 worldwide basis to highlight the implications as  
8 the committee considers the appropriate pool size  
9 for nucleic acid testing.

10 When the Procleix assay was approved in  
11 February of 2002, this was the conclusion of an  
12 extraordinary rapid development cycle from the  
13 challenge of FDA Commissioner Kessler in 1994  
14 through the NHLBI contract in 1997, to the launch  
15 of an IND in 1999 of a completely new technology.  
16 That was an extraordinarily rapid development  
17 cycle.

18 As a result, although the assay was  
19 developed very quickly, Chiron and Gen-Probe  
20 elected to upgrade the original semi-automatic  
21 system to an enhanced semi-automatic system, and it  
22 was this system that was used to pursue regulatory  
23 approval and is now used routinely by U.S. blood  
24 centers.

25 The more fully automated walkaway system



1 for routine testing has taken longer to develop  
2 with the result that industry is continuing to use  
3 the original system.

4 [Slide.]

5 During the period of evaluation under IND,  
6 routine blood testing was performed in pools of 16  
7 with the remaining samples added at the end of each  
8 run, but in parallel with the trial, the U.S.  
9 military initiated individual donor testing, and  
10 this data, combined with the individual samples,  
11 were used to support the claim, which is listed up  
12 here, which allows both pools of 16 and individual  
13 donor testing.

14 The specificity of the assay was excellent  
15 with minimal pooling-induced cross-contamination  
16 and the sensitivity of both pooled and individual  
17 donor testing was excellent, and as expected, there  
18 was a small but measurable improvement in the  
19 specificity of those samples tested individually.

20 [Slide.]

21 Assay analytical sensitivity of 30 copies  
22 per ml easily exceeded the 100 copy per ml design  
23 goals on the standards of the FDA, and in pools of  
24 16, the assay also meets European and FDA  
25 regulatory standards.

1           The yield reported in the package insert  
2 is summarized in this table and it has been  
3 consistent with the experience of that of most  
4 other developed countries where similar pool sizes  
5 have been used.

6           [Slide.]

7           In France and Australia, which were two  
8 countries that rapidly adopted NAT testing, the  
9 assay was, and still is, used both in pools and in  
10 individual testings in the same system using common  
11 training systems, common procedures, and common  
12 applications.

13           In Australia, the larger centers use pools  
14 of 1 and 24, and France's pool are 1 and 8, and in  
15 each system, smaller centers use individual donor  
16 testing. In practice, the test performance was  
17 similar within a blood system and the level of  
18 false positivity and invalid run rates were not  
19 significantly different between IDT and minipool  
20 for a given blood system.

21           In Australia, there was no evidence that  
22 pooling increased initial reactive rates and the  
23 frequency of true test positivity was similar.  
24 Consequently, there appears to be no operational  
25 difference in test performance where individual

1 donor testing is performed in blood centers with  
2 small collection volumes compared to larger centers  
3 in the same system.

4 [Slide.]

5 Summarized in this slide is the Chiron  
6 worldwide experience with pool sizes. This ranges  
7 from individual donor testing in Singapore and  
8 Portugal to 8 pools in much of Europe, 16 pools in  
9 the U.S.A., and 24 sample pools in Australia and  
10 Hong Kong.

11 [Slide.]

12 Summarized in this slide is the  
13 relationship between pool size and donation  
14 collection volume in blood centers. Although  
15 two-thirds of the blood centers perform individual  
16 donor testing, 75 percent or approximately 75  
17 percent of blood is actually tested in pools of 1  
18 and 16 or 1 in 24 because the larger centers  
19 process such a greater proportion of the world's  
20 blood supply.

21 Chronologically, there has recently been a  
22 trend towards reduced pool sizes as new systems  
23 have come up.

24 [Slide.]

25 In order to respond to the requests of

1 industry, Chiron has modeled the impact of  
2 decreasing pool size. Our model includes workload  
3 equipment requirements and test requirements and  
4 represents a very conservative approach, and we  
5 believe that blood centers in practice would likely  
6 improve on this.

7           As shown in the bottom left of this slide,  
8 1 run of 100 tubes allows the generation of 88  
9 results and most centers require a technician to  
10 pull and complete testing of 1 run since this fits  
11 well with the average 8-hour work day.

12           In the case of pooling, while the assay  
13 may take approximately 6 hours to complete, the  
14 pooling adds an addition 2 hours. Subsequently, a  
15 2-run processing protocol has been developed and  
16 technicians are now being trained using this  
17 workflow system. This would have the effect of  
18 decreasing the workload by approximately a factor  
19 of 2, and is now used routinely in Australia in  
20 those centers where IDT is performed.

21           At the request of the blood bank industry,  
22 we assess both IDT and pools of 1 and 8 and if you  
23 look on the top line, in blood centers of 100,000  
24 per year, assuming a work week and consistent  
25 sample receipt, the model predicts that testing the

1 daily requirements could be handled in 1 run per  
2 day and pools of 1 and 16 or 1 and 8, and the  
3 workload would only increase to 2 runs per day or  
4 double the workload for individual donor testing,  
5 which of course explains why the smaller blood  
6 centers perform individual donor testing.

7           In the case of large centralized  
8 laboratories, testing 1 million or more per year,  
9 pools of 16 would require 3 runs per day or 2 assay  
10 technologists, while individual donor testing would  
11 require 37 runs per day, dramatically increasing  
12 personnel requirements.

13           This does not directly translate into  
14 technologist head count requirements, since  
15 technologists are already being trained to perform  
16 2 runs in a shift and centers have begun to adjust  
17 the workflow to do even better than that through  
18 improved staging of the different assay processing  
19 steps.

20           [Slide.]

21           Using current eSAS automation for  
22 individual donor testing, a technician can complete  
23 2 runs in a shift, which depending on the time  
24 available and the scheduling of the workflow, could  
25 as much as double equipment requirements.

1           The additional workflow increases the  
2 demand, so the software control pipetting devices  
3 and the frequent contamination may reduce the  
4 equipment service life, so there are significant  
5 equipment effects.

6           Since blood centers are under great  
7 pressure to minimize turnaround time, most samples  
8 are tested overnight on the third shift, which  
9 maximizes simultaneous throughput requirements.  
10 Consequently, although staggered or sequential  
11 shifts would maximize efficiency, concurrent assays  
12 of common practice to maintain high throughput and  
13 minimize turnaround times.

14           In addition, the separate components of  
15 the test kits must be stored at three different  
16 temperatures, placing great demands on  
17 refrigeration to frozen storage, and since all NAT  
18 tests are subject to significant contamination  
19 risk, special cloth, unidirectional workflow, and  
20 custom designed facilities are essential to  
21 maintain the consistency of results.

22           [Slide.]

23           Consequently, based on modeling, our  
24 analysis for the conversion of blood centers  
25 currently converting testing in pools of 16 to

1 pools of 8 would take six to nine months to  
2 complete once the decision had been made. This  
3 would require that all technicians convert to  
4 2-rack processing and labor requirements would  
5 likely increase by as much as 10 to 20 percent.

6 This could be achieved in less than six  
7 months. The key limiting factor is the time to  
8 rewrite the computer software that controls the  
9 pipetting devices, the length of the validation,  
10 and the length of the regulatory cycle.

11 Although individual donor testing would  
12 require much greater increases in test reagent  
13 manufacture and operating equipment, the longer  
14 implementation cycle is principally the result of  
15 the need for additional space buildout of test  
16 facilities and the hiring and retention of  
17 additional staff.

18 Both Chiron and Gen-Probe would need to  
19 expand customer support and manufacturing personnel  
20 where the customers will need to identify  
21 additional assay personnel who must often meet  
22 extremely demanding State requirements for the  
23 performance of complex laboratory testing.

24 A limiting factor in this conversion is  
25 that of personnel since equipment and reagent needs

1 could be met in a six- to nine-month, but the  
2 personnel and space requirements would take longer.

3 [Slide.]

4 In order to facilitate the assay  
5 performance, upgrades are planned for the Procleix  
6 System. These include automation of the reagent  
7 and addition steps which are currently handled  
8 manually.

9 The automation, which is anticipated to be  
10 available for trial in approximately 12 months,  
11 would also assist in recordkeeping and process  
12 control. The second upgrade would automate the  
13 addition of target capture reagents and the  
14 subsequent wash steps, and further upgrades of the  
15 luminometer and software are also planned to follow  
16 the first two steps.

17 For the long term, the fully automated  
18 Procleix automated system being developed as the  
19 TIGRIS by Gen-Probe is expected to enter clinical  
20 trials by the end of 2003. Consequently, there are  
21 both mid-term and long-term automation plans which  
22 would support the introduction of reduced pool  
23 sizes.

24 [Slide.]

25 In summary, I hope that I have been able



1 to provide a review of worldwide testing practices  
2 using the assay. The systems achieve turnaround  
3 times comparable to the immuno tests. Clearly,  
4 blood center test volumes have influenced the pool  
5 sizes.

6 A transition to smaller pool sizes or  
7 individual donor testing is clearly possible and  
8 Chiron and Gen-Prove anticipate being able to meet  
9 reagent and equipment demands rapidly. A limiting  
10 factor is for testing pools of 8 is the existing  
11 eSAS System and the time to rewrite, validate, and  
12 secure approval of the pooling software.

13 In the case of individual donor testing,  
14 the limiting factor is identifying appropriate  
15 facilities to support the specialized equipment and  
16 staffing needs together with the time required to  
17 train the personnel.

18 Midlife improvements to the current system  
19 are in process and walkaway automated system will  
20 be available by the end of the next year. This  
21 combination should allow the transition to reduce  
22 pool size or individual donor testing based on the  
23 needs of industry.

24 The development cycle has already greatly  
25 benefited from a collaborative relationship between

1 the FDA, NHLBI, Chiron, and Gen-Probe, and Chiron  
2 stands ready to assist the policy makers in their  
3 decision of the pool size of choice.

4 Thank you.

5 DR. NELSON: Thank you, Dr. Heaton.

6 Next is Karen Long from Roche. Is Karen  
7 Long here?

8 DR. GALLARDA: I am not Karen Long.

9 DR. NELSON: You don't look like Karen  
10 Long. I am Jim Gallarda. I am Director for Blood  
11 Screening at Roche Molecular Systems, and I will be  
12 giving a synopsis of our assessment of the  
13 situation.

14 [Slide.]

15 I want to thank FDA for allowing us to  
16 participate in today's discussion. We have been  
17 asked to address two general areas. The first is  
18 what are the current constraints in doing single  
19 unit testing using the COBAS AmpliScreen System  
20 that Roche has developed, and secondly, what are  
21 our future plans for our single unit testing  
22 program.

23 [Slide.]

24 In answer to the single unit nucleic acid  
25 testing question with the current system, can it be

1 done? The simple answer is yes, but it has a  
2 string of caveats associated with it.

3 Our system has been designed to a  
4 three-tiered algorithm to do either pools of 24,  
5 which resolve through secondary pools of 6, which  
6 are then resolved at the tertiary level to single  
7 unit. We provided data in our submissions  
8 demonstrating this fact.

9 The implementation issues associated with  
10 the current system to be used as single unit NAT  
11 testing are rather complex, and I have just listed  
12 a few of them.

13 Mike Busch has given a very lucid  
14 explanation about the incremental yield that one  
15 can expect with single unit NAT, and this has to be  
16 viewed in the context of what are the labor  
17 resources, both availability of trained labor and  
18 the cost to implement single unit testing with the  
19 current semi-automated systems, and also it should  
20 be viewed in the context of the additional risk of  
21 documentation errors or other type of errors that  
22 are due to the increased testing demands.

23 [Slide.]

24 Dr. Hewlett mentioned earlier in her talk  
25 that in 1994, we kicked off to a discussion of how

1 to capitalize on the power of NAT to interdict  
2 infectious units that were in the  
3 pre-seroconversion window period.

4           Retrospectively, looking at what happened,  
5 this has been a success story for both programs.  
6 For the COBAS AmpliScreen system, in our  
7 submission, we cited that we had over 40 HCV window  
8 period cases that were successfully interdicted  
9 using minipools of 24, and for HIV, there were  
10 three window period cases that were interdicted,  
11 again using pools of 24, and we just learned this  
12 morning that in our currently IND for the HBV  
13 clinical trials, we screened for three weeks now,  
14 40,000 samples, and we have identified our first  
15 window case for HBV.

16           So, the good news is the current system,  
17 semi-automated, has done a good job.

18           [Slide.]

19           Going to a single unit discussion, the  
20 incremental yield, the fact is that yes, we are all  
21 I believe in agreement, let's head towards single  
22 unit testing. Having said that, Mike has shown  
23 that it will be an incremental yield, but there  
24 will be, in the blip area of the eclipse phase of  
25 the pre-seroconversion infectious time period,

1 samples that are infectious, that cannot be  
2 detected even with single unit, so we will not have  
3 a zero risk blood supply even with single unit  
4 testing.

5 [Slide.]

6 The workload issues with the current  
7 system. Both systems are semi-automated. They  
8 require substantial manual labor, and it is our  
9 view that higher workload may lead to increased  
10 operator error, and I might say that this is not  
11 simply Roche's opinion. This has been validated  
12 with the practitioners of NAT in the country.

13 [Slide.]

14 Potential risks. A 16 to 24-fold increase  
15 in a single unit scenario with the current systems  
16 could create inventory shortages. We don't know,  
17 but it is a plausible scenario.

18 [Slide.]

19 There is a shortage of skilled medical  
20 technologists in general required for the rather  
21 complex NAT testing in the semi-automated systems.

22 [Slide.]

23 So, our view is that for sure with our  
24 system, we feel that it is not the best approach to  
25 go to single unit testing with the semi-automated

1 systems. So, I would like to switch gears to the  
2 next topic, and that is what are we doing about  
3 this.

4 It is our view, Roche's view, that we  
5 should invest heavily into a fully automated single  
6 unit system for the three viruses now that are  
7 being screened.

8 [Slide.]

9 I just want to say that we are putting out  
10 eggs into a basket, and that basket is to develop a  
11 sample in, results out, high throughput system for  
12 a multiplex detection of the three viruses that are  
13 mainly being screened for currently.

14 [Slide.]

15 Our strategy for a single unit system is  
16 to really rely on what we have already historically  
17 proven an aptitude for, and that is what I would  
18 call as our core competencies.

19 [Slide.]

20 We have developed a robust back-end PCR  
21 walkaway machine, the COBAS Amplicor Analyzer. The  
22 users in our clinical trial all agree that this is  
23 probably the most robust element of our system.

24 So, we have a very excellent Swiss  
25 engineering firm that has designed complex

1 instrumentation for such type of testing.

2 [Slide.]

3 We have a very promising program in Japan  
4 where the Japanese Red Cross has been using a  
5 system that we call the AMPLINAT system. The front  
6 end of that is our GT-X automated sampler  
7 extraction device, and this is being used to  
8 extract simultaneously all three viruses in a  
9 multiplex format.

10 [Slide.]

11 On the back end, we have a lot of  
12 experience developing complex TaqMan master-mix  
13 reagents for the simultaneous detection of the  
14 three viruses. So, our core competencies cover the  
15 hardware and associated software for complex  
16 instrumentation, and our reagent groups have  
17 experience in developing field-proven multiplex  
18 reactions for multiplex detection of the three  
19 viruses.

20 [Slide.]

21 I will just go over a couple of slides,  
22 what are the critical customer requirements.

23 [Slide.]

24 The first one is it has to be able to fit  
25 into their routine workflow. It must be the

1 ability to have sufficient automation to reduce  
2 operator involvement and associated human errors,  
3 and, of course, have positive ID throughout the  
4 entire process.

5 [Slide.]

6 So, we have a large program with very  
7 large teams in multiple countries working on an  
8 automated solution for extraction and simultaneous  
9 amplification detection.

10 [Slide.]

11 It should be able to handle single unit  
12 testing with minimal increase in labor  
13 requirements, and importantly, the ability to  
14 process at small and large centers, the same number  
15 of donations that would be covered in the time  
16 period in our current 24-pool system.

17 [Slide.]

18 It will be a multiplex assay covering  
19 HIV-1, HCV, and HBV. The system will provide for  
20 general menu expansion. We are actually looking at  
21 Parvo B19, HAV, CMV, and most recently, looking at  
22 West Nile virus.

23 Full process control both for the target  
24 analyze, as well as the hardware critical control  
25 processes, and, as I mentioned, positive ID.



1 [Slide.]

2 There have been some discussions about  
3 alternatives, and we view these as second choice  
4 alternatives to a fully automated system, but they  
5 are things that we are looking at. We can go to  
6 pools of 6 with the manual sample prep. You don't  
7 have a 24-fold increase in problems, you have a  
8 4-fold increase in problems.

9 Back up one slide, please.

10 [Slide.]

11 We have several systems, in Japan, as I  
12 mentioned already, and other diagnostic  
13 applications that are automated sample preparation  
14 devices. These are not currently being pursued for  
15 licensure in the U.S., however, that is something  
16 that Roche conceivably could move to.

17 [Slide.]

18 So, in conclusion, we believe strongly  
19 that moving to single unit NAT with the current  
20 semi-automated systems may pose a greater risk than  
21 the benefit provided, and that single unit NAT is  
22 best accomplished by aggressively pursuing and  
23 devoting sufficient resources to create these high  
24 throughput, fully automated systems.

25 Finally, we believe that we have got

1 experience, tested experience in developing complex  
2 systems and reagents to meet the interim and  
3 long-term needs.

4 Thank you very much.

5 DR. NELSON: Thank you.

6 The next group that wanted to speak is  
7 from Gen-Probe. Dr. Sherrol McDonough.

8 DR. McDONOUGH: Hopefully, we will get the  
9 slides going in a moment.

10 I will be continuing the discussion on the  
11 Procleix System and I am really going to cover two  
12 topics. The first is the manufacturing facility  
13 that Gen-probe has built to address the ability to  
14 manufacture reagents.

15 The second will be our fully automated  
16 system, Procleix Automated System or TIGRIS.

17 [Slide.]

18 This is a picture of our facility in the  
19 San Diego area. It was commissioned for use in  
20 1999. It was designed and built specifically for  
21 the production of nucleic acid testing reagents and  
22 was used to build conformance labs for the HIV-HCV  
23 product. It was licensed in February of this year,  
24 and when we look at the capacity, we believe that  
25 we could adjust to the market requirements whether

1 that is a move to pools of 8 or movement to  
2 individual donation testing in a period of 6 to 7  
3 months from the time the decision was made.

4 [Slide.]

5 Now, I would like to talk about the fully  
6 automated instrument.

7 [Slide.]

8 Some of the design features of the  
9 automated system are listed on this slide. First  
10 of all, primary tubes can be loaded directly.  
11 There is no requirement for an ultra-centrifugation  
12 step or any serious manual steps.

13 180 tubes can be loaded on the instrument  
14 at a time. The instrument creates a worklist by  
15 scanning those bar codes, so that is all done  
16 automatically.

17 Once the sample processing begins and the  
18 first 180 tubes have been sampled, they can be  
19 removed from the instrument and another 180 tubes  
20 can be added.

21 When you start the day, you can put out  
22 enough reagents and fluids to do 1,000 tests. At  
23 that point, you need to stop, remove the wastes,  
24 and replenish the fluids.

25 [Slide.]

1           The Procleix System is a single tube  
2 assay. That means all the steps from sampling  
3 processing, amplification, and detection are  
4 performed in the same reaction tube, so there is no  
5 need for the instrument to transfer from one  
6 reaction vessel to another during the entire  
7 process.

8           That helps maintain specimen I.D. and also  
9 reduces a source of contamination within the  
10 instrument.

11           The productivity targets for this  
12 instrument are to have time to first result about  
13 3.5 hours and then 125 test results released per  
14 hour thereafter. We are developing multiplex  
15 tests, for example, for our HIV/HCV tests, that  
16 would be 250 results per hour, 125 results for HIV  
17 and 125 results for HCV.

18           The instruments maintains full  
19 traceability through positive identification of the  
20 specimens, and the assay performance will be  
21 comparable to that seen in the already licensed  
22 system.

23           [Slide.]

24           This is a picture of the instrument. It  
25 looks big here, but it actually takes up much less

1 room than the semi-automated system, so if a  
2 laboratory has space for the semi-automated, they  
3 will have space for this instrument.

4           Specimens are loaded in the bay that is  
5 shown open on the right side of the instrument.  
6 Reagents are loaded on the top left. All the  
7 sample processing occurs in that middle part of the  
8 instrument. All of the assay performance steps are  
9 performed there, and the intervention is through  
10 the computer on the right.

11           [Slide.]

12           The development timeline for this  
13 instrument is as follows: We are to the point in  
14 development where we are doing evaluations with  
15 customers. I am happy to report that we have  
16 already performed an evaluation with customers for  
17 the diagnostic side. The instrument is being  
18 developed for both diagnostic and blood screening  
19 applications.

20           So, the initial evaluation with customers  
21 was completed earlier this year, and we are in the  
22 process of setting up the evaluation with blood  
23 center customers, and that will take place in  
24 fourth quarter.

25           As you already heard, the goal is to start

1 the clinical trials on this instrument at the end  
2 of 2003.

3 [Slide.]

4 So, in conclusion, we have a manufacturing  
5 facility specific for nucleic acid testing reagents  
6 with capacity up to 100 million tests per year.

7 The automated system will give results  
8 similar to the semi-automated system that is  
9 available now. The instrument will reduce  
10 personnel time and increase adherence to GMP by  
11 performing many of the steps that have to be done  
12 by humans now, such as worklist creation, correct  
13 placement of Cals and Controls, ensuring use of  
14 master-lotted materials, in-date materials, et  
15 cetera.

16 Thanks for the opportunity to present.

17 DR. NELSON: Thank you, Dr. McDonough.

18 Dr. Gilcher from Oklahoma.

19 DR. GILCHER: What I want to talk about  
20 briefly, very briefly, is an overview of nucleic  
21 acid testing at the Oklahoma Blood Institute. We  
22 began in April of 1999 using HCV-RNA-PCR with a  
23 minipool of 24.

24 In November of 1999, we added then  
25 HIV-RNA-PCR at a minipool of 24. In March of 2000,

1 we set up a separate HIV-HCV-RNA-TMA, that is a  
2 Chiron/Gen-Probe laboratory as a minipool of 16 to  
3 compare workflow of the minipool PCR versus the  
4 minipooled TMA, and assuming at 100,000 donations,  
5 the PCR would be 8,333 tests. The TMA would be  
6 6,250 tests.

7 [Slide.]

8 In running that particular study, and it  
9 was a study for workflow, our conclusions were that  
10 for a large laboratory, and our collections at that  
11 time were about 170, 175,000. We will do over  
12 200,000 donations this year that will be tested by  
13 NAT.

14 For a large lab, over 100,000 TMA-enhanced  
15 laboratory workflow as far as NAT testing  
16 operations.

17 On July 1st of 2002, we then switched to  
18 single donation nucleic acid testing as our test of  
19 record at OBI. So we have, in a sense, done both  
20 the minipool PCR, the minipool TMA, and now the  
21 single donor or the ID TMA.

22 [Slide.]

23 There is a particular case that I want to  
24 talk about. We have not had any forward misses or  
25 front-end misses as we have heard about, and I will

1 mention that in a moment, but we have had an  
2 interesting, what I call "back end" miss.

3           This occurred on February the 9th of 2000.  
4 We had an EIA and Western blot-positive HIV  
5 donation, which was minipool PCR-negative at a 1 to  
6 24. The same donation sample, that is, a frozen  
7 sample that was tested 21 days later, and that is  
8 important that it was the same sample, and it was  
9 frozen and thawed, and that is important.

10           I thought Dr. Busch might talk about the  
11 concerns of aggregation, but that doesn't seem to  
12 be the case here, because testing that thawed  
13 sample by minipool PCR at 1 to 24, it was still  
14 negative. We did a 1 to 16, it was negative, but  
15 the "Neat" was, in fact, PCR-positive.

16           [Slide.]

17           There are a number of objections to single  
18 donor NAT that you have heard about - too costly,  
19 too much space, too many technologists, possibility  
20 of increase human errors, lack of total automation,  
21 increased opportunity for contamination, increased  
22 run failure rate, increased delay, and inventory  
23 release. All of those were concerns that we had  
24 when we addressed the issue of single donor NAT.

25           [Slide.]



1           These are our reasons that we made the  
2 switch. First, was the "Back end" miss that I  
3 showed you with HIV, and by the way, there have  
4 been a number of those with HCV.

5           Then, the reported HIV "front end" misses  
6 that you have heard about, the San Antonio, later  
7 the Tampa case. There is one in France. There is  
8 the Singapore case.

9           Then, the third point was that we had  
10 operational challenges, and we felt that we could  
11 overcome those. We overcame the issue on cost,  
12 space, technologists, compliance, test performance,  
13 and obviously operational impact.

14           When presented to our Board, they felt  
15 that for us in Oklahoma, that it was the right  
16 thing to do.

17           [Slide.]

18           The cost was justified by the elimination  
19 of HIV antigen, the absence of pool discrimination,  
20 and that is extremely important because that  
21 resulted in the capture of lost products, and the  
22 objection that is made that it will delay the  
23 release is simply not true. In fact, we are able  
24 to capture platelets that would have clearly been  
25 lost during the discrimination period, because our

1 discrimination is a pool size of one, then, other  
2 cost reduction measures that were introduced at the  
3 blood center.

4 To accommodate this, we built two mirror  
5 image laboratories, each with the potential  
6 capacity for up to 500,000 single donor tests.

7 Now, the numbers of techs that we had to  
8 hire is important. We went from 4 with our  
9 minipool to 10 for the single donor NAT, and those  
10 10, it is estimated can perform up to the 250,000  
11 tests. To do 500,000, obviously, we would have to  
12 increase significantly.

13 180,000 donations then tested by the  
14 minipool would be 15,000 tests by PCR, whereas, the  
15 single donor TMA was 180,000 tests or 12 times as  
16 many tests for us as what we had been doing.

17 [Slide.]

18 No pooling steps - reduces the time to do  
19 the NAT. There is clearly a faster turnaround time.  
20 There is, in our opinion, less chance for error  
21 without the pooling. There is the same degree of  
22 manual testing as with the minipool. Clearly, we  
23 would like more automation.

24 Testing time is faster by getting rid of  
25 the pooling, and this is very important, laboratory

1 operations return to a single test platform, and  
2 Kendra Ford has accompanied me here today, the Vice  
3 President of Operations, who can talk more about  
4 that if you have questions afterward. That is an  
5 important point.

6 [Slide.]

7 This is really our learning curve that I  
8 am demonstrating here. This is the Chiron validity  
9 statistics. When we started out, you can see we  
10 have a very high invalid run rate, the purple line.

11 That was a number of factors. One of the  
12 most important factors for us was an environmental  
13 factor that we had not expected. We built the two  
14 new laboratories that I told you about, and  
15 inadvertently, the plumber hooked up the drain  
16 lines to the wrong system, and we had a sump pump  
17 pumping backward.

18 We had the second lab which we have not  
19 used contaminated before we entered the lab, and  
20 finally figured it out. So, that is included in  
21 that. But our invalid run rate has come down and  
22 continues to come down. That is a learning curve.

23 [Slide.]

24 So, lessons learned. The total space for  
25 the two labs interestingly, without pooling taking

1 up that space, is only slightly larger than the  
2 prior space. 180,000 donations is 15,000, as I  
3 mentioned before, with PCR minipool versus 180,000  
4 tests with single donor TMA or 12 times more tests,  
5 but with only 2.5 times as many technologists to  
6 perform the tests.

7 Detraining of existing technologists to  
8 convert from PCR to TMA was absolutely critical in  
9 our system. It was easier to train technologists  
10 who had not performed NAT testing ever than to take  
11 techs who had done the test and detrained them, and  
12 then trained them on a different test.

13 Clearly, as I said before, it is easier to  
14 operate in a single test environment versus a  
15 pooled test environment.

16 So, for us at the Oklahoma Blood  
17 Institute, we are doing individual donor nucleic  
18 acid testing, and we are making it work.

19 Thank you.

20 DR. NELSON: Thank you. Comments? Did  
21 you have viral load on the one case that was the  
22 back end failure?

23 DR. GILCHER: Excellent question. We  
24 don't, but we have sample. One thing that we do at  
25 the Blood Institute is we maintain two years plus

1 the current year as repository samples, so we have  
2 repository samples on everything, and that is  
3 something that will be done.

4 DR. NELSON: Susan Stramer, American Red  
5 Cross.

6 DR. STRAMER: Thank you for those who are  
7 left. I don't even know if we have a quorum, if  
8 it's legal to have a meeting.

9 Even so, I will read my statement. Thank  
10 you very much.

11 I am Susan Stramer, the Executive  
12 Scientific Officer for the American Red Cross.

13 The American Red Cross through its 36  
14 regions and nine testing laboratories supplies  
15 approximately one-half of the nation's blood for  
16 transfusion needs. We thank the FDA and the Blood  
17 Products Advisory Committee for this opportunity to  
18 speak on the implementation of single unit NAT to  
19 reduce the remaining risk of HIV transmission  
20 through transfusion.

21 I see I am clearing the room.

22 Recognizing the potential significance of  
23 NAT for HIV and HCV, the Red Cross initially began  
24 exploring the implementation of NAT in 1997 using  
25 pools of 512, in an approach similar to that used

1 by much of the plasma industry.

2           However, to achieve the needed turnaround  
3 times for the release of cellular components, we  
4 instead implemented the Gen-Probe test in March  
5 1999, first in pools of 128, followed in 6 months  
6 by the transition to pools of 16.

7           We recognize that NAT implementation  
8 represents a step-wise progression towards an  
9 automated technology using individual units. We  
10 greatly supported the industry-wide effort to  
11 implement NAT under IND and were pleased to  
12 participate in the studies in support of NAT  
13 licensure.

14           Over the past three-plus years of NAT  
15 screening for HIV-1 and HCV, the Red Cross has  
16 detected 90 HCV NAT confirmed-positive,  
17 antibody-negative units in approximately 23 million  
18 donations screened for a yield of 1 in 240,000 and  
19 5 HIV NAT confirmed-positive, antibody-negative  
20 units, of which only one was HIV p24 antigen  
21 positive for a yield of 1 in 4.6 million.

22           Viral loads at index for the HCV yield  
23 cases ranged from 100 copies/ml to 190 million  
24 copies/ml; viral loads for the HIV yield cases  
25 ranged from 390 to 750,000 copies/ml.

1           At an approximate sensitivity of 30  
2 copies/ml, at 95 percent confidence for the  
3 Gen-Probe test, as indicated in the package insert,  
4 and using a pool of 16, the expected viral load  
5 reliably detected is estimated at 480 copies/ml.

6           Three HCV yield cases and one HIV yield  
7 case were each detected below this level. We  
8 recognize that we were lucky in these four cases,  
9 and as experience has now demonstrated, there will  
10 be breakthrough cases of HIV and HCV with viral  
11 loads around or below the assay cutoff that we are  
12 using today, which is set by our pool size.

13           We also recognize that when the same  
14 assays are used to test individual units, there  
15 will be cases where the viral levels will be below  
16 30 copies/ml and perhaps below 1 copy/ml, so that  
17 the expectation that NAT will detect all infectious  
18 units even with single unit testing is likely to be  
19 in error.

20           Recent data also indicate that the  
21 residual risk for both HIV and HCV following the  
22 implementation of pooled NAT is approximately 1 in  
23 2 million donations and that with the additional  
24 sensitivity of single unit NAT, the residual risk  
25 is estimated at 1 in 3 million.

1           So, the question that is before us is:  
2 what approaches, if any, can be implemented during  
3 the time between our current use of pooled NAT for  
4 HIV and HCV using the available technology and an  
5 automated assay that has sufficient throughput and  
6 process control such that single unit NAT is  
7 feasible in high-volume laboratories.

8           The current testing technology, although  
9 labeled as semi-automated, is for the most part  
10 manual with numerous manual pipetting steps for  
11 both sample and reagent addition and removal, along  
12 with many manual vortexing and incubation steps.

13           Processes are segregated in separate  
14 laboratories for pooling, amplification and  
15 detection, all of which required significant  
16 laboratory renovation prior to the implementation  
17 of NAT.

18           Even with all that has gone into  
19 implementing and performing NAT, this assay has  
20 performed equal to, or better than, any other test  
21 used in our system as evidenced by donor losses due  
22 to contamination of less than 1 in 30,000.

23           Therefore, given the systems that are  
24 available today, pooled NAT has been optimized and  
25 is a success. I would also like to mention this



1 was true even after the outpouring of donations  
2 after last year's 9/11 tragedy when our volume  
3 doubled by 2- and 3-fold, our error rate and  
4 contamination rate virtually was unchanged.

5           What can we learn from the four donors  
6 with early HIV infection that have been reported to  
7 have transmitted HIV to recipients of their  
8 donation? The first case from Singapore that was  
9 p24 antigen-negative has been discussed already; in  
10 dilutional studies using the frozen plasma unit it  
11 was shown that detection decreased as pool size  
12 increased.

13           HIV detection using the Gen-Probe assay in  
14 a pool of 24 occurred in 2 of 3 replicates tested;  
15 using pools of 16, 1 of 3 replicates were reactive  
16 and using pools of 8, all 3 replicates were  
17 reactive.

18           The second case from South Texas from  
19 which a pool of 24 failed to detect HIV RNA by an  
20 in-house assay was also discussed. In this case,  
21 dilutional studies showed that using the Gen-Probe  
22 assay on the frozen plasma unit, 1 of the 3  
23 replicates was reactive at a 1 and 24 dilution,  
24 whereas all 3 replicates were reactive at a 1 to 8  
25 and 1 to 16 dilution.

1           Therefore, the Gen-Probe assay using  
2 undiluted samples, or a dilution of 1 to 8, was  
3 able to detect HIV RNA in all replicates tested  
4 from these two cases. The last two cases of  
5 failure of pooled NAT to detect HIV RNA did not  
6 have residual sample from which to perform these  
7 types of studies, that is, the case reported from  
8 South Florida that was negative by the Gen-Probe  
9 assay in a pool of 16 and a case reported by the  
10 French in which the Roche assay in a pool of 24 was  
11 negative.

12           So, although the estimated window period  
13 reduction with each pool size reduction of one-half  
14 is one doubling time, or just under 1 day of an  
15 estimated total 4-day window period to individual  
16 unit NAT, one could argue that a decrease in pool  
17 size will increase the reliability of detection of  
18 samples having viral loads close to the current  
19 assay cutoff, and will likely detect additional  
20 cases where the viral loads are below the level  
21 that we are currently capable of detecting.

22           However, it should be recognized that  
23 neither a decrease in pool size, nor addition of  
24 single unit testing will completely close the  
25 infectious HIV window.

1           The paradigm of step-wise improvements in  
2 assay sensitivity leading to an automated platform  
3 is not new to NAT. It has occurred for every virus  
4 for which we perform blood donor screening. Some  
5 changes were as simple as a reduction in assay  
6 cutoff to achieve increased sensitivity.

7           If we look to our antibody screening  
8 systems as a model, we have still not implemented  
9 an automated testing system with improved assay  
10 sensitivity and specificity and all the desired  
11 process control features to minimize documentation  
12 and other potential errors.

13           For example, the PRISM system has been in  
14 development for over 15 years and although used  
15 outside of the United States, we are still waiting  
16 for licensure and implementation in the U.S.

17           Therefore, a comparable automated NAT  
18 platform may be years away; consequently, we must  
19 examine all that can be done within our current  
20 systems to achieve whatever improvements in  
21 sensitivity are possible.

22           There are many variables that would have  
23 to be considered prior to a transition to a smaller  
24 pool size. Does the small increase in sensitivity  
25 justify the changes that would be required for this

1 single modification? This would include the hiring  
2 and training of additional staff and additional  
3 costs for disposables not related to reagents.

4 Additional costs for upgrades to, and  
5 validation of, the automated pipetting system and  
6 upgrades to our NAT laboratory management software  
7 including 510(k) submissions and approvals would  
8 also be required. Regarding reagent costs, blood  
9 centers have invested an unparalleled amount for  
10 this technology.

11 There likely will be an increase in  
12 reagent price of an unknown amount at this time  
13 that could be mitigated by volume. Decreasing pool  
14 size could occur without the need for additional  
15 testing equipment or laboratory renovation, but the  
16 total costs are unknown at this time.

17 In summary, data show that single donor  
18 testing could enhance our ability to detect window  
19 period donations. In the interim, a reduction in  
20 pool size may have some impact on safety by  
21 increasing the reliability of detection of some  
22 samples.

23 At the present time, the Red Cross is  
24 looking into the option of decreasing pool size,  
25 but no decision will be made until all variables

1 related to this effort have been reviewed.

2 But if a reduction in pool size occurs, we  
3 believe that it could occur without compromising  
4 the quality or efficiency of testing. We will then  
5 have considered all that may be done within the  
6 current systems and available technology until  
7 automated single unit NAT is available.

8 Thank you.

9 DR. NELSON: Thank you.

10 Next is Dr. Paul Holland.

11 DR. HOLLAND: Thank you. I am Paul  
12 Holland from Blood Source, a large regional blood  
13 center in Sacramento, and is a regional NAT testing  
14 lab for our own collections in two other centers.

15 I had sent in a few slides to make the  
16 three points I wanted to make, but I believe you  
17 have the handouts, and I will describe pretty  
18 briefly because of the time.

19 I wanted to discuss the elements of the  
20 testing, and as Dr. Stramer pointed out, they are  
21 often described as semi-automated, they are very  
22 manual.

23 The second point I wanted to talk about is  
24 the reliability. While these nucleic acid  
25 technology tests are fantastic in terms of

1 sensitivity and specificity, they are no more  
2 reliable in terms of failure rates than our  
3 standard serologic EIA tests.

4 I want to end up briefly with my concerns  
5 regarding reducing the pool size or even going to  
6 individual NATs, because of the concerns, and I  
7 will point out that they are real, of the staffing,  
8 of the burnout of that staff, and of the errors  
9 which result.

10 As I said, a lot of the testing is already  
11 quite manual. This means a lot of meticulous,  
12 repetitive motion, and the single biggest problem  
13 we have is burnout of the staff. I recently  
14 visited Singapore where I was there for 10 days  
15 evaluating their system, and they are testing  
16 60,000 units a year by single unit NAT, and that is  
17 their single biggest problem is constantly having  
18 to rotate technologists through to do this  
19 repetitive, highly meticulous type of work.

20 In California, we require licensed medical  
21 technologists, not techs, licensed medical  
22 technologists to do laboratory tests. We are  
23 already in a critical shortage. We would not be  
24 able to do the kind of testing that would be  
25 required with single unit testing.

1           We already have to constantly supplement  
2 the NAT lab staff where we run two runs a day, five  
3 days a week, and a run on each day on Sunday to  
4 have enough staff to complete the testing now with  
5 mini-pools.

6           I mentioned the failure rates. The  
7 impression I think some of you have gotten is that  
8 a false negative is purely due to low copy number,  
9 and that individual NAT may pick up at least some  
10 of these, but clearly not all.

11           In our evaluation from the Roche survey,  
12 in looking at failed runs, our false negative  
13 samples that should have been picked up in the  
14 pool, half of the time the level of virus was far  
15 above what should have been detected in the pool,  
16 but we believe due to technical error it was  
17 missed.

18           I have given you some data. Anywhere from  
19 3 to 4 percent of runs fail because of the positive  
20 or negative external controls fail or the internal  
21 control fails. Included in this failure rate is  
22 also equipment failure and technical failure.  
23 People are human and they are doing a lot of us  
24 manually.

25           So, about 4 to 5 percent of the time, each

1 one of our tests fails, and when you are doing a  
2 dozen different tests, almost every run is held up  
3 because either a serologic test or a NAT has failed  
4 and has to be repeated.

5           This causes additional stress in a  
6 production environment and really would be I think  
7 magnified by single unit or even smaller pool  
8 testing. In essence, without further automation  
9 and without validation and licensure of that  
10 automation, I think it would be foolhardy, I think  
11 we would add to our problems, and potentially  
12 create more risk than the very minimal decrease in  
13 risk that might be bought without going to zero  
14 risk, with single unit or even smaller pool  
15 testing.

16           Thank you.

17           DR. NELSON: Thank you. Comments? Toby.

18           DR. SIMON: I just wanted to make just a  
19 couple of comments, mostly I guess at this point to  
20 get it on the record, but I think to put things in  
21 context, we need to remember that the pooled NAT  
22 method was originally developed for plasma  
23 fractionation and it was spurred on by a  
24 requirement in Europe for HCV testing because of  
25 that longer window, and the pooled NAT made a lot



1 of sense for plasma because of the inactivation  
2 procedure, the occasional unit that is missed  
3 doesn't cause a serious problem.

4           The blood banks I think originally got in  
5 because of selling of plasma into Europe, and then  
6 I think everybody recognized the possible benefits  
7 to the patients who received transfusion, and that  
8 increased the momentum.

9           I think from the beginning we realized  
10 here we were talking about a unit here or a unit  
11 there, and single unit NAT made a lot of sense, and  
12 was the ultimate goal, but couldn't be achieved  
13 immediately, so the pooled NAT was better than  
14 nothing.

15           I think from what we have seen here, my  
16 thought would be we will certainly save a case here  
17 or case there, but we are making another one of  
18 these small incremental steps, and as I said  
19 before, until we really get pathogen inactivation,  
20 we are still going to occasionally have these cases  
21 that get through the system and tragically cause  
22 disease.

23           I think people, Dr. Gilcher and others who  
24 want to lead the way in single unit NAT obviously  
25 can do so providing they file the appropriate INDs,

1 but I don't think it is a time necessarily for the  
2 committee or FDA to try to mandate this path until  
3 we see how technology moves and how things  
4 progress. That would be kind of the sense that I  
5 would take away from what I have heard.

6 DR. NELSON: I think that plus the review  
7 of the fact that we have had some still rare  
8 documented cases that pass through even with the  
9 pooled NAT.

10 DR. SIMON: We knew that, though, as Dr.  
11 Gilcher pointed out, we knew that was going to  
12 happen.

13 DR. NELSON: Yes, we did, but our  
14 suspicions were validated, but again we were able  
15 to pick up these few cases.

16 DR. SIMON: We picked up some.

17 DR. NELSON: Some of them, yes.

18 DR. SIMON: We picked up some of them and  
19 not others, and that will continue even with the  
20 three- or four-day further closing of the window,  
21 there will be that occasional case, but obviously,  
22 if I were the recipient of that 1 in a million  
23 cases, I would be appreciative of the single donor  
24 NAT, but over the aggregate, it is a very small  
25 effect.

1 DR. SCHMIDT: Ron Gilcher, who is  
2 certainly dedicated to stamping out every vestige  
3 of disease, has pointed out to us in his statement  
4 something mentioned earlier. He had to sell it to  
5 his board of directors because again we are all  
6 committed to stamping out every element of disease,  
7 but when you get into the local community, it is a  
8 question of can we still exist.

9 Now, I know it is not the function of the  
10 FDA to talk about cost, however, cost relates to  
11 supply, and as I understand it, they were reminded  
12 recently by the Senate Appropriations Committee  
13 that maybe some of the idea of keeping prions out  
14 of New York might result in no blood for New York,  
15 so for whatever that is worth, I mean there is a  
16 sort of a kickback here, and although the  
17 individual patient, you know, it happens to a  
18 patient, it's 100 percent.

19 The community that provides blood has  
20 something to say about it, as well.

21 DR. NELSON: Celso Bianco from America's  
22 Blood Centers.

23 DR. BIANCO: I am Celso Bianco from  
24 America's Blood Centers.

25 As you know, this is an organization of 75

1 member centers that collect about half of the U.S.  
2 blood supply. You have copies of my statement, so  
3 I am going to skip about half of it because it  
4 would just reiterate the effect, all the  
5 difficulties with moving from mini-pool testing to  
6 individual donor testing using the current  
7 technology, semi-automated or semi-manual.

8           However, I would like to read the part  
9 from the middle where we start discussing  
10 intermediate changes in pool size. I also would  
11 like to note that Dr. Gilcher, that just spoke and  
12 presented his change, is a member of America's  
13 Blood Centers and decided to implement single donor  
14 NAT.

15           Besides the concerns about the movement to  
16 single donor NAT contamination, staff burnout, and  
17 all the issues that were raised here today, we are  
18 also very disturbed by the proposals to implement  
19 partial reductions of pool size as interim  
20 measures, simply to reassure the public. Those  
21 were comments that were made by the members.

22           We introduced minipool testing as an  
23 intermediate step in order to further reduce the  
24 window. We knew from the beginning that the window  
25 would not be totally closed and accepted, and this

1 was the best we could do considering the  
2 limitations of semi-automated technology.

3 We also know that individual donor testing  
4 will reduce , but not close the window. What  
5 changed today that forces us to reconsider the  
6 approach we took when we introduced NAT in 1999?

7 We used the same tests, we have  
8 essentially the same knowledge that we had at that  
9 time, but however, because of these few cases of  
10 transmissions and concerns in the press, we are  
11 reconsidering our thinking.

12 A reduction of pool size by half, as some  
13 have proposed, to 8 samples instead of 16, or 12  
14 samples instead of 24, according to what Dr. Busch  
15 just showed us, would reduce the window by less  
16 than a day.

17 The reduction of pool size might be  
18 feasible for certain testing laboratories, it would  
19 not achieve the goals of individual testing.  
20 Furthermore, intermediate reductions of pool size  
21 are not clearly justifiable.

22 Why reduce by half? Why not go to a pool  
23 size of 2 or 1? Why not double the sample volume  
24 whether in pools or in single donation testing, and  
25 thereby double the amount of potentially detectable

1 nucleic acid.

2           There is no rational limit to this kind of  
3 thinking.

4           It is the opinion of the majority of the  
5 ABC members that any decrease in the window period  
6 that may result from the reduction in pool size or  
7 a move towards individual donor testing using  
8 current technologies could be neutralized by the  
9 potential increase in human error during the  
10 performance of manual steps. There is also an  
11 increased potential for delays in the release of  
12 blood that may threaten the patients' lives.

13           Furthermore, we are concerned that such  
14 intermediate and small safety improvements will  
15 divert assay manufacturers, and I think that this  
16 is the different message that I would like to  
17 emphasize from the pressure to develop automation  
18 and test enhancements in a timely fashion.

19           Without that pressure, the current  
20 semi-automated technologies may remain as  
21 state-of-the-art for many years to come. For  
22 instance, one of the test manufacturers has been  
23 advocating for migration to individual donor  
24 testing using current technology.

25           It will actually not support minipool

1 testing for centers that screen less than 100,000  
2 samples a year. This manufacturer,  
3 Gen-Probe/Chiron, received an NHLBI contract in  
4 1995 to develop NAT for HIV and HCV, and an  
5 automated instrument for the TIGRIS that we just  
6 heard about.

7           Seven years later, we heard today, and  
8 this is a change here, that the instrument will be  
9 in clinical trials by the end of next year. Why  
10 should they continue to work on this equipment if  
11 they could sell individual donor testing using the  
12 current semi-automated or semi-manual technology?

13           Roche is one of the biggest manufacturers  
14 of assay systems in the world. There are European  
15 centers performing NAT for donor screening with  
16 automated instruments provided by Roche. Why  
17 aren't these systems available in the U.S.?

18           The ABC members urge Gen-Probe/Chiron and  
19 Roche to continue their productive collaboration  
20 with the transfusion medicine community and apply  
21 the maximum possible efforts and resources to the  
22 final development and validation of automated  
23 systems for donor screening by NAT in the United  
24 States.

25           You are almost there. Please, get there.

1 We also urge FDA to accelerate the review, and we  
2 heard Dr. Indira Hewlett commit herself to that, to  
3 accelerate the review of these instruments in order  
4 to allow fully automated NAT screening to start as  
5 soon as possible.

6 Thank you.

7 DR. NELSON: Thank you.

8 Finally, Kay Gregory from the American  
9 Association of Blood Banks.

10 MS. GREGORY: Again, in the interests of  
11 time, I will abbreviate my statement, but I would  
12 like to request that both of my statements be  
13 reflected in the transcript in their entirety.

14 The American Association of Blood Banks  
15 believes that the blood community, the Food and  
16 Drug Administration, and manufacturers should move  
17 with deliberate speed to bring single donor nucleic  
18 acid amplification testing to donor screening  
19 laboratories throughout the United States.

20 The community has made significant  
21 progress in improving blood safety through nucleic  
22 acid testing of minipools. Now, we should continue  
23 our efforts by moving toward our goal of single  
24 donor testing.

25 Although some laboratories may be in a



1 position to implement additional NAT improvements  
2 now, it is important that these initiatives not  
3 divert resources from the ultimate goal of  
4 nationwide NAT performed on automated systems.

5           Prior to the implementation of single  
6 donor NAT, it is critical that the following issues  
7 be addressed:

8           Both the licensed and IND NAT assays are  
9 substantially manual procedures not suited to  
10 single donor testing in the majority of blood  
11 center labs performing NAT now.

12           At present, there is insufficient capacity  
13 in existing laboratories to perform single donor  
14 NAT nationwide. The increased number of  
15 laboratories will require a significant commitment  
16 of support from manufacturers for materials,  
17 equipment, training, and maintenance.

18           There is a known shortage of medical  
19 technologists within the health care industry, and  
20 hiring additional qualified staff to implement  
21 single donor testing will require time.

22           We appreciate the public discussion this  
23 meeting will provoke which should begin to solidify  
24 a timeline for the orderly implementation of single  
25 donor NAT. We also urge the committee and the FDA

1 to expand this discussion to enumerate the  
2 noninfectious serious hazards of transfusion that  
3 are responsible for significantly more transfusion  
4 associated morbidity and begin prioritizing the  
5 safety initiatives to which the entire blood  
6 banking community should be committed.

7 Thank you.

8 DR. NELSON: Thank you. Questions or  
9 comments?

10 DR. SIMON: I think it was about three or  
11 four meetings ago when we discussed the same thing  
12 that is in the last paragraph of the AABB  
13 statement, which was the need for prioritization of  
14 infectious disease, hazards, and noninfectious  
15 disease, hazards of transfusion, and the need to  
16 prioritize that list and provide a recommendation  
17 to the FDA for what would provide the greatest  
18 impact to the blood supply in the country and  
19 patient safety.

20 I think in the fact of West Nile and what  
21 we heard about Chagas, and now the move to single  
22 donor NAT, that maybe we should reassert that  
23 recommendation and ask for that at a future  
24 meeting.

25 DR. NELSON: You are recommending we

1 review the global issue at a meeting. Okay. Any  
2 other comments?

3 I think this was a good and important  
4 afternoon. I think we have had substantial  
5 successes and yet things still aren't perfect, but  
6 I think this was useful.

7 Any other final comments?

8 [No response.]


9 Thank you.

10 [Whereupon, at 6:15 p.m., the meeting was  
11 adjourned.]

12

**C E R T I F I C A T E**

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script, reading "Alice Toigo", is written above a horizontal line.

ALICE TOIGO