

1 161 of them were known to have been destroyed in a way  
2 that did not allow for animal or human exposure. 173  
3 of them we didn't know that for sure.

4 Interestingly, others, for example, in the  
5 GBR for the United States, it was assumed that any  
6 animal imported before 1986 was perfectly safe. I  
7 mean, in that way I think we were a little bit harder  
8 on the U.S. than even the Europeans were because we  
9 said we're not at all comfortable saying that.

10 You saw those graphs from Maura Ricketts  
11 of the projections of the rate of the disease prior to  
12 it even being found. We looked at those kind of data  
13 and using information on the birth year of an animal  
14 and the rate of BSE in their birth cohort, the year in  
15 which they left the UK, the kind of animal it was,  
16 which influences its likely exposure to protein  
17 products in the UK, when it was last seen; that is,  
18 how old was it at least when it was last seen.

19 We don't know in some cases what ended up  
20 happening to that. We can look at those knowing  
21 something about the progression of the disease, the  
22 incubation period of the disease, and we can make some  
23 predictions about the likelihood that that animal  
24 could have brought infectivity in the United States  
25 and could have been introduced to U.S. cattle feed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Then what we did was use our model to say  
2           what would happen if we, indeed, had introduced --  
3           made these introductions into the U.S. What we found  
4           is that there is based on what we know about those  
5           animals that came in, our estimate was somewhere in  
6           the order of an 80 to 85 percent chance that there  
7           was, in fact, no infectivity introduced in U.S. cattle  
8           feed from those animals that came from the UK.

9           Most of the introductions that might have  
10          happened give no new cases. They are very, very small  
11          introductions. Perhaps small enough that they  
12          wouldn't have caused disease.

13          Surveillance, and this gets to Ermias'  
14          question. Surveillance rules out some of the very big  
15          introductions. We couldn't have had a lot come in  
16          here because we went 15 years with no feed ban and our  
17          model, if you put in a lot of infectivity for 15 years  
18          with no feed ban, you get a lot of cases of BSE, more  
19          than we could probably have and not have found the  
20          disease yet.

21          The other thing that is interesting is the  
22          way we model those things changed over time and, in  
23          fact, we started the -- for example, we started our  
24          simulations. Instead of doing 20 years we did 30  
25          years and we started it in 1980. We followed the U.S.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 risk management measures, for example, and we put in  
2 a feed ban in 1997. We assumed that it was reasonably  
3 complied with but not very well for a couple of years.  
4 Compliance got a little tighter after a couple of  
5 years sort of to our base case level and we watched it  
6 go. Interestingly, even if it wasn't introduced from  
7 the UK, which we're saying it could have happened.

8 It could be again at a level that we  
9 couldn't detect. Even if that happened, again, these  
10 measures in place are eliminating it from the system.  
11 Again, the feed ban is preventing serious recycling of  
12 infectivity.

13 This is just our estimate that is 82  
14 percent chance that there was no infectivity  
15 introduced. Then these others are the number of  
16 cattle oral ID<sub>50</sub>s from those English animals that  
17 could have been introduced.

18 This then says what if some number of  
19 those would have been introduced. That horizontal  
20 line there is our estimate of the year 2000  
21 sensitivity of -- actually, this is the USDA's  
22 estimate of sensitivity of their surveillance.

23 Each of those says for the introduction of  
24 different numbers of ID<sub>50</sub>s how many clinical cases  
25 might we have had in the year 2000. So what it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 showing is that in many cases with an introduction  
2 there were no new cases. The amount wasn't right. It  
3 was given to too many animals. It was too delude.

4 When there were cases, everything above  
5 here we quite likely would have detected. We can kind  
6 of rule those out but we have this area right in here  
7 of situations in which there could be some cases and  
8 we cannot be certain that our surveillance would have  
9 found them.

10 Again, if this happened, this is the year  
11 2000. If you think back to those graphs a while ago,  
12 the disease is on its way out and would be eliminated  
13 again somewhere on the order of another 10 years.  
14 That is primarily due, virtually entirely due to the  
15 FDA feed ban even with incomplete compliance.

16 Quickly, some other results. We looked at  
17 Switzerland. We touched on this briefly as a test of  
18 model plausibility. We underestimated by about 50  
19 percent the number of clinical cases. They had  
20 approximately 400 and we estimated in the order of  
21 180. The time course, however, was followed quite  
22 closely. Between those two things we think that at  
23 least the structure of the model is working in such a  
24 way that it has some plausibility.

25 We looked at this question of spontaneous

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 BSE. Could there be spontaneous BSE in the United  
2 States? We modeled the spontaneous disease on  
3 sporadic CJD. As you know, sporadic CJD has on  
4 average across the population a rate of roughly one  
5 per million in populations around the world.

6 Of course, that hides the age structure of  
7 the disease, the fact that it's virtually never seen  
8 in people under about 50 years of age. The rate peaks  
9 somewhere around 75 to 80 depending on which country  
10 you are in and kind of tails off again.

11 We said what if that exact same age  
12 structure applies to the American cattle herd. We  
13 know something about the age structure of the American  
14 cattle herd. How often would we expect spontaneous  
15 disease to arise in the United States?

16 When we do that, if spontaneous is true,  
17 and there is no certainty whether or not that is the  
18 case, in that situation we would have a mean, an  
19 average of about two cases of BSE per year in the  
20 United States from spontaneous disease and about 100  
21 cattle oral ID<sub>50</sub>s for potential human exposure over 20  
22 years.

23 What that says is we will never know. Two  
24 per year will never be found so it could be happening.  
25 It's happening at a relatively low rate and one of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reasons for that is the U.S. cattle herd has very  
2 different demographics than those in a lot of other  
3 countries.

4 We have a very young herd. Not as young  
5 as the UK does now but our cattle herd doesn't have  
6 nearly as many animals that get out into the advanced  
7 ages where if human sporadic CJD is a good model for  
8 spontaneous disease, it would be expected to be  
9 occurring at higher rates. For that reason we predict  
10 -- the model suggest there would be about two cases  
11 per year.

12 Now, one of the things we did is we also  
13 said what if this in fact was the case in 1980 and we  
14 modeled the United States in 1980 with no feed ban and  
15 pretty heavy use of animal protein and looked to see  
16 what happens. What happens is it blows up.

17 If we just sort of say the world is  
18 chunking along in 1980 boom, spontaneous disease  
19 starts to happen in the United States, those first two  
20 cases in the first year give rise to some more cases  
21 because they are recycled.

22 The two new spontaneous cases then give  
23 rise to more and it blows up. Over 20 years we get up  
24 to a situation in which it would presumably be at a  
25 detectable level in the United States. This, to us,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cast a little bit of doubt on the plausibility of this  
2 particular hypothesis.

3 Now, there are particular situations in  
4 our model where we could have had spontaneous disease,  
5 not detected it, and the feed ban is also sort of  
6 moderating the effect of any disease that might have  
7 spread that way. We cannot rule that out.

8 We also looked at scrapie using data on  
9 estimates of the rate of scrapie in the United States.  
10 The recycling of sheep, the potential of that material  
11 to contaminate cattle feed, etc. Again, we come out  
12 with a mean prediction of roughly two BSE cases per  
13 year from scrapie in the United States based on the  
14 assumptions that we make about the rate of scrapie and  
15 the species barrier and things like that. Again, this  
16 could percolate along, two cases a year, never be  
17 detected and we wouldn't know. It's not a large  
18 amount but that's what could happen.

19 Again, it's not in the report but you can  
20 imagine that if we introduced scrapie in 1980 with no  
21 feed ban, it would also flow up to a significant  
22 extent in the United States.

23 In summary, we have tried to look at the  
24 potential for BSE infectivity to spread in the U.S. if  
25 it were to arise and we look at that arising either

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from imports, from an endogenous or spontaneous TSE,  
2 or by importation. We have used our simulation model  
3 to sort of look at what are the pathways that give  
4 rise to the greatest likelihood of spread, what are  
5 things that are doing a lot to prevent the spread.

6 I guess one of the findings that was most  
7 interesting to us is that the U.S. is resistant to BSE  
8 meaning that it does not -- it is very difficult to  
9 find any plausible set of assumptions under which the  
10 disease becomes established. For that reason, most of  
11 the time even following an introduction the disease  
12 dies out in the United States.

13 Human exposure to infectious cattle tissue  
14 is relatively low. Again, this is a potential human  
15 exposure and, again, it's through either consumption  
16 of known specified risk materials like brain or spinal  
17 cord, or that potential for contamination of certain  
18 kinds of products.

19 Spread in the cattle herd is almost  
20 entirely influenced by the compliance with the FDA  
21 feed ban. There is potentially some maternal  
22 transmission and we include that in our model that if  
23 a cow, calves near the end of the incubation period  
24 there is about a 10 percent chance of her passing that  
25 disease onto her offspring.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           The animals that die on the farm in our  
2 model presumably with BSE inject the greatest amount  
3 of infectivity into the system. For potential human  
4 exposure the handling of brain and spinal cord in  
5 processing is very important including, for example,  
6 whether or not people comply with a directive from the  
7 USDA that says if you are going to use these advanced  
8 meat recovery systems, you have to remove the spinal  
9 cord. Anytime that isn't done, that allows the  
10 potential for infectivity to be introduced into that  
11 product.

12           The primary roots of exposure for people,  
13 just as I've said, cattle brain, spinal cord, beef on  
14 bone, again with the caveat that that includes things  
15 like spinal cord and dorsal root ganglia that may or  
16 may not be consumed. Then finally advanced meat  
17 recovery product.

18           Those animals that came in from the UK  
19 between -- well, we looked at only England -- came in  
20 from England between 1980 and 1989 do have a small  
21 chance of having introduced BSE into the U.S. herd.  
22 If they did, the measures that are in place  
23 subsequently should be eliminating the disease in the  
24 same way they would with an introduction that would  
25 occur today.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           We reasonably mimicked the Swiss BSE  
2 outbreak and gives us some confidence in our work. We  
3 looked at this cross-species transmission of scrapie  
4 or spontaneous BSE. If they are real, today in the  
5 United States we could give rise to a few cases over  
6 time and relatively small amounts of infectivity that  
7 could potentially be available for human exposure.

8           We also think that our model by looking,  
9 for example, at the specified risk material ban it's  
10 useful for evaluating potential risk management  
11 strategies that could be taken in the future. You  
12 could design all sorts of things and look to see  
13 quantitatively how they would influence the likelihood  
14 of spread in animal herd or the likelihood of people  
15 being exposed.

16           With that, I'll stop and thank you.

17           CHAIRMAN BOLTON: Well, thank you very  
18 much, Dr. Gray. We will now open this up to  
19 questions. I'm sure there will be a few.

20           Ermias.

21           DR. BELAY: George, you may have addressed  
22 this issue sometime in the past but you finally  
23 modeled the international BSE through importation of  
24 animals. What if BSE was introduced into the United  
25 States through meat and bone meal or MBM? Would that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 change your predictions?

2 DR. GRAY: I don't know if you heard the  
3 question Ermias asked. Our way of introducing BSE  
4 into our model, when we assume the U.S. has none, is  
5 through infected animals. Would it be different if we  
6 introduced it through meat and bone meal? The answer  
7 is qualitatively no. In essence, bringing in a sick  
8 cow is just like bringing in a load of meat and bone  
9 meal.

10 Then it is spread out and we look to see  
11 how it propagates through the system. Qualitatively  
12 it would not be different. Quantitatively it wouldn't  
13 matter how much infectivity we felt was in that meat  
14 and bone meal, how often it came in, how many  
15 shipments, sort of how much it was and how many  
16 animals it was spread to.

17 We would still end up with a situation in  
18 which there would be relatively few new animals  
19 infected compared to the original -- well, there would  
20 be relatively little new infection but there would be  
21 some because of leaks in the feed ban and other such  
22 things. The feed ban would be eliminating the disease  
23 again. Qualitatively it would look the same.  
24 Quantitatively it would look different.

25 CHAIRMAN BOLTON: Peter.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LURIE: I think the question is  
2 excellent. It's hard really to know what to make of  
3 the answer to it because the amount of -- the number  
4 of ID<sub>50</sub>s that could be brought in through meat and  
5 bone meal is potentially very, very large and much  
6 larger than would be included in 10 infected cattle.

7 Your 10 infected cattle can be said to be  
8 equal to some amount of meat and bone meal but it's  
9 quite possible -- it's much more likely it seems to me  
10 that meat and bone meal might have gotten into this  
11 country than cattle. Those are easy to detect.

12 Especially because we of necessity have  
13 been behind the 8 ball in terms of the countries from  
14 which we prevent the importation of meat and bone  
15 meal. There were exports of meat and bone meal from  
16 Japan and countries in Europe before they were known  
17 to have BSE cases.

18 Then later on it turns out there was, in  
19 fact, BSE in the herd and the material has already  
20 been distributed. I think the meat and bone meal  
21 question is an excellent one and I'm not sure that  
22 your answer quite gets to it.

23 DR. GRAY: Oh, sure. The answer is  
24 qualitatively the results are going to look very  
25 similar. There are a lot of subtleties and nuances.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 If we introduce meat and bone meal the very important  
2 thing is how many animals is it introduced to.

3 If it's got lots of ID<sub>50</sub>s and we give it  
4 all to one, that's going to have very different  
5 implications than if we introduce it to 1,000. There  
6 are things that cut in both directions.  
7 Quantitatively, as I said, it will look different than  
8 introducing the cattle.

9 Qualitatively it is still going to have  
10 the same situation in that those cases that would be  
11 caused by that you could then think of as now we've  
12 got those 10 animals or those 100 animals or those 500  
13 animals that we modeled and the same thing would  
14 happen. You would have some new cases but the  
15 disease, again, would gradually be eliminated by the  
16 presence of the feed ban.

17 DR. LURIE: Unless the numbers are 10,000.

18 DR. GRAY: Ten thousand would take a long  
19 time but it would still -- it would be very different.  
20 Also then you would have questions with the  
21 consistency of our surveillance, for example.

22 CHAIRMAN BOLTON: I think that may be a  
23 moot point. I wish Linda Detwiler were here but I  
24 think that we were not a significant importer of meat  
25 and bone meal.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. FERGUSON: That's very accurate. Yes,  
2 we were not significant importers of meat and bone  
3 meal.

4 CHAIRMAN BOLTON: I think we were actually  
5 exporters.

6 DR. GRAY: We're a net exporter by far.

7 DR. FERGUSON: Correct.

8 DR. GRAY: And when we import it, it tends  
9 to be stuff like lamb meal from Australia.

10 DR. FERGUSON: Actually, we have made  
11 every effort to obtain as much information as possible  
12 as many years after the fact on all of these shipments  
13 that are recorded in Customs database under anything  
14 remotely resembling a code that could be considered  
15 meat and bone meal. What we're finding is, yes, there  
16 are some shipments that came in. These were legal  
17 shipments that came in.

18 For a period of time there were  
19 significant quantities of a porcine collagen binder  
20 from Denmark and from Sweden that was going into pet  
21 food. There is poultry meal coming in and going into  
22 pet food. Specialized products essentially going into  
23 pet food. There's really not a risk there that we can  
24 see.

25 CHAIRMAN BOLTON: Dick.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. JOHNSON: I worry about the wild cards  
2 that may impact such a model. If we were asked to  
3 design a model of what would happen if scrapie got  
4 into cattle back in 1982, none of us would have come  
5 up with that crazy idea of rendering.

6 Did you put some of these things into the  
7 model like the prevalence of poultry litter feeding,  
8 a low consistent level of horizontal spread that may  
9 be there that is buried by the epidemic of the sort of  
10 nature that we see with scrapie and chronic wasting  
11 disease? Did you look at recycling with the table  
12 scrap exclusion and so forth?

13 DR. GRAY: We looked quite closely at the  
14 FDA feed ban including things like the use of porcine  
15 and equine protein, the use of plate waste and other  
16 exceptions there. Those you can read in the report.  
17 As we look at them quantitatively they are unlikely to  
18 be major sources of recycling and you can look at  
19 them.

20 DR. JOHNSON: Poultry litter isn't --

21 DR. GRAY: Poultry litter is one --

22 DR. JOHNSON: It's an ovine. Poultry  
23 litter is ovine.

24 DR. GRAY: Poultry litter is one that --  
25 I was coming to that. That is one where we actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 didn't become aware of that until quite near the end  
2 of our work and, frankly, all we have is a couple of  
3 sentences in the report that say this is something  
4 that somebody has got to look at because that's one  
5 where you don't have multiple rendering steps. You  
6 don't know and there is the potential for --

7 DR. JOHNSON: It is apparently largely  
8 informal trading your poultry litter with the guy next  
9 door with the cow. Do we have an survey of any idea  
10 of how many people feed poultry litter and how much of  
11 it gets fed?

12 DR. GRAY: We certainly have come across  
13 nothing like that. You could ask FDA. I don't know  
14 and I don't know if anyone does.

15 DR. JOHNSON: I get the impression in the  
16 FDA quite a lot of it goes on surprisingly but on an  
17 informal basis.

18 DR. FERGUSON: I think there are some  
19 areas of the country where, yeah, quite a bit of it  
20 goes on.

21 DR. JOHNSON: It's regional, is it?

22 DR. FERGUSON: Well, obviously, I mean,  
23 you're going to be doing it in areas where it is a  
24 significant poultry production area.

25 DR. JOHNSON: Since it's disgusting you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 better not say which regions they are.

2 DR. FERGUSON: I won't. I won't. I don't  
3 know that I would necessarily say it's informal,  
4 though.

5 CHAIRMAN BOLTON: I have a question. You  
6 have looked at the feed ban as a major variable in  
7 terms of its effect on the outcomes. What other  
8 parameters when varied have similar kinds of effects  
9 as the feed ban? I mean, if you look -- you've got a  
10 model that must have perhaps literally thousands of  
11 different variables. Which ones have similar kinds of  
12 effects on the outcome?

13 DR. GRAY: That's a good question. It  
14 turns out to be -- well, some of them that you would  
15 expect and some you wouldn't. I mean, one thing, for  
16 example, that we have in our model is once the disease  
17 is in the country and circulating if it were  
18 introduced, how good would inspectors be at finding it  
19 at an ante mortem inspection.

20 That makes a very big difference because  
21 if an animal is at the stage of clinical disease gets  
22 to inspection and that inspector doesn't catch it,  
23 that is a lot of ID<sub>50</sub>s that are going into the system.  
24 We have no way to estimate how likely it is that we  
25 would do a good job of finding -- of detecting it on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       ante mortem inspection.

2                   For example, that's something that makes  
3       a pretty big influence on how much infectivity could  
4       ultimately get to people before letting sick animals  
5       in. These rates of misfeeding, these things that are  
6       related to the feed ban tend to be the ones that have  
7       the biggest influence on spread in animals.

8                   Things that are related to measures that  
9       would keep specific high-risk materials out of the  
10      human food supply are the ones that have the biggest  
11      effect on humans. Again, it's compliance with the  
12      USDA FSIS directive to remove spinal cord from advance  
13      meat recovery. Most of it ends up being pretty  
14      intuitive.

15                   CHAIRMAN BOLTON: Other questions?

16                   DR. FERGUSON: Not a question but I feel  
17      compelled to sort of put a plug in here for the  
18      Department of Agriculture, those that pay my salary.  
19      Anyway, if anybody is interested in reading the entire  
20      report, if you didn't know, it actually is up on our  
21      website. When I say our website, that is USDA APHIS.  
22      I believe FSIS also has it on their website,  
23      www.aphis.usda.gov.

24                   Also on that website you'll find some  
25      details about what the Department is considering doing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sort of as a result of some of the recommendations,  
2 some of the results of the Harvard assessment.

3 DR. JOHNSON: A warning came out when that  
4 was posted from several people saying it crashed their  
5 computer when they downloaded it. I was afraid to do  
6 it.

7 DR. FERGUSON: Actually, it is true.  
8 There is some truth to it because it crashed our  
9 computers when they sent it to us but it's only one  
10 part of the report. If you look on our website, it's  
11 broken down into several parts and it's the section  
12 that has all of the figures and the graphs. We had to  
13 have a media people print it out because they have  
14 those types of computers.

15 CHAIRMAN BOLTON: I want to know if that  
16 crash was caused by a prion or a virus.

17 DR. FERGUSON: We don't know.

18 CHAIRMAN BOLTON: You don't know? Okay.  
19 Peter.

20 DR. LURIE: I guess models like this  
21 obviously are only as good as the data that go into  
22 it. Obviously reasonable people could disagree with  
23 a particular assumptions that went into this.

24 Dick, you in particular, for an example of  
25 this is the plate waste one where it's not really in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the model. I mean, it's out of the model because you  
2 assume that the risk is zero. Right? I think that's  
3 correct.

4 There are assumptions like that which will  
5 obviously have to be examined. You might well be able  
6 to justify that it's zero. I'm correct about that.  
7 Am I not?

8 DR. GRAY: Well, we don't assume it's zero  
9 but one of the things that we've worked very hard to  
10 do is to lay out on the table everything that we  
11 assumed and considered why we thought that was the  
12 case. We also have in many cases the alternate ideas  
13 that someone might have so if anyone wanted to look at  
14 it in another way, they could.

15 For example, in the case of plate waste  
16 there are a variety of reasons to think that if it  
17 were introducing infectivity or the potential for  
18 recycling of infectivity, it would be a very, very  
19 small amount compared to the many other routes that  
20 are around. Again, that is our assumption and we lay  
21 it out.

22 DR. LURIE: I guess the other thing in  
23 looking at the report that struck me is all through  
24 this whole epidemic what we are really dealing with is  
25 the possibility of low probability events with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 catastrophic outcomes. That is really, to me, a lot  
2 of what we are dealing with here. Even the blood  
3 issue is very much like that. It seems that the  
4 probability is low.

5 On the other hand, if we are wrong, then  
6 it could be terrible because the exposure is high.  
7 The reason I make this point is that it is fine to  
8 present, as you do, what your base case analysis is  
9 with averages and even 95th percentiles. But in many  
10 ways what we are really worried about is the absolute  
11 worse case scenario.

12 As I read the report, once you start  
13 looking at 99 percentiles, which are obviously less  
14 likely to happen than 95th percentiles, very terrible  
15 things start to happen relatively quickly. The point  
16 is that the variables are very, very skewed of  
17 necessary. You do one way sensitivity analyses which  
18 is to say as I read it that one at a time you do them,  
19 which is to say you think of one thing kind of going  
20 wrong at a time.

21 What I'm worried about is if, in fact, the  
22 worst end of the skew turns out to be the case and two  
23 things go wrong at a time. Once that happens, then  
24 the scenario becomes less reassuring than your base  
25 cases.

1 DR. GRAY: We can talk about this in a lot  
2 of detail. Perhaps the best thing is we'll give you  
3 the model and you can go crazy. We are very willing  
4 to share this and to let anybody who wants to change  
5 whatever they want to do, make whatever assumptions  
6 they want to do.

7 DR. LURIE: There's nothing incorrect  
8 about what I just said, is there?

9 DR. GRAY: There's some technical things  
10 that are incorrect but we can talk about those later.  
11 I mean, about particular percentiles, the distribution  
12 and the ways in which you estimate them. We can talk  
13 about those.

14 CHAIRMAN BOLTON: Other questions? Did  
15 you want to make a statement?

16 DR. GRAY: No, no.

17 CHAIRMAN BOLTON: I heard a voice from  
18 somewhere coming out of the blue.

19 DR. GRAY: I'm here to answer questions.

20 CHAIRMAN BOLTON: Questions from the  
21 audience or comments? Well, very good.

22 Dr. Gray, thank you very much. I  
23 appreciate that. It's been most enlightening.

24 I believe at this point we are done. If  
25 there are any other comments or questions from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 committee, I will entertain them now. Otherwise, I  
2 would move to adjourn the meeting. Stand adjourned.  
3 Thank you.

4 EXECUTIVE SECRETARY FREAS: I would just  
5 like to thank everybody for coming here, the BPAC for  
6 two days, TSE for today. I would especially like to  
7 thank our chairman for getting us through this  
8 discussion.

9 (Whereupon, at 4:35 p.m. the meeting was  
10 adjourned.)

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

CERTIFICATE

This is to certify that the foregoing transcript in the  
matter of:


Meeting of the TSE and Blood Products  
Advisory Committees

Before: DHHS/FDA

Date: January 17, 2002

Place: Bethesda, MD

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.



A handwritten signature in cursive script, appearing to read "J. M. F. J.", is written above a horizontal line.