

1 could, please share with us your thoughts about the
2 over 65 group.

3 DR. CONNOR: Yeah. I think, you know,
4 obviously we focused our attention on the healthy
5 individuals that are within the age group that
6 could, if the vaccine was provided, could enhance
7 the vaccine uptake in the healthy population, and
8 once we've studied that population, then in addition
9 we'd like to be able to study the vaccine in other
10 higher risk populations, including the elderly
11 population, as well as other patients.

12 So there are clearly plans and desires
13 to look at that population. Obviously our focus has
14 been on the healthy population principally.

15 DR. EICKHOFF: Thank you.

16 CHAIRMAN DAUM: Dr. Myers, please.

17 DR. MYERS: In follow-up on that and
18 Dixie's question, this is a vaccine that at least on
19 the current indication is not indicated for any of
20 the high morbidity risk groups, and in a way, that
21 makes the safety bar go higher, it seems to me.

22 And so I have two issues. The first one
23 is that although it's intended for healthy
24 individuals, it's likely to be administered to those
25 who have unrecognized immune deficiency,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 unrecognized pregnancy in smokers. It's likely to
2 transmit to the elderly in family settings and to
3 younger siblings.

4 And so I guess I have some questions
5 about safety in those groups and would ask if you
6 could address some of those.

7 And then a second thing is I'm struck by
8 the fact that in all of your studies about 20
9 percent of recipients of normal allantoic fluid
10 intranasally require anti-pyretics. Forty percent
11 of adults have headaches, basically have febrile URI
12 after getting normal allantoic fluid.

13 And I remember at the last meeting
14 raising the question has normal allantoic fluid been
15 compared to a placebo for reactogenicity, and again,
16 is this a vaccine intended for the normal host,
17 normal healthy adult?

18 I think we need to ask why there are so
19 many febrile URIs in the placebo group.

20 DR. CONNOR: I think to take the second
21 question first, normal allantoic fluid has been used
22 as the control group for most of these studies and
23 other CAIV studies mostly obviously for the placebo,
24 for the masking effect and other effects.

25 We have looked in several settings, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I can either show slides or show slides later of the
2 distribution of the placebo activity. If you look
3 at the distribution of the URI symptoms, for
4 example, after a dose of placebo, you see no
5 temporal distribution associated with giving the
6 normal allantoic fluid.

7 The other fact is that there are some
8 studies that Wyeth has conducted using saline
9 placebo in the same setting, and what you actually
10 see is the healthy patient effect. What you see is
11 that you're screening out patients before they get
12 vaccinated, and then both in the FluMist and in the
13 placebo groups or in the other cases in the FluMist
14 and the saline group, you see regression basically
15 back to what is the normal rate of respiratory
16 symptoms in adults in that population.

17 So, for example, you see return back to
18 about 20 percent of children having URI respiratory
19 symptoms. So the evidence actually suggests that
20 what's happening is that the base population rate is
21 what you're actually seeing with normal allantoic
22 fluid, and the reason why there may be some trend is
23 that the trend is basically screening out the
24 healthiest patients right before you're doing the
25 vaccination.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I can either show some of that
2 information or not, but the other issue is that we
3 obviously have focused, as I mentioned, our
4 attention primarily on the healthy individuals.
5 There have been some studies that have been done on
6 some immunocompromised host populations, a
7 relatively small study that was done in HIV infected
8 adults in which there was nothing of interest in
9 terms of significant disease in that population, and
10 that's the primary extent of the population that we
11 studied thus far.

12 CHAIRMAN DAUM: Okay. We have Dr.
13 Markovitz and Diaz, and again, we're looking for
14 input on clarification of what the presentation
15 consisted of at this point, with time to return to
16 many of these important issues a little later.

17 MR. MARKOVITZ: Yeah, I have two
18 questions. One, it seems as though -- and this sort
19 of follows up on some other questions asked -- it
20 appears that COPD patients, for example, would be an
21 obvious eventual target here, and I understand
22 you're not asking for an indication here, but have
23 they been studied and you've seen similar things
24 that you've did as seen with kids vis-a-vis asthma
25 or is this something that has just not been studied

1 at all thus far?

2 DR. CONNOR: Yeah, the only studies that
3 have been conducted at studying COPD and the VA
4 studies that are not part of this application in
5 which COPD patients -- I think part of that data was
6 mentioned the last time at the last VRBPAC -- in
7 which there are some analyses of that trial,
8 including some adverse event outcome. It wasn't
9 anything specific that was seen in terms of serious
10 adverse events or other outcomes in the COPD
11 patients that were different, although obviously the
12 rates of illness in events were higher than in the
13 healthy population.

14 MR. MARKOVITZ: So there's some data,
15 but not conclusive at this point.

16 DR. CONNOR: Right.

17 MR. MARKOVITZ: The second question is
18 it appears that a lot of the studies are done in a
19 rather homogeneous population. Do you have any
20 information in terms of like inner city populations
21 or anything like that?

22 DR. CONNOR: Yeah, i think we can show
23 you data later about the geographic distribution and
24 the demographic data distribution of the
25 populations. We see no difference based on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 background, ethnicity, racial distributions, age
2 distributions, et cetera, and we can show you some
3 of that data later if you are interested.

4 CHAIRMAN DAUM: Dr. Diaz.

5 DR. DIAZ: I had just a few quick
6 questions. I, too, was struck and concerned about
7 the difficulties of diagnosing and/or labeling
8 younger children with asthma as a diagnosis and was
9 wondering in the studies on asthma and wheezing if
10 additionally you looked at the use of any
11 pharmaceuticals in the population, i.e.,
12 bronchodilators or other indicators of concern in
13 wanting to treat true wheezing episodes.

14 DR. CONNOR: Yeah, I think the data that
15 we have from the first study which looks obviously
16 at a generally health population, but populations
17 that still got into the study that had something in
18 their medical records that were associated with
19 asthma and wheezing; we did look at those individual
20 events for what happened to them.

21 And while one of the kids were
22 hospitalized, as most kids who have asthma and
23 wheezing events, they were treated with various
24 kinds of medications, including brochodilators, and
25 about 20 percent of them some steroid use.

1 That was generally distributed primarily
2 in patients that had true diagnosis of asthma. In
3 the general population we didn't specifically go
4 back and look independent of anything else whether
5 there was --

6 DR. DIAZ: That's what I was wondering.

7 DR. CONNOR: -- yeah, whether there was
8 asthma diagnoses.

9 My sense is from looking at that data,
10 however, is that there's pretty good correlation
11 between anything in the record that says anything
12 about wheezing, which is basically what we're
13 culling out, and the use of bronchodilators in
14 general.

15 DR. DIAZ: Additionally, I just wanted
16 to clarify in your efficacy studies. I seem to
17 recall looking at efficacy out to 42 days. Am I
18 incorrect in that or was that the endpoint?

19 DR. CONNOR: Yeah, the follow-up period
20 was generally through 42 days, and it was all
21 culture confirmed influenza in the outcome.

22 DR. DIAZ: So you don't have --

23 DR. CONNOR: I'm sorry. You're
24 referring to the efficacy outcomes rather than the
25 safety outcomes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DIAZ: Correct.

2 DR. CONNOR: Which are through the whole
3 season, yeah.

4 DR. DIAZ: Which is through the whole
5 season?

6 DR. CONNOR: Efficacy.

7 DR. DIAZ: Efficacy. That's what I
8 wanted to clarify.

9 DR. CONNOR: Yes.

10 DR. DIAZ: Because that's a very
11 important point.

12 DR. CONNOR: Yes.

13 DR. DIAZ: Additionally, the issue about
14 transmission, I think, is an important issue. Are
15 there any markers other than the attenuation markers
16 as such that you can follow resorted strains if, in
17 fact, you did revert to that worst case scenario
18 that somebody described of reverting or resorting to
19 the wild type strain? Would there be any genetic
20 markers that could still predict that that
21 particular strain had resorted from the attenuated
22 strain that you know of?

23 DR. YOUNG: Yeah, basically what you
24 have to do is there are ways tat we can either
25 sequence or do RFLP analysis of the strains to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 follow that.

2 In fact, within that Finnish day care
3 study looking at the viruses shed from the
4 vaccinated individuals, we could actually, because
5 there were a single point mutation differences, you
6 know, that are silent mutations between the cold
7 adapted strains so that we could actually show that
8 there were four reassortant events that occurred
9 between the H1N1 cold adapted virus and the H3N2
10 adapted virus. So you can actually follow whether
11 the genes do get switched, but again, we've never
12 seen any change in phenotype when that happens.

13 DR. DIAZ: Right, and lastly I just
14 wanted to ask, and I presume you don't have any
15 studies that look at transmission in a household
16 setting?

17 DR. YOUNG: We have not done that with
18 CAIV that I'm aware of. I guess there were some --
19 Paul, there were historical studies done with CAIV?

20 Paul Mendelman.

21 DR. MENDELMAN: In the briefing document
22 that we provided, the ten publications for
23 transmission studies were done historically with
24 predecessors of FluMist, and those included various
25 settings, including households and college roommates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 as well as children and adults and married couples.

2 CHAIRMAN DAUM: Okay. Thank you very
3 much, committee members. Thank you sponsors for
4 your presentation.

5 It is 10:45.

6 Dr. Mendelman wishes to inform that in
7 those studies no transmission occurred.

8 (Laughter.)

9 CHAIRMAN DAUM: It's 10:45 here in the
10 Eastern time zone, and we will take a ten minute
11 break and reconvene at 10:55.

12 (Whereupon, the foregoing matter went
13 off the record at 10:48 a.m. and went
14 back on the record at 11:00 a.m.)

15 CHAIRMAN DAUM: Will everybody please
16 take their seats so that we can begin?

17 I'd like to continue our meeting by
18 calling on Dr. ChrisAnna Mink of the FDA to give us
19 the FDA presentation.

20 If everybody could take their seats and
21 cease conversations as quickly as possible so we can
22 get moving on the committee's agenda.

23 Dr. Mink.

24 DR. MINK: I'd like to now begin the FDA
25 clinical summary for the FluMist application.

1 You've heard much of this this morning.
2 So will try to abbreviate where I can.

3 A total of 20 studies have been
4 submitted to the biologics license application.
5 Fourteen were randomized, double blind, and placebo
6 controlled, and three of these were considered to be
7 pivotal safety trials. They were randomized two to
8 one, FluMist to placebo. There include 06, the
9 efficacy trial; 09, the adult trial; and 019, the
10 large safety trial for Northern California Kaiser.

11 There are also six non-placebo
12 controlled trials.

13 Final reports for three of the 20 trials
14 were submitted with the sponsors' responses in
15 January 7, 2002, and have not previously been
16 presented in full to the committee. These include
17 019, the Kaiser trial; 012, Texas community trial
18 performed largely in a health maintenance
19 organization; and the Wyeth-Lederle sponsored
20 transmissibility study in day care.

21 A total of 20,228 first doses of FluMist
22 have been given, and in the age range requested of
23 five years through 64 years, 14,154 first doses have
24 been given.

25 I would now like to review the trials

1 recently submitted. We'll start with the 019 Kaiser
2 trial.

3 This enrolled healthy children from one
4 to 17 years of age, randomized two to one, FluMist
5 to placebo; two doses, 28 to 42 days later for the
6 one to eight year olds groups and one days for nine
7 to 17 years.

8 It started in October of 2000, and they
9 used the 1999-2000 vaccine strain for this safety
10 profile. Both of the A strains differed from 1999
11 on 2000 season, though there was some similarity,
12 and the B strain was the same.

13 The exclusion criteria listed was the
14 parental reported history of asthma or possible
15 asthma.

16 In this trial, there was no active
17 monitoring for solicited reactogenicity events plus
18 vaccination, events such as cough, fever, or runny
19 nose.

20 The Northern California Kaiser database
21 was searched after each dose for their primary
22 safety outcomes listed here. Serious adverse
23 events were defined consistent with 21 CFR,
24 including death, life threatening, hospitalizations,
25 significant disability or congenital anomaly or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 birth defect.

2 Medically attended events were defined
3 as an encounter with the health care provider.
4 There were 170 individual events searched and four
5 prespecified groups categories.

6 You've heard this earlier. The four
7 prespecified categories were acute respiratory
8 events, which included approximately 25 diagnostic
9 codes; systemic bacterial infections; acute
10 gastrointestinal events; rare events, historically
11 associated or you'll see coined potentially related
12 with wild type influenza.

13 The utilization settings include
14 hospital, out-patient clinic, emergency department,
15 and all settings combined.

16 Prespecified age groups were 12 to 17
17 months, 18 to 35 months, one to eight, nine to 17,
18 and then all age groups one to 17 years.

19 Statistically the plan was to estimate
20 incidence of MAEs and SAEs in the FluMist recipients
21 relative to the placebo subjects in the post
22 vaccination period. This is expressed as relative
23 risk, RR, in 90 percent confidence intervals
24 constructed using the binomial method.

25 Multiple encounters for the same MAE

1 were counted only one. Ninety percent confidence
2 intervals were used for these safety assessments.

3 Interim analysis was performed with
4 unblinding to provide safety data at VRBPAC 2001.
5 Over 1,500 analyses were performed, and there were
6 no adjustments for multiple comparisons.

7 A total of 9,689 evaluable subjects were
8 available. This included a 6,473 FluMist and 3,216
9 placebo subjects. Approximately 8.5 percent of
10 participants had a history of asthma upon review of
11 the database and medical records. Approximately 75
12 percent of those subjects were from one to eight
13 years of age.

14 As you can see, the age distributions
15 for those one to eight years were about 58 percent
16 of enrollees and about 42 percent were nine to 17
17 years. There were similar demographic
18 characteristics for age, gender, and ethnicity in
19 the two treatment groups.

20 Eighty seven percent of the one to eight
21 year olds received the dose two of their vaccine
22 regimen. The reasons provided for not receiving
23 dose two included unable to contact, which was about
24 55 percent of the FluMist subjects, or
25 noncompliance, which was about 35 percent in FluMist

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 subjects.

2 Adverse events following dose one were
3 reported as the reason for not returning for dose
4 two for 39 FluMist subject and 27 placebo subject.
5 In the line listing of these adverse events, most
6 appear related to the respiratory tract.

7 The results for the SAEs are shown on
8 this slide. No deaths were reported. A total of 20
9 SAEs were reported with the rate of .02 percent for
10 both groups, FluMist 13, placebo seven subjects.

11 Of the 13 SAEs in the FluMist subjects,
12 12 were reported after dose one. These events
13 included 11 hospitalizations, six psychiatric
14 hospitalizations, and three others, such as an ED
15 visit, one clinic visit, and an out-patient surgery.
16 There were no patterns for the diagnosis seen for
17 SAEs.

18 The next slide shows the number of
19 subjects with medically attendant events by setting.
20 As you can see, clinic visits were the most common,
21 occurring in about 36 percent of both groups.

22 Hospitalizations were infrequent,
23 occurring in less than .6 percent of both the
24 FluMist and placebo subjects.

25 For the prespecified group diagnoses,

1 none of the groups had occurred at significantly
2 increased rates in the FluMist recipients. There
3 were no reports of systemic bacterial infections and
4 no relative risk could be calculated.

5 There was a decrease in the relative
6 risk, significant for acute respiratory events in
7 the FluMist recipients for all ages, settings, and
8 doses combined with the upper bound of the relative
9 risk confidence interval being less than one.

10 The results for asthma events, overall
11 the asthma events occurred in approximately .4 to
12 1.3 percent of FluMist recipients, depending upon
13 the age groups evaluated. In the interim analysis
14 presented at VRBPAC last year, there was an
15 increased relative risk for asthma events observed
16 in FluMist subjects ages 18 to 35 months, one of the
17 prespecified age groups.

18 In the final analysis, this increased
19 statistically significant increased relative risk
20 was still observed in the 18 to 35 month age group.

21 As shown on the next slide, for all
22 settings following dose one in 18 to 35 month old
23 children there were ten events, ten subjects in the
24 FluMist group and zero in the placebo with a lower
25 bound of 1.95. For all settings and all doses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 combined, the relative risk was 4.06 with these
2 confidence intervals.

3 The NA -- I hope you can read the
4 footnote -- means not available, not able to
5 calculate due to zero events occurring in the
6 placebo subjects.

7 This slide describes the asthma events
8 in the 18 to 35 month old children. There were a
9 total of 18 subjects, 16 FluMist and two placebo,
10 that had 20 asthma events. Seventeen of these
11 subjects were seen in the clinic, and one subject
12 was seen in the emergency department, and this was
13 following dose one.

14 All subjects required treatment with 94
15 percent of them receiving beta agonists.

16 To help further evaluate the age related
17 risks for asthma a post hoc analysis was performed
18 by the sponsor looking at subjects in increasing six
19 month increments, starting at 12 months of age
20 through 107 months of age. Selected age groups for
21 this analysis is shown on this slide. Here's the 12
22 to 35 month old group, but we stop at the 77 month.

23 As you can see, there's an increase
24 relative risk of 3.53 identified for the 12 to 59
25 month age group, with the lower bound of 1.1 in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these upper bound confidence interval.

2 The statistically significant risk was
3 not identified for age groups over 12 to 59 months
4 of age. This slide shows this analysis for the 12
5 to 59 months after dose one with the increased
6 relative risk. This is a CBER generated table. So
7 the confidence intervals are a little different
8 because a different calculation method was used.

9 After dose two the relative risk was not
10 significantly increased and subjects 60 to 107
11 months of age after dose one or dose two, there were
12 no increased risks identified, nor was there an
13 increased risk in nine to 17 years of age.

14 To summarize, for asthma events the
15 increased risk was 3.53 for the 12 to 59 months
16 after dose one, not seen after dose two, or in the
17 60 to 107 month or children nine to 17 years of age.

18 Upper respiratory infections were also
19 evaluated. There was no increase in the relative
20 risk for URI in combined analysis of all ages,
21 settings, and doses. There was one SAE and a
22 placebo recipient for hospitalizations for URI and
23 croup observed on day four post vaccination.

24 There was an increase in the relative
25 risk for URI in three of the 41 separate analyses as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C 20005-3701

1 shown on the next slide. The sponsor also showed
2 these data to you earlier.

3 You can see there was an increase in the
4 entire cohort in the emergency department after dose
5 one with the lower bound of 2.14. No events
6 occurred in the placebo group.

7 The events in the one to 17 years were
8 primarily accounted for by subjects in the one to
9 eight, with nine events here and 11 here. Again,
10 the lower bound was 1.7 with no events in the
11 placebo subject.

12 There was also an increase identified in
13 18 to 35 month old subjects for all doses and all
14 settings combined with a relative risk of 1.3.

15 Pneumonia events were also evaluated,
16 and in that search there was no increase in the
17 relative risk observed for pneumonia, bronchitis or
18 bronchiolitis in any age group, setting, or dose
19 observed. I will discuss this in a bit more detail
20 later.

21 Additionally, a search for abdominal
22 pain was performed. This occurred in about .7
23 percent of FluMist and .8 percent of placebo
24 subjects. There was an increased relative risk in
25 two analyses, in the nine to 17 year olds in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 emergency department and one to 17 years in the ED,
2 and there was a decreased relative risk in two
3 analyses, one to eight year olds in the clinic and
4 one to eight year olds in all settings following
5 dose one.

6 There were no specific abdominal
7 disorders identified and no cases of
8 intussusception, mesenteric adenitis, or intestinal
9 obstruction were reported.

10 A search for rare events potentially
11 related to influenza show that there were no cases
12 of encephalitis, encephalopathy, Guillain-Barre,
13 Reye's Syndrome, or other influenza associated
14 disorders.

15 There were ten subjects, seven FluMist
16 and three placebo, who reported 11 seizure events.
17 Five of the seven FluMist subjects and one of the
18 placebo subjects was less than five years of age.
19 As you can see, the relative risk was not increased
20 for the events of seizures.

21 So in conclusion, for this trial AV019
22 was the major contributor to the safety database,
23 especially in the pediatric age group. SAEs
24 occurred at a rate of .2 percent, and there were
25 many children with asthma enrolled, despite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exclusion criteria.

2 There was an increased relative risk for
3 asthma in the 18 to 35 month olds after dose one in
4 the prespecified age groups and in 12 to 59 month
5 olds in a post hoc analysis. The children with
6 asthma events did require medical therapy.

7 No significant increase in relative risk
8 for asthma was observed for children over 60 months
9 of age. There was no increase in the relative risk
10 for pneumonia identified, nor were there rare events
11 possibly related to influenza reported.

12 An increased relative risk for URI
13 events and musculoskeletal pain, which was presented
14 earlier by the sponsor, was observed in children 18
15 to 35 months of age, suggesting that possibly these
16 children upper respiratory infections or mild
17 influenza-like illnesses.

18 There were 1,500 analyses performed in
19 this trial, and an increase for some of the relative
20 risk for some of the MAEs may be due to chance
21 alone. Additional studies are needed to assess the
22 association of asthma events following the receipt
23 of FluMist.

24 The next trial that will be discussed is
25 Study AV012, the Texas community trial, and I will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discuss years one and years two.

2 The design was open label,
3 nonrandomized, and the children were 18 months to 18
4 years of age who were eligible for participation,
5 and children with a history of mild asthma were
6 permitted in this trial.

7 The vaccine, each year that the kids
8 were enrolled, they received a single dose of
9 FluMist. The study was planned to assess herd
10 immunity following FluMist and safety monitoring was
11 a secondary objective.

12 There were several limitations for the
13 design of this trial, and effectiveness data will
14 not be discussed. We are presenting only the safety
15 data from years one and years two. These data
16 contribute the safety experience following 9,549
17 doses in 7,448 children.

18 For the revised plan for safety
19 assessments, which was implemented after the trial
20 was initiated, SAEs for 42 days post vaccination
21 became the primary measure of safety. These were
22 captured by postcard reporting of database searches.

23 Medically attended acute respiratory
24 illness, MAARI, became the secondary measure of
25 safety. These were captured by database searches

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for overall MAARI events and for selected
2 respiratory events, including asthma.

3 Data analysis of MAARI events used the
4 post marketing method described by Griffin, et al.
5 Each participant served as his own control. Event
6 rates within a specified vaccination period were
7 compared to event rates within a reference period.
8 There were two vaccination periods: day zero to 14
9 and day zero to 42.

10 A single reference period was
11 constructed by combining pre-vaccination period and
12 a post vaccination period. The results show that in
13 year one the study period was from August 17th,
14 1998, through January 30th, 1999, and 4,298 subjects
15 were enrolled. Approximately 13 percent of these
16 had a positive history for asthma.

17 In year two, the study period was
18 September 13th, 1999, through February 10th, 2000.
19 Fifty-two hundred and fifty-one subjects were
20 enrolled, and the asthma history was positive for
21 about 17 percent of subjects.

22 A total of 2,101, or about 40 percent of
23 the subjects, were repeat vaccinees.

24 SAE results. There were no deaths
25 reported. In year one there were eight SAEs and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 4,131 evaluable subjects were a rate of .2 percent.
2 Six of the eight SAEs occurred beyond day 21. The
3 two that occurred within 21 days included
4 hospitalization for depression in a 16 year old and
5 aseptic meningitis in a seven year old.

6 In year two there were 16 SAEs, all
7 hospitalizations, reported in 15 of the 5,033
8 evaluable subjects for a rate of .3 percent. Nine
9 of the 16 occurred on or after day 21. Two SAEs
10 were related to the respiratory tract, and this
11 included on day 11 in a 23 month old, who was
12 admitted for RSV documented pneumonitis and febrile
13 seizure, and on day 44 post vaccination an event of
14 pneumonia in an almost four year old.

15 There were generally multiple different
16 diagnoses included in the SAEs for year one and year
17 two, and no pattern was observed.

18 MAARI results, in brief. There was no
19 increased relative risk for overall MAARI for either
20 vaccination period observed in year one. In year
21 two, there was an increase in MAARI events for both
22 vaccination periods, day zero to 14 and day zero to
23 42, as shown on this slide.

24 Asthma events overall were reported in
25 approximately one percent of all participants in

1 each year one and year two. In year one there was
2 no increase in the relative risk for asthma events
3 for either vaccination period. In year two, no
4 increase was observed from the day zero to 14
5 period, but there was an increase in asthma events
6 for day zero to 42 with the relative risk of 1.83
7 and those confidence intervals.

8 There are several limitations to this
9 trial, including that it was not randomized or
10 blinded. No control group was available for
11 statistical comparisons, and the post marketing
12 method used for the data analysis may be problematic
13 for evaluation of pre-licensure safety.

14 For example, the method has most often
15 been used for acute events, not chronic events, such
16 as asthma, and has not been previously used for
17 events associated with seasonality, such as
18 influenza.

19 The MAARI evaluations for safety were
20 not prospectively defined, and as you saw in the
21 data, there were differences in MAARI rates observed
22 between years one and years two.

23 Conclusions from this trial were that
24 7,448 subjects received 9,549 doses of FluMist,
25 which is approximately 37 percent of the total first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doses observed in our safety data base.

2 The rates of SAE were .2 to .3, which
3 was very similar to the rates observed in AV019, the
4 randomized, double blind trial.

5 There were no reported events
6 potentially related to wild type influenza. There
7 was an increased relative risk from MAARI observed
8 in some analyses, but not in others.

9 Briefly to compare AV012 and AV019, the
10 rates for asthma events were similar in the two
11 trials, between .5 and 1.5 percent for FluMist
12 recipients, and this occurred despite the marked
13 differences in the design of the trials, most
14 notably one being open label versus randomized
15 double blind.

16 To further evaluate the asthma and
17 wheezing events, the sponsor performed a review
18 across all 20 trials in the BLA. As they discussed
19 earlier, there was significant overlap in the use of
20 the diagnostic codes for asthma, reactive airway
21 disease, RAD, wheezing, and shortness of breath,
22 SOB.

23 So across these 20 studies,
24 approximately 82 to 80 percent of asthma RAD,
25 wheezing, and SOB events, and 74 percent of asthma

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 RAD occurred in children from one to nine years of
2 age. This age group accounts for approximately 50
3 percent of the total study population.

4 Asthma events occurred throughout the 42
5 day post vaccination period with no clear evidence
6 of temporal clustering.

7 A search of SAEs related to asthma,
8 there were four hospitalizations identified for the
9 grouped category. In AV006, year two, a 23 month
10 old with a history of asthma was admitted for status
11 asthmaticus on day eight after dose three of
12 FluMist. In 008, an adult, a 69 year old with heart
13 disease, wheezing, and congestive heart failure, was
14 admitted on day 16 post FluMist. And in 02, a child
15 had two hospitalizations for RAD on day four and day
16 33 in a placebo subject also with a known history of
17 asthma.

18 This slide shows the occurrence of
19 events for the protocol defined age groups. In 06,
20 that was 15 to 71 months after dose one. There was
21 no increase in relative risk. In 019, one to eight
22 after dose one, no increase, statistically
23 significant. In 019, in nine to 17 years, there was
24 no increased risk, nor was there an increased risk
25 seen in adults.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 These are for, as I noted, the
2 predefined age groups in the protocols. However, in
3 the analysis by age subgroups, as discussed above in
4 AV019, there was an increase observed for 12 to 59
5 months of age and for 18 to 35 months of age. AV019
6 was the major contributor of children to the
7 database, and thus the review of the 20 studies is
8 very similar to these results.

9 Study 010 was also performed, which
10 enrolled nine to 17 year old subjects with moderate
11 to severe wheezing. Three of 24 FluMist recipients
12 compared to zero of 24 placebo recipients had
13 exacerbations in the 32 days post vaccination in
14 that trial.

15 Thus, the concern is ongoing for the
16 risk of asthma and wheezing in young children and
17 possibly for subjects with a known history of
18 asthma.

19 The third trial that we will discuss is
20 the Wyeth-Lederle vaccine sponsored trial D145,
21 which is assessment of the transmissibility of CAIV-
22 T, FluMist, in day care attendees in Finland. This
23 trial was randomized one to one, double blind to
24 assess shedding and transmission of cold adapted
25 influenza vaccine on strains in day care attendees

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 who are eight to 36 months of age. They were
2 eligible to participate in the study if they
3 attended day care at least three times a week for at
4 least four hours per day. There had to be at least
5 four contacts in the play group with at least one
6 vaccinee within that play group.

7 The subjects were given one does of cold
8 adapted vaccine or placebo. Then nasal swabs were
9 obtained in day zero, day one, and three alternating
10 days, such as Monday, Wednesday, and Friday, per
11 week through day 21.

12 Isolated vaccine viruses were typed, A
13 or B, subtyped, H1N1 or H3N2, phenotyped for the
14 cold adapted and temperature sensitive, and a subset
15 was genotyped.

16 The original primary objective was to
17 assess the percent of placebo subjects who were
18 shedding virus identified as vaccine strains.

19 And the sponsor's post hoc analysis,
20 using the Reed-Frost model, was to assess the
21 probability that a vaccinee would infect a placebo
22 subject.

23 A total of 197 subjects, 98 FluMist and
24 99 placebo, were enrolled from 51 day cares as the
25 sponsor described for us earlier. A typical play

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group included two CAIV and two placebo recipients,
2 though there were a lot of variations.

3 The all available shedding population,
4 i.e., the assessment of shedding, could be performed
5 from all 197 subjects. I present here for you the
6 FluMist results. Eighty percent of the FluMist
7 recipients shed at least one vaccine strain. Forty-
8 three shed Type A; 72 shed Type B; six shed Types A
9 and B; six shed all three types; and there were 13
10 subjects who shed viruses that were not able to be
11 subtyped or genotyped. This included six Type A and
12 seven Type B.

13 The duration for shedding is shown on
14 this slide. For the H1N1 there were 33 isolates.
15 All were vaccine strain. There was no H1N1
16 circulating at that time is my understanding in
17 Finland.

18 The median day for shedding for H1N1 was
19 three, but the range was one to 21, and as you'll
20 recall, 21 was the last day cultures were obtained.

21 For H3N2 there were 12 isolates. Median
22 shedding was eight days with a range of three to 17,
23 and for Type B, there were 72 isolates, 65 confirmed
24 as vaccine strain, and the range of shedding was one
25 to 15 days. There were no wild type isolates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recovered from FluMist recipients during this trial.

2 For the transmission assessment, the
3 placebo subjects included 93 available. This
4 excludes six subjects who had no contact with the
5 FluMist vaccinee. The all evaluable population
6 defined by no protocol violations included 57
7 subjects. No protocol violations mean that they
8 were not outside of the eight to 36 months of age;
9 that they had nasopharyngeal cultures obtained less
10 than four days. They had at least contact with one
11 cold adapted recipient, and that there were at least
12 four subject in their play group.

13 From these placebo subjects, viruses
14 were recovered from seven subjects. One subject
15 shed Type B Ann Arbor vaccine strain identified on
16 day 15. Six placebo subjects shed a total of nine
17 Type A isolates. Two subjects shed wild type on two
18 different days, a total of four isolates, and four
19 subjects shed a total of five isolates that could
20 not be identified.

21 That's really hard to say.

22 For the subjects that had transmitted
23 Type B vaccine virus, this subject was identified to
24 be shedding on Day 15. He had at least ten other
25 negative cultures obtained on other days.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The subject did have contact with two
2 cold adapted recipients who were known to shed Type
3 B. The B Ann Arbor isolate detected from our
4 placebo recipient was detected five days after the
5 last identified shedding by the vaccinee. This
6 placebo subject had coryza on days eight or 18,
7 cough on days eight or nine, and irritability on day
8 zero, 14, and 17.

9 Essentially this reactogenicity profile
10 was similar to direct recipients of cold adapted
11 vaccine.

12 The probability of transmission is
13 provided on this slide by the original analyses,
14 including isolates identified as vaccine virus
15 equals one, the Type B, among 57 eligible placebo
16 subjects. The rate of transmission is 1.75 percent
17 with those confidence intervals of .1 to 8.75
18 percent.

19 For the all available transmission of 93
20 subjects, assuming that all subjects with
21 unidentified virus had vaccine strain, that would be
22 an N equals five. The rate of transmission would be
23 5.38 percent with those confidence intervals.

24 To try and help correlate this with the
25 Reed-Frost, this placebo subject had contact with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 two vaccinees. The Reed-Frost data presented by the
2 sponsor, the rate of transmission was less than one
3 percent per vaccinee. So a rate of 1.75 for two
4 vaccinees, it's fairly comparable.

5 Genotype was performed on selected shed
6 isolates. They were chosen for genotyping if the
7 culture grew a single virus subtype, if it had a
8 viral content of 100 to 1,000 infectious foci, and
9 the sponsor also chose later isolates to try and
10 increase the chance of identifying nucleotide
11 changes.

12 The consensus genomic sequence of the
13 isolates from all placebo subjects and the subset of
14 FluMist subjects were compared to the sequences of
15 the relevant monovalent viral harvest, i.e., H1 was
16 compared to H1, et cetera.

17 The B Ann Arbor transmitted isolate had
18 three nucleotide changes identified. Fifty-five of
19 a possible 237 isolates from FluMist subjects were
20 tested, and nucleotide changes were identified in 76
21 percent of H1N1, 92 percent of H3N2, and 77 percent
22 of B.

23 Of note, the B isolate with these
24 nucleotide changes was found to be identical to the
25 B isolate recovered from the vaccinee.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Genotype and phenotype stability showed
2 that the nucleotide changes were not random, but
3 look at H1 and H3N2 together because they have the
4 same internal donor gene sequence. So for Type A,
5 the changes were most commonly identified in PB1, 2,
6 NP and the M genes, and for Type B they recurred in
7 the M gene.

8 All of the tested isolates, N equals 55,
9 maintain their attenuated, cold adapted and
10 temperature sensitive phenotype. Evaluation of the
11 retention of attenuation of the shed viruses in the
12 animal model is ongoing.

13 In conclusion, there are several
14 limitations for this trial. Despite its labor
15 intensive nature, it is a small sample size and
16 there were many protocol violations. Shedding of
17 vaccine virus was frequent, occurring in about 80
18 percent of subjects and lasted through day 21, which
19 was the last day cultures were obtained.

20 Transmission did occur. However, we can
21 estimate only accrued rate. The recovered vaccine
22 viruses had a high frequency of nucleotide changes.
23 However, the cold adapted and temperature sensitive
24 phenotype markers were retained. These changes were
25 not random, but the clinical significance is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 known and evaluation of the attenuation in animal
2 models is still ongoing.

3 Additional assessments of shedding were
4 also performed by the sponsor. In four trials
5 routine cultures were obtained from day zero to ten
6 post vaccination. Of those 569 cultures, about 34
7 and a half percent of the subjects were identified
8 to shed vaccine virus, and illness cultures were
9 obtained from about 13.8 percent of those. Forty of
10 290 cultures obtained from FluMist subjects with
11 illness post vaccination were identified to shed any
12 influenza virus, i.e., its type not identified.

13 Twenty of those 40 isolates were tested
14 by genotyping and phenotyping, and of those tested,
15 they proved to be vaccine strain.

16 And the genotype and phenotype stability
17 of the strains recovered from the ill subjects, of
18 the 20 vaccine strains isolated from these ill
19 subjects, none were reported to have altered their
20 cold adapted or temperature sensitive phenotype. As
21 most of these trials were performed when wild type
22 influenza was circulating, primarily H3N2 and B,
23 there's no resortment of vaccine strains and wild
24 type were not identified.

25 I briefly will review the selected data

1 for post vaccine solicited reactogenicity events.
2 The sponsor gave you much of this data this morning,
3 and I will do a very brief summary from 06 and 09.

4 The selected REs included runny nose,
5 nasal congestion, sore throat, cough, irritability,
6 headache, chills, myalgia, decreased activity, and
7 fever.

8 The events were solicited for ten days
9 in 06 and seven days in 09, the adult subjects.

10 I have selected only a few events, and I
11 chose the ones that were statistically different
12 between FluMist and placebo in dose one for children
13 15 to 71 months of age in AV006.

14 As you can see, any RE, that is, the
15 subjects had one or more reactogenicity event,
16 occurred more than 60 percent of the time for
17 FluMist subjects and placebo subjects after dose one
18 and dose two.

19 Runny nose was significantly different
20 as were vomiting myalgias, and low grade fever.
21 After dose two, generally speaking the rate of
22 reactogenicity events were a bit less common.

23 In year two no statistically significant
24 differences in REs between the FluMist and placebo
25 group were identified. The rates of REs I said

1 before tend to be less frequent with runny nose and
2 congestion occurring in about 42 percent of subjects
3 and coughs in about 24 percent, and the rates of REs
4 were similar in subjects who had received one dose
5 or two doses in year one.

6 This shows the results for the AV009,
7 the adult trial. This is the entire study cohort of
8 18 to 64 years of age. Again, you can see the rate
9 of at least one RE event was 70.9 percent for
10 FluMist and 61.9 percent for placebo subjects.
11 There were significant differences noted for runny
12 nose and congestion and sore throat.

13 The rate of fever over 101 was very
14 uncommon, occurring in less than .6 percent of both
15 groups.

16 To summarize, between FluMist and
17 placebo groups, significant differences were
18 observed for runny nose and low grade fever for
19 children and runny nose and sore throat for adults.
20 REs occurred commonly in both groups, more than 60
21 percent in children and adults. We have no
22 solicited RE data for seven to 17 year old subjects.
23 There are no apparent differences in RE rates
24 presented by the sponsor this morning by age group
25 for subjects less than 50 or those 50 to 64 years of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W
WASHINGTON, D C 20005-3701

1 age.

2 Most of the safety data available were
3 generated in healthy subjects.

4 I'd now like to review additional
5 concerns from VRBPAC of 2001. First we'll start
6 with pneumonia. There was much concern at VRBPAC
7 for possible increase in pneumonia after receipt of
8 FluMist in AV006, year one. However, at that time
9 not all studies were finalized and submitted for
10 review.

11 Upon assessment across all 20 trials now
12 finalized in the database, no increase in pneumonia,
13 bronchitis or bronchiolitis events have been
14 identified.

15 This gives those to you as data. In
16 AV006, the relative risk at that time you saw in
17 VRBPAC last year was 3.48 with wide confidence
18 intervals. In 09, in 12 to 59 months, no
19 significant increase nor for the 60 to 107 months,
20 no significant increase, nor for the older age group
21 of children.

22 We also discussed abdominal pain last
23 year. There had been an increase in relative risk
24 for abdominal pain observed in 06 in year one after
25 dose one. This was a solicited event post

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccination in that study, and the relative risk was
2 2.69, with a confidence interval or lower bound
3 above one.

4 In the updated assessment across 20
5 trials we still observed the increase in 06, but
6 there's a decrease in the relative risk in subjects
7 less than nine years in 019, but remember these are
8 medically attended events, not solicited events.

9 There's also a decrease in subjects 18
10 to 64 years observed in 09. Again, no
11 intussusception, intestinal obstruction or
12 mesenteric adenitis were reported.

13 For rare events potentially related to
14 influenza in the review across all 20 studies, there
15 were no reported cases of encephalitis,
16 encephalopathy, Guillain-Barre, or Reye's Syndrome.
17 There was no significant increase for central
18 nervous system events, including seizures following
19 the receipt of FluMist identified.

20 Additional concerns included concurrent
21 immunization data. No data for efficacy or safety
22 with concomitant immunizations in any age group are
23 available. For the use of FluMist for five to 64
24 years of age, the possible concurrent vaccinations
25 for the four to six year old age group include DTAP,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N W
WASHINGTON, D.C. 20005-3701

1 MMR, and inactivated polio vaccine for its possible
2 use with pneumococcal vaccine.

3 Additional concerns included the need
4 for annual revaccination data. At this time we have
5 no data for revaccination of adults. For older
6 children and adolescents from study AV012, there are
7 1,054 subjects who were immunized in both years one
8 and two from whom data are available, and 459 of
9 these were from the ten to 18 year old age group.

10 Those 459 had similar MAARI results and
11 SAE results as presented for the entire study
12 cohort. For young children under nine years of age,
13 AV006 has year two and year three data -- at that
14 time it was study 015 -- for safety and for
15 efficacy.

16 As I reported earlier, there was no
17 increase in reactogenicity events identified in
18 repeat vaccinees, and there was demonstrable
19 efficacy in year two. Year two included 1,358
20 subjects, and of those, 375 were over 60 months of
21 age.

22 In previous VRBPACs, not just 2001,
23 there have been discussions about annual release of
24 influenza vaccine. Clinical testing for the new
25 strains for code adapted influence of vaccine has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been performed by the sponsor. As you recall, the
2 master seed virus is a 6:2 reassortant, which
3 contains six genes from the attenuated master donor
4 virus and two genes, the hemagglutinin and NA, of
5 the wild type strain, and these are formulated
6 annually.

7 The goal of this study was to test
8 attenuation of newer reassortant strains in humans
9 before incorporation to the trivalent preparation.
10 The primary objective was to assess the safety of
11 the new strain as demonstrated by similar rates of
12 fever, defined as oral temperature above 101, in
13 adults, CAIV, and placebo recipients from day zero
14 to seven. This was based upon the fever rates
15 observed in adults in 009.

16 For 2002 master virus seed vaccine
17 contained ten to the seventh TCID50s for B Hong Kong
18 in normal allantoic fluid. Plans, healthy adults
19 randomized four to one with safety monitoring from
20 day zero to seven, day zero to 14, and SAEs from day
21 zero to 28 with a six month follow-up included.

22 Reactogenicity events were predefined as
23 listed earlier. The study provided approximately 98
24 percent power to rule out a five percent absolute
25 increase in fever, assuming a rate of fever of less

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than one percent in the control group and that the
2 true difference between the groups was zero.

3 A total of 330 adults were enrolled.
4 Two hundred and sixty-four received the col adapted
5 vaccine, and 66 received placebo. We have the
6 initial safety phase data from days zero to seven.
7 For the rate of fever above 101, there was one cold
8 adapted recipient and no placebo subjects. The
9 confidence interval around this was minus 4.9 to
10 2.3.

11 Thus, the study met its primary endpoint
12 of less than five percent different. Also, there
13 was less than or equal to five percent difference
14 for runny nose and sore throat, which had been
15 statistically significant different in AV009.

16 Additionally, this study demonstrated
17 the feasibility of performing annual testing.

18 I will briefly review studies submitted
19 in support of efficacy. This will be abbreviated as
20 you heard much of it this morning.

21 First, 06 was the pediatric efficacy
22 trial, U.S., multi-centered two year, prospective,
23 double blind, randomized FluMist to placebo in a two
24 to one ratio in healthy 15 to 71 month old children.
25 It was initiated in the 1996-97 flu season with one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 does in the two dose regimen, with two doses given
2 60 plus or minus 14 days apart, both being
3 evaluated.

4 The primary endpoint was the first
5 episode of culture confirmed influenza any time, on
6 the day of, or after receipt of the second dose of
7 vaccine.

8 There were several additional secondary
9 endpoints which will not be discussed this morning.

10 At the time there was no H1N1
11 circulating in year one or year two, and thus, we do
12 not have field efficacy data for this strain. You
13 saw these data this morning, but just as a reminder,
14 there was H3N2 and B analysis performed for efficacy
15 against any strain, the number of culture positives.
16 You can see for those who received two doses it was
17 93.4 percent with tight confidence intervals. Those
18 enrolled in one dose was 88, eight percent with a
19 little wider confidence intervals reflecting the
20 small size, and for all randomized participants, the
21 efficacy was 92.6 percent.

22 Efficacy by age group was also
23 performed, and you can see for each group of
24 interest over 60 months of age against any strain,
25 the efficacy estimate was 90.6 with those confidence

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 intervals.

2 In year two, as I mentioned, 1,358
3 subjects returned. They received one dose of the
4 same study vaccine that they had received in year
5 one, i.e., they were not rerandomized.

6 The circulating strain that year, H3N2
7 was A/Sydney, and it was a variant from the vaccine
8 strain of A/Wuhan. So against all community
9 acquired influenza, there was 87.1 percent efficacy.
10 Against A/Sydney, a variant, there was 85.9 percent
11 efficacy with confidence intervals of 78 and 91.9.

12 An evaluation of efficacy by age group,
13 again, for subjects over 60 months of age -- and
14 remember they're now a year older -- efficacy
15 against any influenza was 86.9.

16 Because there was no circulating H1N1,
17 the sponsor performed a challenge study with a
18 subset of subjects from 006. The goal was to
19 compare viral shedding of vaccine strain, cold
20 adapted, monovalent, A/H1N1 in previous FluMist
21 recipients compared to previous placebo recipients.

22 A total of about 222 subjects, or 20 per
23 site, were challenged with A/Shenzhen, H1N1, five to
24 eight months after their year two vaccination. The
25 challenge vaccine was the same lives as the H1N1

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 used in the year two vaccine.

2 After challenge, viral shedding was
3 assessed on days one through four. The results
4 showed 82.9 percent protection against shedding of
5 the vaccine strain.

6 In the adult effectiveness trial,
7 healthy working adults 18 to 64 years of age were
8 randomized two to one to receive one dose of FluMist
9 or placebo. The primary effectiveness objective was
10 to show a smaller proportion of FluMist compared to
11 placebo recipients had any febrile illness, AFI,
12 during influenza outbreaks.

13 There were several additional secondary
14 endpoints and illness evaluations, including severe
15 febrile illness, SFI, febrile URI, FURI, CDC
16 influenza-like illness, which is defined as fever
17 plus cough or sore throat on the same or on
18 consecutive days.

19 Additionally, comparisons of
20 effectiveness for subjects under 40 and over 40 was
21 defined prospectively, and a comparison of
22 effectiveness for subjects under 50 and over 50 was
23 performed in a post hoc analysis.

24 This slide shows the effectiveness
25 against illness during influenza outbreaks for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 entire study cohort, looking at percent reduction,
2 FluMist compared to placebo. For AFI it was not
3 statistically significantly reduced. For SFI, FURI,
4 and CDC ILI, all three illness categories did have
5 significant reductions as you can see for the
6 FluMist recipients.

7 The rates of AFI associated events in
8 the total study cohort are shown on this slide. The
9 days of over-the-counter medication use, days of
10 antibiotic use had significant reductions. Days of
11 health care provider visits and missed work days for
12 AFI were reduced, though not statistically
13 significantly.

14 The number of subjects enrolled by age
15 group are shown on this slide. As you can see, for
16 the 50 to 59 and 60 to 65 year olds, they were a
17 smaller percentage of the overall study enrollment.
18 In the pre-specified analysis in over 40 and under
19 40, percent reduction for illness categories is
20 shown in this slide. It's 9.3 and for under 11.2
21 for over 40, and the differences with statistical
22 significance are shown by asterisk for FluMist
23 compared to placebo. There's no pattern. There was
24 a decrease under 40 for SFI and for CDC ILI, but for
25 those over 40, it's seen for FURI and CDC ILI.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In looking at subjects under 50 and over
2 50, again, asterisk shows significant decrease for
3 FluMist compared to placebo subjects, and for those
4 under 50 there was significant decrease for SFI,
5 FURI and CDC ILI. No significant decrease in any of
6 the illness categories were observed for subjects 50
7 to 64 years of age in this post hoc analysis in a
8 small number of subjects.

9 For AFI, SFI, FURI, and CDC ILI, though
10 there were no decreases in the occurrence of those
11 illnesses, subjects 50 to 64 years of age compared
12 to subjects under 50 did have greater reductions in
13 illness associated events of missed work days,
14 antibiotic use, and health care provider visits.

15 Additionally, a study of wild type
16 influenza challenge was performed in adults. The
17 objective was to assess the efficacy post challenge
18 with wild type influenza against laboratory
19 documented influenza illness in adult subjects.
20 this is FluMist compared to placebo and FluMist
21 compared to TIV, and TIV compared to placebo.

22 Laboratory documented illness included
23 symptoms of influenza with identified shedding of
24 wild type influenza and/or serologic response
25 defined as greater than or equal to a fourfold rise

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W
WASHINGTON, D.C. 20005-3701

1 in hemagglutinating antibodies to the challenge
2 virus.

3 Because there was a small number of
4 subjects evaluated, with about 30 in each group, the
5 laboratory documented illness for all strains
6 combined showed efficacy of FluMist against the
7 challenge of 85 percent and for TIV of 71 percent.
8 These were statistically significant.

9 However, the study was not powered to
10 assess efficacy against individual strains.

11 Efficacy conclusions. Efficacy against
12 culture confirmed influenza illness was demonstrated
13 after one or two doses in healthy children 15 to 72
14 months of age in year one and after revaccination in
15 year two.

16 Efficacy was demonstrated for children
17 in the subgroup of 60 months to 72 months in AV006.
18 These are the only efficacy data available for
19 children 16 months to 17 years of age. No field
20 efficacy data for H1N1 are available.

21 Effectiveness conclusions.
22 Effectiveness was not demonstrated in healthy
23 working adults against the primary endpoint of AFI.
24 It was observed against SFI, FURI, and CDC ILI in a
25 post hoc analysis of the CDC criteria.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 For the post hoc analysis of subjects
2 greater than 50 years of age, there were no
3 significant decreases in AFI, SFI, FURI or CDC ILI.
4 Efficacy against culture confirmed influenza was not
5 assessed in adults.

6 For safety conclusions, a total of
7 14,154 individuals, 60 months to 64 years of age
8 have been vaccinated with FluMist. There are few
9 subjects in the database over the age of 50 years.
10 An increased risk of asthma events was observed in
11 study AV019 in 12 to 59 month old subjects, but was
12 not seen in subjects over 60 months of age.

13 No significant increased risk for
14 pneumonia events was identified. Overall SAEs were
15 recorded in less than one percent of the subjects.

16 With this review I'd like to acknowledge
17 the great help of the clinical review team, Dr.
18 Douglas Pratt, Dr. Antonio Geber, and Dr. Wasima
19 Rida, our statistical reviewers. Our BLA committee
20 chairperson is Dr. Roland Levandowski.

21 I now present for the committee the
22 questions or would you like to ask me questions
23 first?

24 CHAIRMAN DAUM: I think we'd like to ask
25 you questions first and maybe begin --

1 DR. MINK: It was worth a try.

2 CHAIRMAN DAUM: We're awash in data here
3 and probably need some clarification, and we can
4 review these questions before we start the
5 afternoon's discussion.

6 DR. MINK: Okay.

7 CHAIRMAN DAUM: So let's go to the
8 committee for clarification questions at this point.
9 Dr. Edwards, Decker, and Stephens.

10 DR. EDWARDS: I have two questions. The
11 first is in assessing the reactive airway asthma
12 data, it's interesting that there does not appear to
13 be a temporal clustering of those, and if you look
14 at the time between zero and 14 days, there did not
15 appear to be more disease than if you extend it,
16 which may be a function of a healthy patient effect.

17 But I wondered what your thoughts were
18 about lack of temporal clustering. It seems that
19 the biologic basis of reactive airway disease would
20 have some temporal relationship to the
21 administration of vaccine. So that was the first
22 question I had.

23 DR. MINK: I don't have data to give you
24 an easy answer. The temporal clustering was
25 performed really primarily as post hoc, although

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there was some plans for it the subject in AV019, to
2 look at temporal clustering for events. So most of
3 the data for temporal clustering are from AV019.

4 It's my understanding though with some
5 respiratory viruses, such as RSV, that some of the
6 reactive airway effects are long seen after natural
7 infection and that they aren't necessarily
8 temporally clustered.

9 DR. EDWARDS: But RSV and flu are
10 different viruses. So okay.

11 The second question that I'm a bit
12 confused about is the age 50 years to 64 year data.
13 The ACIP has made recommendations in years with
14 plenty of vaccine that that is a group at high risk
15 or higher risk for influenza, and you just showed us
16 some data from the effectiveness study that would
17 suggest that perhaps the age 50 to 64 age group does
18 not have as much effectiveness as in the younger
19 group.

20 But then in a subsequent slide, you said
21 the effectiveness looks good in that. So I'm a
22 little confused in that. Could you please clarify
23 that?

24 DR. MINK: Sure. The analysis in the
25 subjects over 50 and under 50 was post hoc. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 study was designed, and I believe implemented before
2 the CDC recommendations for the over 50 age group
3 was in place. So in a post hoc analysis, we looked
4 at the over 50/under 50.

5 The confusion is for the occurrence of
6 the illness categories, AFI, SFI, FURI. There was
7 no decrease in the over 50 group for any of those
8 illness categories.

9 The next slide shows that for the
10 illness associated events, such as health care
11 provider visits, antibiotics, those events, there
12 seemed to be a decrease in the 50 to 64 years even
13 though there was no decrease in the occurrence of
14 illnesses.

15 Does that clarify for you?

16 CHAIRMAN DAUM: Thank you.

17 I have Drs. Decker, Stephens, Faggett,
18 Diaz, and Myers.

19 Dr. Decker, please.

20 DR. DECKER: Kathy asked the questions I
21 had about efficacy. I've still got some questions
22 about the safety data.

23 The occurrence of asthma in the younger
24 children, which although there's a question about
25 the cluster, it seemed as I saw the data you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presented and in the pre-read, it looked as though
2 this was popping up in multiple looks at the data,
3 making it more convincing it might be real.

4 But it also looked to me as though it
5 was only really being seen after the first dose and
6 wasn't an issue in the second dose. Did I see that
7 correctly?

8 DR. MINK: According to the data from
9 AV019, the statistically significant increase was
10 only after dose one. It was not significantly
11 increased after dose two in the 12 to 59 months or
12 the older children either.

13 DR. DECKER: Which led to a question in
14 my mind. It made me wonder if prior experience with
15 influenza virus perhaps through inactivated vaccine
16 would convert these first exposed children to second
17 exposed children. Are there any data on that?

18 Has there been any look at what happens
19 if you give the FluMist product to people who
20 previously received, and particularly to these young
21 children who have previously received the
22 inactivated product?

23 DR. MINK: I don't have data for a
24 subset of subjects who receive flu inactivated
25 vaccine and then subsequently received FluMist.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 However, I know some subjects in the database, you
2 know, going back in their histories had received
3 flu. I don't have a large enough or even a
4 comprehensive evaluation to tell you what the
5 reactogenicity profiles of those subjects are.

6 CHAIRMAN DAUM: Dr. Stephens, please.

7 DR. STEPHENS: My questions are somewhat
8 similar and deal largely with the over 50 group,
9 which I think is problematic for at least some of
10 us. I had a similar question to Dr. Decker, and I
11 think you answered it in terms of prior influenza
12 inactivated vaccine in that particular cohort. You
13 really don't have that information; is that right?

14 DR. MINK: I don't have the data
15 separated out by who received flu vaccine
16 previously.

17 DR. STEPHENS: As I understand this
18 group, we're talking about an n number of 511; is
19 that correct or close to?

20 DR. MINK: For FluMist.

21 DR. STEPHENS: For FluMist in the over
22 50 age group.

23 DR. MINK: In AV009, right.

24 DR. STEPHENS: In 09, which is the large
25 part of the data set for this particular -- so we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 talking about relatively small numbers in this
2 particular category.

3 DR. MINK: Right.

4 DR. STEPHENS: There was a VA study
5 mentioned earlier by the sponsor. It looked like
6 that study was withdrawn from the license
7 application as well as two other studies. Can you
8 comment on that?

9 I mean, that would be more data that I
10 think some of us would be looking for in this
11 particular group.

12 DR. MINK: Those subjects weren't in the
13 age cohort being requested, and they were not
14 healthy subjects. They had at least one risk factor
15 for needing inactivated vaccine. So they're not
16 included in the database in healthy individuals five
17 years to 64 years of age.

18 CHAIRMAN DAUM: Thank you.

19 Dr. Faggett, please.

20 DR. FAGGETT: Concerning the Texas HMO
21 trial, I'd like a couple of questions. Number one,
22 what population was studied there, and could you let
23 me know if the Medicaid population was included in
24 that study? That's the first part of the question.

25 DR. MINK: Yes. It was predominantly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N W

WASHINGTON, D.C 20005-3701

1 subjects from Temple Belt in Texas, with most of
2 them being seen at Scott & White Clinic HMO. About
3 80 percent of the subjects had Scott & White
4 coverage, but many of the other 20 percent were
5 Medicaid.

6 The ethnic diversity of the study was
7 representative of Texas.

8 DR. FAGGETT: And the purpose of the
9 study was to look at herd immunity, and the safety
10 information was secondary to that?

11 DR. MINK: In the original design.

12 DR. FAGGETT: Okay. So I'm just not
13 clear on what conclusions. The study raises more
14 questions than it answered. It would appear as if
15 the implication there is increased risk of asthma
16 and wheezing in young children from that study.

17 Is there any other conclusion I could
18 draw from that?

19 DR. MINK: I have the data presented
20 that there was no increase in MAARI events in year
21 one, but there was an increase in year two. The
22 year one started in August, and everybody finished
23 before flu season started. Year two started about a
24 month later, and not all of the subjects completed
25 their follow-up before flu started. So there's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lot of complicating factors in that study.

2 DR. FAGGETT: So it's really difficult
3 to draw a good conclusion from it.

4 DR. MINK: The conclusion that I
5 provided for you is that 9,500 or so doses were
6 given, and the rate of SAEs was comparable to the
7 randomized double blind trial of about .02 percent,
8 and that -- there was one more -- and that there was
9 no rare events associated with influenza identified
10 in that trial.

11 DR. FAGGETT: Yes.

12 CHAIRMAN DAUM: Thank you.

13 Dr. Diaz.

14 DR. DIAZ: Just two questions. In
15 reviewing the duration of shedding for the different
16 influenza strains, you made a comment about the H1N1
17 data, the range being out to 21 days, and yet that
18 was the last date that culture shedding data was
19 obtained.

20 DR. MINK: Right.

21 DR. DIAZ: Are we to assume that with
22 the H3N2 and the B strains since the range is three
23 to 17 and one and 15 that there's actually endpoint
24 data at 21 days to show that there was no shedding
25 at that time?

1 DR. MINK: Yes. Twenty-one days was the
2 last day cultures were obtained.

3 DR. DIAZ: Okay. Thank you.

4 And then perhaps my colleagues that have
5 raised questions about the concern about the
6 reactogenicity associated with the first dose
7 disappearing with the second dose and questions
8 about perhaps data about prior immunization with the
9 current influenza vaccines, the killed vaccines, I
10 just wanted them perhaps to clarify for me the
11 interest in having that data.

12 These seem to be very different vaccines
13 obviously, and presumably individuals especially in
14 the older age groups, if they hadn't had experience
15 with prior immunization, would certainly have had
16 experience with prior influenza. So is it the egg
17 product or what is the interest in the prior
18 immunization with the killed vaccine?

19 DR. DECKER: Well, I'll answer my
20 question. My thought was that if it's, in fact, the
21 infection with the vaccine virus in the FluMist
22 that's triggering in some small proportion of
23 children these reactive airway events, that in these
24 kids who were getting a two stage immunization, two
25 doses a month apart, if you first immunize them with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N W
WASHINGTON, D.C. 20005-3701

1 the killed vaccine, which should not trigger the
2 airway event, you may induce enough immunity that
3 they're protected from the reactive airway disease
4 when they get the dose of the live flu. So you may
5 get the best of both worlds and avoid this possible
6 reactive airway disease pathway.

7 So it's a speculative question, but
8 there are some other vaccine situations where we've
9 seen similar things happen.

10 CHAIRMAN DAUM: I really want to
11 interject at this point and return to the
12 presentation from the FDA about this FluMist
13 vaccine. This certainly is some interesting
14 speculation that if time permits we could explore
15 this afternoon, but we're not really talking about a
16 sequential schedule at this point. I'd like to
17 focus on this presentation right now.

18 Pam, were you done or did you have a
19 follow-up?

20 DR. DIAZ: I had a follow-up, but we can
21 do it over lunch and then see if we need to bring it
22 up.

23 CHAIRMAN DAUM: Perfect. Thank you.

24 Dr. Myers and then Dr. Eickhoff, Dr.
25 Edwards.

1 DR. MYERS: I think Dr. Faggett
2 addressed part of the issue. There are a lot of
3 issues with the 012 study, but to get at the issue
4 of timing and frequency of asthma and URI, whether
5 this is a normal rate of illness in the normal
6 population and so on, as I understand the analyses
7 that were done, we looked at comparison periods
8 before and remote to the immunization, and the only
9 data we've seen is relative risk and confidence
10 intervals. Could we actually see illness rates in
11 the comparison periods? Would that be of value, or
12 are you seeing so many problems with the study that
13 it wouldn't be?

14 DR. MINK: There are a lot of
15 comparisons, and there are several limitations to
16 the data. So I think it would be with caution for
17 interpreting the actual event rates.

18 CHAIRMAN DAUM: Thank you very much.

19 Dr. Eickhoff, please.

20 DR. EICKHOFF: A comment and a question.
21 My institutional memory of this committee is
22 probably as good as anybody's and is really better
23 than most, but it is difficult to remember any
24 occasion in which the sponsor's analysis of the data
25 and the FDA analysis of the data had such a high

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 level of concurrence. So that tells us something.

2 My question is, Mr. Chairman, not a
3 question of the FDA data, but a general question
4 which I'll be happy to save for this afternoon.

5 CHAIRMAN DAUM: I would prefer that, Dr.
6 Eickhoff, if that's okay with you. I think we
7 really want to focus on debriefing all of the
8 aspects of this that we need to hear about, but
9 please don't throw your comment away and please
10 return to it.

11 I have Dr. Edwards, Gellin and Goldberg.

12 DR. EDWARDS: I want to just make a
13 comment that I think some studies that we did almost
14 two decades ago now that were funded by NIH that
15 looked at John Maassab's product, not the FluMist
16 product, and subsequent studies have shown very
17 clearly that the more experience that you have had
18 with a flu strain, either with inactivated vaccine
19 or with subsequent infections, it appears that the
20 titer of cold adapted virus that would grow in
21 people that have had less immunity is different than
22 in people who had more immunity.

23 So I think it is relevant and makes
24 wonderful biologic sense that the first dose, if it
25 was going to be more reactive, would be because the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 titer of virus would be greater than the second
2 where you would have some preexisting immunity and
3 the titer would be less.

4 And I think that's what's being shown
5 also in the reactogenicity data in the young
6 children as opposed to the older people. So I think
7 it is biologically relevant, and I think it sort of
8 addresses Pam's question, but would also make it, I
9 think, more comforting that if you had been
10 previously primed with an activated vaccine and then
11 followed cold adapted; that I would anticipate there
12 would be less of an issue with reactogenicity than
13 with more.

14 CHAIRMAN DAUM: Thank you very much.

15 Dr. Gellin.

16 DR. GELLIN: Let me just follow on to
17 Kathy's question and ask a different one.

18 Was there a correlation between
19 reactogenicity and shedding?

20 DR. MINK: Actually that question was
21 asked this morning, and as I recall Dr. Connor said
22 he didn't have data directly assessing that, with
23 the primary reason being that most of the concerning
24 adverse events were identified after the studies
25 were completed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C 20005-3701

1 Shedding was only routinely performed in
2 four of the trials. AV002 was one of the very early
3 trials, and AV011, which was the challenge study,
4 and then the Wyeth transmission study.

5 I guess it's still possible to look at
6 reactogenicity data compared to the shedding for
7 those subjects, but that has not yet been done.

8 DR. GELLIN: And then just a point of
9 clarification, in the many slides you showed us on
10 the SAEs your conclusion was no pattern seen among
11 the various events. Would you elaborate another
12 half sentence on that?

13 DR. MINK: Yes. Actually in your
14 briefing document, I provided a line listing of all
15 the SAEs for year one and year two in AV019, a total
16 of what is it, 24 events? And there is really no
17 pattern.

18 Some of them were accidents. Some of
19 them were, you know, surgery for a toe injury.
20 There's a collection.

21 CHAIRMAN DAUM: Dr. Goldberg, please,
22 and then Dr. Snider.

23 DR. GOLDBERG: Can you just clarify for
24 me? You said that 20 vaccine strains were isolated
25 from ill FluMist subjects and that there was no

1 reassortment in those 20 strains. Does that make
2 you feel comfortable that we don't have to worry
3 about this?

4 DR. MINK: Dr. Levandowski, would you
5 like to comment about the reassortment from the 20
6 ill? Is he still here?

7 DR. GOLDBERG: I mean, I can clarify my
8 question a little more.

9 DR. LEVANDOWSKI: I'm Roland
10 Levandowski. I'm with the Center for Biologics
11 also.

12 I don't know that there's much of a
13 comment that we can make on that. There's a small
14 number of strains. It's the information that's
15 available to us, and to the extent that that says
16 that reassorting doesn't occur, I think that's the
17 only conclusion we can draw from that small sample
18 that we see. It's reassuring, but we can't
19 necessarily predict that some event couldn't occur
20 in the future.

21 DR. GOLDBERG: My concern is that
22 observing nothing in 20 could still be compatible
23 with a fairly substantial underlying rate, and I was
24 wondering if you had any further data or from other
25 models or animal work or the sponsor perhaps.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LEVANDOWSKI: I think that was
2 addressed by the sponsor also this morning in
3 talking about seeing reassorting occurring between
4 the cold adapted strains within the vaccine
5 recipients. There is some evidence to suggest that.

6 And we know from natural occurring
7 influenza, one example this past year, the
8 appearance of H1N2 influenza viruses is a natural
9 reassorting event between the HAN1 strains that have
10 been circulating and the H3N2 strains that have been
11 circulating.

12 So reassorting events would be expected
13 to occur, but there's no evidence that we have that
14 that's occurred with the cold adapted strain.

15 DR. GOLDBERG: Thank you.

16 CHAIRMAN DAUM: Dixie.

17 DR. SNIDER: Yes. Two comments. One,
18 my recollection, and Nancy can correct me, Roland,
19 if I'm wrong, but the H1N1 issue, of course -- I
20 mean, the statement is true that there's no field
21 efficacy data for an H1N1, but the fact is there
22 hasn't been much H1N1 circulating.

23 So to try to get that data we don't know
24 how long we'd have to wait, and so I think, you
25 know, this year maybe, maybe two years, who know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 when? So, you know, the challenge data may be the
2 best surrogate.

3 The question I had is, or maybe it's a
4 reflection, is that, you know, I certainly think
5 the comments that have been made about the use of
6 inactivated vaccine in advance of using FluMist may
7 have some merits, but there's also the issue that is
8 not addressed in the database we have unfortunately,
9 at least not that I'm aware of, but I have a
10 question about it, and that is natural infection
11 causes reactogenicity, runny noses, and I would
12 presume precipitates asthma attacks, maybe even more
13 severe.

14 So one of the potential things that
15 could be happening here with the vaccine strain is
16 that you're eliciting mild flu symptoms with an
17 attenuated strain, and hoping that you're trading
18 off those milder episodes of reactogenicity and
19 milder episodes of asthma for more severe episodes.

20 I realize that may be hypothetical, but
21 the question for the sponsor and FDA is is there any
22 data in any of these databases that might support
23 the hypothetical statement I just made.

24 CHAIRMAN DAUM: Do you want to answer
25 that briefly? It's sort of an afternoon question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MINK: Okay. Then I'll see --

2 (Laughter.)

3 DR. SNIDER: Okay. I yield, Mr. Chair.

4 CHAIRMAN DAUM: Well, perhaps we can
5 save it.

6 DR. SNIDER: I can eat, you know, now.

7 CHAIRMAN DAUM: No, we've got to address
8 this, and there's at least three committee members
9 that would like to hear a discussion about it, but
10 would you be upset if we deferred it until after we
11 came back?

12 DR. MINK: I wouldn't.

13 (Laughter.)

14 CHAIRMAN DAUM: My goal here is to try
15 to get us to lunch at 12:15, and Dr. Katz is waiting
16 to speak. So we'll hear from him, and then I think
17 we'll hear from Ms. Fisher, and then we'll take a
18 lunch break.

19 DR. KATZ: I guess mine is more a
20 generic question. We've heard about from both the
21 FDA and the sponsor about reactogenicity,
22 effectiveness, efficacy. I've heard nothing about
23 antibody data, and I'm wondering have those
24 disappeared from our radar screen. What is their
25 relevance?

1 Basically, you know, if we're looking at
2 some of the old experience, you know, and initial
3 exposure to given antigens or epitopes, and then
4 second, with new strains of influenza coming along
5 presumably in the cold adapted vaccine or any
6 others, you may reinforce the antibody response of
7 your primary, but your new ones may be less robust,
8 which is the current adjective people seem to use.

9 Do we have any antibody data or do we
10 consider them important anymore?

11 DR. MINK: We do have antibody data.
12 Those were presented last year, and we elected not
13 to revisit the serologic profiles this year. The
14 primary reason we elected not to revisit serology
15 this year is that no correlate has been identified
16 following FluMist. Actually probably, depending on
17 who you ask in the room after an activated vaccine
18 with without a clear correlate for serology and even
19 more of a quagmire is perhaps the local immunity,
20 nasal immunity, that we chose not to revisit the
21 issue this year.

22 CHAIRMAN DAUM: Ms. Fisher.

23 MS. FISHER: The subject with
24 transmitted vaccine virus had flu illness symptoms.
25 How confident are you from this data that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D C. 20005-3701

1 transmission rate would only be about five percent
2 if the vaccine was used on a widespread basis.

3 DR. MINK: The data I presented are the
4 only data that I have estimating the rate of
5 transmission or the probability of transmission, and
6 in our conclusion we felt most comfortable saying
7 that transmission does occur, but at this time we
8 can only estimate a crude rate.

9 CHAIRMAN DAUM: I feel confident we will
10 return to the issue of transmission this afternoon.

11 We're going to take a lunch break of 60
12 minutes duration. There are two choices within the
13 hotel: Allie's American Grille or the Lobby Lounge.
14 Good luck, everybody, getting served.

15 We will convene at 1:15 sharp with the
16 open public hearing and go right on to the
17 afternoon's discussion.

18 (Whereupon, at 12:18 p.m., the meeting
19 was recessed for lunch, to reconvene at 1:23 p.m.,
20 the same day.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

AFTERNOON SESSION

(1:23 p.m.)

1
2
3 CHAIRMAN DAUM: Can everybody please
4 take the seats and call the meeting to order for
5 this afternoon?

6 Is Dr. Sachs in the room?

7 While we're waiting for Dr. Sachs to
8 come I'd like to correct for the record an issue
9 that came up this morning. During Dr. Young's
10 presentation, he kindly attributed to me the
11 benefits of having done some studies on influenza
12 virus as it relates to FluMist. However, wrong guy.
13 I'm not the person who did those studies, have never
14 done studies with influenza virus or FluMist or else
15 I would not be sitting here today.

16 Those studies were done by Dr. Maassab,
17 and the record should show M-a-a-s-s-a-b is the
18 person who performed those studies.

19 Thank you very much for lengthening my
20 CV, but I can't accept that at this moment. We now
21 -- or any other moment. It's my last meeting.

22 We're now going to convene the
23 afternoon's business and begin with the open public
24 hearing. I'll turn the floor over to Dr. Sachs for
25 that portion of the meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE , N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SACHS: This is the point where
2 anyone from the public is welcome to speak briefly.
3 No one has requested time from me earlier, but
4 you're more than welcome now, you know, to come up
5 and announce yourself.

6 If there's no one who wishes to speak
7 publicly, I'm going to close the open public hearing
8 and resume our meeting.

9 Thank you.

10 CHAIRMAN DAUM: Okay. What I'd like to
11 do is our style in the committee is to allow the
12 committee to explore whatever issues initially
13 interest them that arose from this morning's
14 presentations.

15 I think in order to understand what
16 field we're playing on it might be good to ask Dr.
17 Mink if she's here to put the questions up briefly
18 so that we can just see them, and then we'll have
19 committee members as they wish raising issues of
20 their pleasure.

21 DR. MINK: Question one for the
22 committee is for safety and for a vote.

23 One (a), are the data adequate to
24 support safety of FluMist for individuals five to 17
25 years of age, 18 to 49 years of age, 50 to 64 years

1 of age?

2 Please consider data related to
3 respiratory events, such as asthma and URI, shedding
4 and transmission of the vaccine strains following
5 receipt of FluMist, and annual revaccination data.
6 If the data are not adequate for specific age groups
7 or there are other safety concerns, please discuss
8 what additional should be requested.

9 Question two, efficacy for a vote. Are
10 the data adequate to support efficacy of FluMist in
11 individuals five to 17 years of age, 18 to 49 years
12 of age, 50 to 64 years of age?

13 If the data are not adequate for
14 specific age groups, please discuss what additional
15 data should be requested.

16 Discussion point number three. Clinical
17 studies for release of new strains. Please comment
18 on the design and endpoints for the clinical studies
19 performed in adults for the release of new strains.

20 Discussion point four. For additional
21 studies, if the data are adequate to support safety
22 and efficacy, please discuss what additional
23 information, if any, should be requested from post
24 marketing studies.

25 That's all.

1 CHAIRMAN DAUM: Thank you very much,
2 Chris.

3 So the first two questions are going to
4 need a committee vote. The third and fourth
5 questions are discussion questions, and so we can
6 leave the questions now and digress really to issues
7 that committee members would like to explore based
8 on this morning.

9 So, Dr. Stephens, would you start us
10 off, please? Then Dr. Cox.

11 DR. STEPHENS: Could we clarify that the
12 questions relate to healthy individuals? Is that
13 correct? So the word "healthy" is a part of it?

14 DR. MINK: The word "healthy" should be
15 in the slides, yes.

16 CHAIRMAN DAUM: That's an important
17 clarification, David. Thank you.

18 Dr. Cox.

19 DR. COX: Yes. With regard to the
20 question about transmissibility, I'm wondering why
21 investigators were not able to obtain the original
22 clinical specimens from those individuals who were
23 believed to shed influenza viruses and then just PCR
24 and sequence the viral nucleic acid to find out if
25 those were actually wild type or cold adapted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 viruses.

2 CHAIRMAN DAUM: Okay. For this question
3 we will turn to the sponsor. Do you care to respond
4 to that?

5 Please identify yourself. Thank you.

6 DR. COELINGH: Dr. Coelingh from
7 Medimmune.

8 The nasal swabs, Nancy, that were taken,
9 I'll just go through how the study was done. The
10 nasal swab specimens were taken in Finland, and they
11 were inoculated onto MDCK cell cultures in
12 duplicate. One of those cultures was used for
13 immunostaining with monoclonal antibodies to
14 identify whether there was an influenza virus
15 present in that specimen.

16 The other specimen was frozen and sent
17 to Medimmune where we did phenotypic analysis,
18 genotype, et cetera, and subtype analysis to
19 identify what type of strain was in the specimen.

20 Okay. Those specimens were frozen and
21 sent to Medimmune for further analysis. Some of
22 those viruses we could not identify a virus in that
23 isolate.

24 And to go on to the rest of your
25 question, in the ones that we couldn't identify any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N W
WASHINGTON, D.C. 20005-3701

1 virus to subtype, we went back and inoculated those
2 into eggs, and we blind passaged in both MDCK cells
3 and Rhesus monkey kidney cells and had HAs on those
4 and also tried to RTPCR virus using primers specific
5 for the M and the MP genes, and we were unsuccessful
6 at doing that even though all of the controls worked
7 appropriately.

8 CHAIRMAN DAUM: Thank you very much.

9 Dr. Eickhoff, please.

10 DR. EICKHOFF: Let me readdress the
11 question that was on my mind earlier this morning
12 and I think on Dixie Snider's mind as well, and that
13 relates to the general area of wild type influenza
14 and asthma in healthy children in the one to five
15 year old age group.

16 I know that Ken McIntosh 25 years ago
17 did some studies of wild type influenza in children
18 at National Jewish Hospital, and so these were
19 children with preexisting airways, disease, and I
20 don't remember the exact nature of it, but not
21 surprisingly influenza exacerbated their reactive
22 airways disease at least as measured by pulmonary
23 function tests.

24 But what do we know about wild type
25 influenza and asthma related events in healthy

1 children in that age range?

2 Any pediatrician on the panel may
3 respond to that.

4 CHAIRMAN DAUM: Kathy, would you like to
5 start that response?

6 DR. EDWARDS: I think the data that I
7 can recall most quickly are data that were generated
8 from a database, the TennCare database, which
9 although it doesn't fund doctors well at all, it
10 does create a database that you can query in terms
11 of events.

12 And in some data that was published in
13 the Journal of Pediatrics or Pediatrics the year
14 before last, Kathy Neuzil looked very carefully at
15 the TennCare database and showed that the numbers of
16 visits to doctors' offices and individuals that had
17 previously been given either bronchodilators or had
18 an ICD-9 code of asthma was significantly increased
19 during the flu season when it was compared with
20 other individuals.

21 So obviously that's retrospective data,
22 but I think showed quite clearly that children with
23 asthma during flu season will have more encounters,
24 more wheezing episodes, more illnesses than those
25 who do not.

1 CHAIRMAN DAUM: Thank you.

2 Other committee members? Dr. Snider.

3 DR. SNIDER: Well, I just would add as
4 an allergist-immunologist who tries to keep up with
5 the literature, I think there is, just to build on
6 what Kathy said, an increasing appreciation of the
7 role of the number of respiratory viruses in
8 triggering episodes of asthma. So I think the idea
9 that natural influenza infection would be
10 responsible for triggering episodes of asthma in
11 children who are so predisposed is not only
12 hypothetically and biologically plausible, but has,
13 indeed, started to gain scientific evidence base.

14 CHAIRMAN DAUM: I'd like to throw out a
15 couple of questions just for comment. One of them
16 was inspired by a comment that Dr. Katz made this
17 morning, and that is that supposing there is
18 transmission, the first question is is that a good
19 thing or a thing that we should be concerned about.

20 The model of OPV was mentioned this
21 morning as one kind of vaccine that did have
22 transmission in one part of it's life in this
23 country. That was a helpful thing in terms of
24 preventing polio. Is that a good thing?

25 And then the second thing is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 transmission to whom exactly, and what do we need to
2 know, if anything, more than we do now about what
3 kinds of recipients, hosts the viruses might be
4 transmitted to? And where are we in terms of our
5 understanding about that?

6 Those are two questions that I would
7 love -- I don't know the answers. If people would
8 comment about them. Does anyone want to speak
9 directly to them? Dr. Overturf?

10 Dr. Markovitz, I've got you in line when
11 we change topics, but I'd like to explore this just
12 a little bit first.

13 DR. OVERTURF: Actually I think it's a
14 major issue, and I agree with Dr. Myers' comment
15 this morning that it seems to me that when you move
16 the shifting of the vaccine program, and we actually
17 have shifted with this vaccine a concern using large
18 amounts of vaccine in otherwise normal, healthy
19 individuals, and before the issue had been primarily
20 giving to children who were at higher risk and
21 elderly adults.

22 But when you do it in this population, I
23 would like to have more information about how the
24 vaccine virus -- what it will do in a large, open
25 population in terms of transmissibility to others

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and whether that transmissibility actually increases
2 the hazard to populations that we really haven't
3 addressed, like asthmatics, patients with COPD,
4 patients who are smokers, and a lot of other issues.

5 And I don't think that the data that we
6 have now really addresses those issues because it's
7 too small. But I agree that moving it from a
8 population of high risk, moving it from a situation
9 where you're protecting high risk populations to
10 healthy populations, the issue is are we going to
11 actually incur more problems than we actually solve
12 in that setting.

13 I think that needs to be addressed, the
14 safety of the vaccine. When I was going back over
15 the historical data that was provided by the
16 sponsors in terms of persistence of virus, there was
17 very little data particularly in the population over
18 50, again, about how long the virus is there and if
19 it's transmitted in that population, and I think
20 that's an important question.

21 CHAIRMAN DAUM: Thank you.

22 Could we keep the discussion on this
23 issue for a few minutes?

24 Dixie and then Pam.

25 DR. SNIDER: Well, just to build on what

1 Gary just said, I think one other thing to throw in
2 that mix is whether those other populations have
3 received inactivated vaccine and how that might
4 impact on whether they have any adverse outcomes as
5 a result of this transmission is, again, something
6 we don't know, but is important to know because
7 there may be a way if there is significant
8 transmission, and there were these adverse events
9 that would occur in individuals, it's conceivable
10 that they could be attenuated if the high risk
11 individuals received inactivated vaccine before they
12 were exposed to the attenuated vaccine.

13 CHAIRMAN DAUM: Dr. Diaz.

14 DR. DIAZ: Likewise that was the major
15 reason for my question earlier about in household
16 transmission, was the concern about potential high
17 risk individuals within a household setting where a
18 healthy individual in that household may actually be
19 immunized with this vaccine virus.

20 And likewise I was not necessarily
21 reassured, and perhaps the manufacturer can comment,
22 if there were household transmission studies done
23 with that particular interest in mind, i.e.,
24 transmission to high risk individuals.

25 And finally, the issue about shedding in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 children out to 21 days, likewise if there's any
2 data or should there be data about potential
3 shedding in higher risk individuals who may shed a
4 much longer period of time.

5 CHAIRMAN DAUM: Does the manufacturer
6 wish to respond to the question of Dr. Diaz?

7 You can use either this mic or that mic,
8 totally your choice. Easily solved.

9 DR. CONNOR: Let me for a moment try to
10 just put into perspective some of our thinking about
11 the transmission issues.

12 First of all, I think as we mentioned
13 earlier this morning there are some data that look
14 at transmission in the historical studies. Those
15 were referred to earlier. There are a number of
16 studies that have looked in various settings,
17 including household contacts and husband-wife pairs,
18 that have not been able to demonstrate transmission
19 in that setting, although obviously those were
20 smaller studies than these are.

21 DR. DIAZ: And not necessarily in a
22 setting where that household partner is a high risk.

23 DR. CONNOR: Is a high risk patient,
24 right, although there have been high risk patients
25 studied in that population, including patients that

1 in normal populations of families, but not
2 specifically targeted at the high risk populations.

3 The other sort of factors to think about
4 are that there are a number of factors that are
5 going to influence vaccine virus transmission
6 obviously. They're going to be either the frequency
7 of virus shedding; how many people in the population
8 are actually shedding virus; the level and the
9 duration of shedding; the susceptibility of the
10 contacts as referenced to the prevalence of TIV in
11 vaccination in which you've got seropositive
12 contacts shedding for shorter periods of time and
13 the intensity of the contact.

14 And if you then just think about the
15 next issue, which is that the inoculum of the shed
16 virus is obviously much less than the inoculum of
17 the vaccine administration so that you're actually
18 getting less virus in a transmission situation than
19 you're getting in active vaccination, but there's a
20 low probability of transmission. How you calculated
21 the probability is quite low. Our estimates based
22 on the Reed-Frost model would be that it would be
23 approximately .006 or so.

24 We've also done some work with Ira
25 Longini who has looked at the population modeling of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the FluMist transmission models, and using the
2 transmission rates that are the 006 rates, if you
3 calculate the reproductive number associated with
4 this virus, it turns out to be well less than one.

5 So, in effect, mathematically it's
6 implausible that the transmission of the vaccine
7 virus would actually represent any more than some
8 secondary transmission, but it wouldn't persist in
9 the population is what those calculations tell us.

10 And there obviously then is a low rate
11 of transmission in the older kids and in adults
12 compared to the children in the day care settings
13 that were studied.

14 I think for most of us -- George, the
15 next slide for a second -- this just illustrates
16 something that I've shown before, but maybe we can
17 go to the next one first, which I think what we're
18 talking about are what are the consequences of
19 transmission. I mean, what are the problems if
20 transmission actually occurs, and I think I've
21 already addressed the first one, which is there's a
22 risk to the population, and we should understand
23 what that is in terms of the risk of transmission.

24 Ira Longini suggests to us that that is
25 not possible to perpetuate the CAIV virus in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 population.

2 The other individual questions are what
3 is the risk to individuals, and the individuals of
4 interest are either an immunocompetent host so that
5 the transmission occurs in the household or
6 someplace else, to another person who is
7 immunocompetent. A couple of things are going to
8 happen, not the least of which is that that person
9 is going to respond to the vaccine, and they're
10 going to shut off virus shedding.

11 So at worst transmissions with
12 immunocompromised hosts would be equivalent,
13 although it would actually be less because the dose
14 would be less, than giving that person FluMist.

15 The immunocompromised individuals, a
16 setting in which what one thinks about, are first of
17 all the virus is temperature sensitive. So
18 presumably transmission to an immunocompromised host
19 going to produce an upper respiratory tract
20 infection, and that it would remain limited to the
21 upper respiratory tract by and large, but prolonged
22 shedding would probably happen. The immune response
23 would not allow the virus to be shut off quite as
24 readily in an immunocompromised host than in a
25 immunocompetent host, and that would allow -- and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 obviously there are antivirals that could be used to
2 treat such situations.

3 And the other possibility, as folks were
4 mentioning, is so what's the probability in an
5 asthmatic. Again, if you think about the risk, it
6 is at least equivalent or no worse than the data
7 that we provided to you about FluMist.

8 So you may see some low frequency of
9 wheezing and exacerbation and that is balanced
10 against the benefit of the vaccine which prevention
11 of wild type influenza in the first place.

12 And if you're interested, we have
13 thought a bit about so what is the -- I don't know.
14 For me medically, I sort of have to put that into
15 some context. What's the actual risk that something
16 would happen in the population?

17 If you think about that and just go on,
18 this is the kind of way in which we've been thinking
19 about it. The first is that you have to make
20 certain assumptions in a simplistic kind of modeling
21 approach, and the assumptions would be that the
22 inoculum from the transmitted virus was equivalent
23 to giving the virus. I mean, that's what we know is
24 what would happen under those circumstances.

25 If you assume that the transmission rate

1 in the household setting or in the school setting,
2 here we did it for thinking about an
3 immunocompromised person in school; that the
4 transmission rate would be what was in day care.
5 That's the worst case scenario. It clearly would be
6 better than that in other circumstances.

7 And if you estimate the number of
8 immunocompromised hosts that are out there in the
9 school age population in a six year or seven year
10 school situation, the prevalence if you add up the
11 cancer transplant, chronic steroids, HIV, congenital
12 immunodeficiencies that would happen in that
13 population would be about .0015 percent. The rate
14 is about that.

15 If you assume that everybody was fully
16 immunocompromised so they continued to shed virus
17 and they were all in school, which is obviously an -
18 - these are all very conservative kind of estimates
19 -- the calculated risk of transmission based on the
20 day care transmission rate would be something like
21 .0000009. So the rate is low in those kind of
22 settings that an individual would transmit to an
23 immunocompromised host in the school system, and
24 it's likely to be less than that because, in fact,
25 the inoculum is not equivalent to the vaccine dose,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and there are other characteristics.

2 And you can go through those same kinds
3 of exercises for asthmatics and look at excess risk
4 associated with the population also, and just the
5 next slide, George.

6 You go through the same sort of
7 analyses, making certain assumptions, and if you
8 assumed that the asthma wheezing risk was as bad or
9 what it was in the patients that were less than 60
10 months and the history positive patients, you'd
11 calculate a risk of excess asthma and wheezing that
12 also would be quite low.

13 So I don't know if that helps. There
14 obviously is a lot of assumptions built into that
15 kind of thinking, but it gives some kind of context
16 in which to think about sort of the medical
17 circumstances in which those things would happen.

18 DR. YOUNG: Dr. Daum, can I make one
19 more comment?

20 CHAIRMAN DAUM: Please. A succinct one.

21 DR. YOUNG: Okay. George, can you just
22 put up that table?

23 I want to just clarify something for
24 everybody because we talk about transmission is
25 transmission and it's the same in everyone. We need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to look at some of the fundamental shedding
2 infectivity data for different populations that we
3 know about with CAIV.

4 Ed showed this slide early on. In
5 children you see much higher levels of shedding when
6 you infect them with CAIV. Their peak titers are
7 two to three logs of virus, and their duration of
8 shedding is four and a half to nine days.

9 Adults shed less. They shed far less
10 virus. You can see this one to two to three logs
11 lower, and the duration of shedding is only one or
12 two days.

13 So when we're talking about adults who
14 get immunized with this vaccine, they're making a
15 lot less virus for a shorter period of time than day
16 care kids are shedding where they're seronegative.

17 And then look at the infectivity when
18 you do challenge studies with different strains of
19 CAIV. You need two and a half to four and a half
20 logs of CAIV to infect the child. You need five to
21 six and a half logs to infect a human.

22 So if you just think about --

23 (Laughter.)

24 DR. YOUNG: Sorry. To infect an adult.

25 CHAIRMAN DAUM: There you go again.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. YOUNG: There I go again, Dr. Daum.

2 (Laughter.)

3 DR. YOUNG: These were studies Dr. Daum
4 did -- only kidding, only kidding.

5 If you look at how much a child sheds,
6 it's virtually impossible for them to infect an
7 adult. If you look at how much an adult sheds, they
8 can't infect a child or an adult with the amount of
9 virus that's being shed. So the likelihood of
10 transmission from adult to adult, adult to child, or
11 child to adult is very low, very much lower, for
12 sure than child to child where they're seronegative.
13 They're making more virus. They're more infectable.

14 So don't think about transmission as the
15 same from person to person, whoever get the vaccine.
16 I think you need to remember that that's the case
17 with this virus. It's different than wild type
18 where you're getting more levels of shedding than
19 you do with CAIV.

20 CHAIRMAN DAUM: Dr. Young, I think you
21 had too much sleep last night.

22 (Laughter.)

23 CHAIRMAN DAUM: We're going to take one
24 question from Dr. Steinhoff about -- oh, could you
25 leave that on, whoever took it off? -- about this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very slide.

2 Dr. Steinhoff, this very slide.

3 DR. STEINHOFF: It's about the previous
4 slide because I just wanted a clarification, and
5 others may all understand this, but I don't quite
6 understand how you use that probability number from
7 the Reed-Frost calculations.

8 You quoted a number somewhere between
9 .006 and .04 as a probability, and I'm gathering,
10 but I don't know; perhaps you could explain. Is
11 that the probability derived from the study in
12 Finland of a single excreter infecting at least one
13 other person in that setting? Is that what that is
14 a probability of?

15 I'm not sure. I mean, what's the
16 numerator and what's the denominator?

17 DR. KOHBERGER: Bob Kohberger from
18 Wyeth.

19 That transmission probability is one to
20 one, one infected, one susceptible. Yes, that's
21 susceptible, becoming infected.

22 DR. STEINHOFF: That means then you'd
23 have to multiply this by a variety of factors, how
24 many shedders you have and how many susceptible you
25 have, which then brings in all of the other factors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of how many people are immunized and how many are
2 susceptible in actual contact; is that correct?

3 DR. KOHBERGER: That is true. If you
4 have 100 infected and one susceptible, they're more
5 likely to get infected than one to one.

6 DR. RIDA: Might I offer a suggestion?

7 Wasima Rida, Biostatistics at CBER.

8 The basic reproduction number is a
9 product of that transmission probability times the
10 expected number of contacts in an entirely
11 susceptible population. That's the formal
12 definition.

13 So to calculate the basic reproduction
14 number, you would have to know what is the expected
15 number of contacts a FluMist vaccinee would come
16 into contact during their infectious period. So
17 that's basically what a basic reproduction number
18 is.

19 CHAIRMAN DAUM: Thank you.

20 I think that was a clarifying
21 discussion.

22 Dr. Hamilton.

23 DR. MINK: Dr. Daum.

24 CHAIRMAN DAUM: Dr. Mink.

25 DR. MINK: Actually on the table, can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you show that again, please? That one.

2 Please can you tell us are those subject
3 FluMist recipients or does this include all of the
4 historical experience, NIH, et cetera, for -- okay.
5 Thank you.

6 CHAIRMAN DAUM: All right. So we'll try
7 to move on. This has been very helpful though. I
8 think it has clarified some issues and some
9 uncertainties perhaps.

10 Dr. Hamilton, you've been patient. Then
11 Dr. Steinhoff and Katz.

12 DR. HAMILTON: Just one more question.
13 When child is used, does it refer to everyone under
14 17? Is any data on this broken out between a five
15 and five to 17?

16 CHAIRMAN DAUM: Would the sponsors care
17 to respond to that?

18 DR. HAMILTON: When you use the word
19 "child," what age groups are you referring to?
20 Because we're asked to opine on one to five years
21 and then five to 17 years.

22 CHAIRMAN DAUM: I don't think we're
23 going to be asked to opine about one to five. Maybe
24 we could see the table again that Dr. Hamilton is
25 trying to explore here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HAMILTON: So children is one to 17,
2 and we don't have data broken out for the five to 17
3 year olds, and that's what we're asked to opine on.

4 CHAIRMAN DAUM: Can you come to the
5 microphone? Tell us who you are.

6 DR. MURPHY: My name is Brian Murphy.

7 CHAIRMAN DAUM: Any connection to
8 FluMist, please?

9 DR. MURPHY: Excuse me. My name is
10 Brian Murphy. I'm from NIAID.

11 We did these studies. The children here
12 are young children generally, approximately six
13 months to three years of age, who were chosen to be
14 seronegative to the virus that was given. So they
15 represent basically immunological versions.

16 So those HID50s are basically what you
17 would see, the infectivity titrations of viruses in
18 individuals who have no immunity to the virus. The
19 adults are people who are age 16 to probably 40 or
20 50 at the time these studies were done.

21 There's one other point I just wanted to
22 make. The question of household transmission that
23 you had talked about. This virus has been given.
24 The Influenza A virus has been given to two month
25 old children, to very young infants, and was shown

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to be safe in that age group.

2 Conversely, it has also been given to
3 very old individuals, definitely greater than 65
4 years of age at ten to the seven in both cases, and
5 it has been shown to be safe in those populations.

6 So even if it does transmit, if it
7 transmits to individuals at the very ends of the
8 spectrum of age, the virus should be safe in those
9 rare cases of transmission if it occurs.

10 CHAIRMAN DAUM: Dr. Katz, we're staying
11 on this transmission issue for now. Is your --

12 DR. KATZ: Yeah, I'd like to set this
13 into an appropriate context. We're spending all of
14 this time talking about transmission of an
15 attenuated virus. We're not contrasting it with the
16 transmission of wild virus. It's a matter of
17 education, not of science, of how we use influenza
18 vaccine. All of these household contacts, all of
19 these high risk people by our current recommendation
20 should have been immunized with trivalent
21 inactivated vaccine.

22 So the issue becomes moot if we have
23 program that work, if we educate health care people,
24 if we educate the public.

25 So I think we're losing perspective in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 focusing so on transmission of the attenuated virus.
2 We're not even contrasting it with what happens with
3 the wild virus if a child brings it into the home or
4 if a day care person.

5 All of the routes of transmission we're
6 talking about, we're trying to interrupt the
7 transmission of wild virus. I don't think we're
8 appropriate in placing such emphasis on the
9 transmission of attenuated virus.

10 CHAIRMAN DAUM: Dr. Stephens and then
11 Dr. Snider. We're staying on this subject till
12 we're finished with committee interest, and then
13 we'll move on.

14 DR. STEPHENS: It would at least seem to
15 me on the surface that the 019 trial where you have
16 this Kaiser database of families could be useful in
17 assessing some of the questions at hand in that
18 would it be -- and maybe it has not been done -- but
19 it would be helpful to have looked at the family
20 issues of asthma or other respiratory illnesses or
21 other events occurring in families of the children
22 with this database.

23 And my question is really to the
24 sponsor, whether that was looked at at all to help
25 address this particular transmission issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Dr. Mendelman.

2 DR. MENDELMAN: Paul Mendelman,
3 Medimmune Vaccines.

4 Specifically in the 019 trial it was
5 not addressed. However, there was an intriguing
6 analysis in the pivotal efficacy trial, AV006, Dr.
7 Belshe, and in that trial, it provided indirect
8 information about the lack of transmission, and what
9 was done in that trial is that -- and Bob is here to
10 either correct me or comment if needed -- because
11 more than half of those children were in families
12 that were vaccinated in that 1,602 child trial and
13 allowed the opportunity to look at a sibling who got
14 vaccine and a sibling who got placebo compared to
15 singleton placebos.

16 And it turned out that the children in
17 those families that had both a vaccinee and a
18 placebo the attack rate in those placebo children
19 for wild type flu during the flu season was the
20 same. They had the same attack rate essentially.
21 So they were not protected by the child, a sibling
22 of theirs giving them transmitted vaccine virus and
23 protection downstream.

24 It's indirect. It's intriguing, but it
25 is information.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, Dr. Daum, you did ask about what
2 data we do have. So, George, you had put up the HIV
3 adult slide. This is a study that was -- because
4 you're talking about transmission and say, well,
5 what's the end result if it gets transmitted to
6 somebody, and the DMID study 98005 done in
7 collaboration with the NIH was done and is presented
8 in the BLA, and in that study of 50-some adults by
9 placebo or vaccine, shedding was looked at in the
10 first three days, seven to ten days, and a third
11 time subsequent to that.

12 It's in the short set. Okay, great.

13 So shedding was done on day three to
14 five, seven to ten, and day 28 to 35, and the
15 results are shown, and there was shedding of
16 Influenza B virus in one HIV infected subject on day
17 five, and nobody in the further days when culturing
18 was done.

19 Now, in the published literature not
20 presented in the BLA, a very similar study design in
21 pediatric HIV done by the NIH in collaboration with
22 us, and in that study there was no prolonged
23 shedding either in the vaccine to children. There
24 were 24 HIV infected children, and they were one to
25 eight years of age with a mean of 4.2 years.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Thank you, Dr.
2 Mendelman.

3 Dr. Snider and then Dr. Steinhoff on
4 this issue, and maybe we can move on to something
5 besides shedding at that point unless there are
6 issues the committee has not addressed as yet.

7 Dr. Snider.

8 DR. SNIDER: I think maybe my question
9 has been answered, but let me just phrase it a
10 different way. I seem to recall prior to lunch
11 there was a quick statement made about other studies
12 that were not part of the BLA having been done that
13 look at transmission and demonstrated no
14 transmission.

15 So I guess the question is: is there
16 any other data that has not yet been presented about
17 transmission or lack thereof that needs to be
18 brought before the committee?

19 CHAIRMAN DAUM: Let's ask Dr. Mink first
20 for a comment and then the sponsor.

21 DR. MINK: The data that we have for
22 evaluating transmissibility is the Wyeth-Lederle
23 transmissibility study in Finland, which we
24 presented to you after a total review.

25 The other data, I actually think you

1 have already seen data from the sponsor. They are
2 historical. The table that they presented they said
3 was based on historical data.

4 So I'm not aware of any other data that
5 has been submitted for review.

6 CHAIRMAN DAUM: Thank you.

7 DR. MINK: And I should have seen it
8 probably.

9 CHAIRMAN DAUM: Are there other data?
10 Thank you. I think that answers that
11 question.

12 Dr. Steinhoff, you wanted to comment on
13 this very issue.

14 DR. STEINHOFF: The only comment I
15 wanted to make was to follow up on something that
16 Dr. Katz said. If you look at the literature about
17 household and family transmission of wild type
18 influenza virus, numerous studies show that the
19 transmission rate is between 20 and 30 percent in a
20 family setting of wild type virus contrasted to what
21 I'm hearing is somewhere between one and five
22 percent from the Finland study depending on what you
23 pick.

24 So there is a relative ratio that one
25 can consider.

1 CHAIRMAN DAUM: Thank you, Mark.

2 The historical data that are being
3 referred to can be found in Table 36, Dr. Overturf
4 reminds us, of which presentation?

5 DR. OVERTURF: It's Table 36 of the
6 sponsor's presentation.

7 CHAIRMAN DAUM: Thank you very much.

8 Ms. Fisher, germane to this issue?

9 MS. FISHER: Well, I just have to make a
10 comment about Sam Katz's comment about comparing the
11 life virus flu vaccine to the live polio vaccine,
12 and that is that the flu is not polio, and we have
13 moved away from the live virus polio vaccine to a
14 killed vaccine precisely to prevent vaccine induced
15 polio.

16 So I don't think the comparison is
17 correct.

18 CHAIRMAN DAUM: Thank you very much.

19 Can we perhaps move on? Are there other
20 points about transmissibility that haven't been
21 addressed? I think we've had a pretty thorough
22 discussion about it.

23 Dr. Snider, did you wish --

24 DR. SNIDER: Not about transmissibility.

25 CHAIRMAN DAUM: Well, Dr. Markovitz is