

1 to year, a supplement to each manufacturer's
2 biological license must be submitted to and
3 approved by the FDA each year. The license
4 supplement includes changes to the vaccine
5 product labeling, such as updating the
6 trivalent formulation with the new strains.
7 Large scale packaging of the influenza vaccine
8 does not typically begin until this approval
9 is received in the early-July time frame.

10 This next slide depicts a typical
11 time line for trivalent influenza vaccine
12 manufacturing. There is an arrow listed here
13 signifying the time frame of today's meeting,
14 the strain selection meeting.

15 The overall time line, as I
16 mentioned previously, for influenza vaccine is
17 based on the requirements to produce, and
18 release, and distribute vaccine in time to
19 support immunizations for the upcoming
20 influenza season.

21 The desired time frame to begin
22 distribution of vaccine is beginning in early-

1 August with completion in early-November, as
2 depicted by the yellow bar on the time line.

3 The past several seasons have been
4 an exception to the typical timing in that
5 distribution of vaccine has extended late into
6 November, actually even into December in
7 several years.

8 In late-December to early-January
9 of the preceding year, time frame today,
10 manufacturers typically begin production of
11 the first monovalent strain at risk. This
12 risk that the monovalent strain that is under
13 production may not ultimately be selected in
14 the upcoming formulation. Production at risk
15 is necessary because the time to produce the
16 monovalent component lots are limited. Again,
17 as limited at the beginning at the time of
18 strain selection and limited at the end by the
19 need to be able to distribute and administer
20 the vaccine prior to the onset of the
21 influenza season.

22 Thus, at the time of the mid-

1 February VRBPAC strain selection meeting,
2 manufacturers are looking to begin production
3 of the second monovalent string. Assuming the
4 availability of an appropriate production
5 seed, manufacturing of the second strain
6 typically begins immediately following the
7 strain selection meeting.

8 Due to the later scheduling of the
9 VRBPAC strain selection meeting this year,
10 several manufacturers may have already started
11 the at-risk production of the second strain or
12 risk over-production of the first strain that
13 they had underway.

14 This time line depicted here is
15 based on the fact that there would be one
16 strain change, which is listed as strain 3.
17 Prior to beginning the production of the third
18 strain, the high growth reassortant would
19 first need to be developed, and then
20 manufacturing working seeds would be developed
21 form that reassortant.

22 Please keep in mind that the

1 development of a working seed for
2 manufacturing typically requires four weeks
3 from receipt of the reassortant at the
4 manufacturer.

5 The final stage of production of
6 the monovalent lots involves strain balancing,
7 in which manufacturers are targeting the
8 production of an equal number of dose
9 equivalents of each monovalent string to
10 support trivalent formulation. Balancing is
11 required due to the difference in yield, per
12 lot, of each of the monovalent strings.

13 There are about 30 weeks available
14 from the beginning of the year until the early
15 to mid-August time frame when the monovalent
16 production would need to cease. The time that
17 manufacturers produce at risk is typically six
18 weeks, the timing from the beginning of the
19 year until the typical timing of the mid-
20 February selection meeting. So the at-risk
21 production time is 20 percent of the overall
22 time that is available to manufacturer the

1 monovalent components.

2 If manufacturers were not able to
3 utilize this at-risk time, this 20 percent,
4 the overall vaccine manufacturing capacity
5 would drop by 20 percent. So, for example,
6 assuming an industry capable of producing 125
7 million doses, that would be a 25 million dose
8 reduction from overall capacity if this at-
9 risk time were not able to be utilized.

10 In parallel with the production of
11 the monovalent component lots are the
12 activities related to trivalent vaccine
13 formulation, filling, packing, and release.
14 The most critical element involved in this
15 timing is the preparation and standardization
16 of the potency test reagents for a new strain.

17 The preparation of the potency
18 test reagents again typically requires between
19 8 and 12 weeks and begins once a seed is
20 available for the new strain. Formulation of
21 the trivalent vaccine begins following
22 standardization of the potency test reagents,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 which is then filling of the vaccine into
2 vials and syringes. Typically, a target for
3 beginning trivalent formulations is early-
4 June.

5 Following approval of the
6 Biological License Supplement, packaging of
7 the vaccine begins, and typically in early-
8 July. And following final release of the
9 vaccine, distribution of the vaccine would
10 begin in early-August.

11 The greatest challenge that
12 manufacturers have had is achieving this time
13 line. Specifically in the past several years
14 it's been related to when trivalent
15 formulation can begin. The last year which
16 manufacturers were able to begin trivalent
17 formulation in this desired early-June time
18 frame was 2003. Due to numbers of strain
19 changes, availability of test reagents, or low
20 yield, this has been delayed to the mid-June
21 to actually early-July in some years, which
22 has pushed out the time frame for vaccine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 distribution.

2 And please keep in mind this is a
3 typical or desired time line. The time line
4 again for each individual year will depend on
5 the number of strain changes, the yield of
6 each strain, as well as the timing for
7 preparation of potency reagents.

8 The next slide provides an update
9 of current manufacturing status. As
10 previously mentioned, due to the limited time
11 frame that is available for production of the
12 monovalent components, manufacturers have
13 chosen to begin at-risk production as soon as
14 or at the beginning of this year.

15 Again, by manufacturing at-risk,
16 prior to strain selection, manufacturers gain
17 additional time to produce the monovalent
18 component lots. In past years, manufacturers
19 have chosen to produce the A H1N1 New
20 Caledonia strain at risk. But with the
21 greater potential of this strain changing in
22 this year's formulation, manufacturers have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had to select a different strain.

2 Based on the surveillance data
3 available at the beginning of this year, the
4 manufacturers of the inactivated influenza
5 vaccine have chosen to produce the A Wisconsin
6 strain at risk. MedImmune has recently begun
7 production of the B Malaysia strain at risk.

8 And again, based upon the timing
9 of this years strain selection meeting,
10 several manufacturers may have also had to
11 begin the production of the second strain at-
12 risk, or risk overproduction of the first
13 strain.

14 In conclusion, successful
15 influenza manufacturing and vaccination
16 program is based upon cooperation among all
17 the parties involved. The consideration of
18 both antigenic match, availability of C
19 candidates, including high growth
20 reassortants, as well as the potential growth
21 of each candidate's strain is necessary to
22 ensure influenza vaccine supply.

1 A tangible example of these
2 results are the increased availability of egg-
3 isolates and high growth reassortants, which
4 manufacturers are able to evaluate for
5 potential growth characteristics of strains
6 that might be antigenically similar but do
7 have significantly different growth
8 characteristics in large scale manufacturing.
9 And I believe Dr. Cox had presented some of
10 that, some of the data listing the increased
11 number of isolates that have been available in
12 recent years.

13 Another very tangible example of
14 this was during last year, when the initial
15 yield of the A Wisconsin strain was perceived
16 to be very low. And obviously that would have
17 an impact on vaccine supply. New York Medical
18 College very quickly developed an approved
19 reassortant. That reassortant was reviewed
20 and ultimately approved, and it was able to be
21 phased into manufacturing. And a whole new
22 set of reagents were produced in a record time

1 to ensure the nation's supply of influenza
2 vaccine in 2006.

3 So, in summary, it is necessary to
4 consider the various factors, such as the
5 appropriate selection of strain, based on
6 antigenic and genetic match, as well as the
7 availability of C-candidates and high growth
8 reassortants in order to best ensure the
9 supply of the influenza vaccines.

10 And once again, I would like to
11 thank the Committee for the opportunity to
12 present the comments from manufacturers at
13 today's meeting.

14 DR. KARRON: Thank you, Mr.
15 Thomas.

16 Questions?

17 DR. JACKSON: I wondered if you
18 could comment on how production of thimerosal
19 free or reduced product interacts with your
20 time line that you showed us?

21 MR. THOMAS: Sure. This may be
22 different for each specific manufacturer, but

1 particularly the example of no preservative
2 formulation for Sanofi Pasteur is essentially
3 the same time line, however we are not adding
4 the preservative. The biggest constraint for
5 the no preservative formulations are that they
6 are filled into unit dose vials and syringes.
7 So it's primarily a filling constraint, both
8 from the capacity point of view as well as the
9 timing. For example, filling multi-dose, 10-
10 dose vials, you could essentially fill the
11 equivalent of 10 times the number of doses in
12 a given time than you would for unit dose.

13 So the time frame is similar,
14 however you are limited on how quickly you can
15 fill and package the product because it's in
16 a unit dose or single dose presentation.

17 DR. KARRON: Thank you, Mr.
18 Thomas.

19 MR. THOMAS: Thank you.

20 DR. KARRON: Next, Dr. Pandey will
21 present the strain selection options.

22 Excuse me, Dr. Pandey, I

1 apologize.

2 There is, next on the agenda is an
3 open public hearing.

4 Christine?

5 MS. WALSH: Thank you, Dr. Karron.

6 As part of the FDA Advisory Committee Meeting
7 procedure, we are required to hold an open
8 public hearing for those members of the public
9 who are not on the agenda and would like to
10 make a statement concerning matters pending
11 before the Committee.

12 I have not received any requests
13 at this time.

14 Is there anyone in the room who
15 would like to address the Committee?

16 I see no response.

17 Dr. Karron, I turn the meeting
18 back over to you.

19 DR. KARRON: Okay, Dr. Pandey, now
20 it's your turn.

21 DR. PANDEY: Thank you.

22 Now, I will be presenting the

1 options for strain selection for 2007-2008
2 season influenza vaccine.

3 As the Committee has heard before,
4 there are implications of strain selection,
5 both in terms of vaccine efficacy and
6 availability. If the recommendations match
7 the strains that will likely circulate in the
8 given season, then there will be a great
9 benefit to the public health. However, if the
10 recommended strain well for the manufacturers,
11 we may not have enough vaccine available for
12 use or there might be delays.

13 So, as you can see on this slide,
14 despite two strain changes last year, the
15 vaccine production went pretty well and the
16 vaccines were available almost on schedule.

17 The supply of the vaccine, despite
18 these two changes has, as in the previous
19 presentation you heard, that we had a record
20 110 million doses available. And it shows
21 that it definitely met or exceeded the demand.

22 So we must applaud the

1 manufacturers for a job well done considering
2 all the problems one can face when there are
3 more than one strain changes.

4 And now coming to the options for
5 the vaccine composition, for Influenza A H1N1,
6 we can retain the current vaccine strain
7 recommendation, which is in New Caledonia 2999
8 like virus.

9 Or the other option could be to
10 replace the current strain with the Solomon
11 Island/3/2006 like virus, as the WHO has
12 recommended.

13 Or the option could be to replace
14 the current vaccine strain with something
15 else, another alternative H1 isolate.

16 Now, of all these three possible
17 options there are pros and cons.

18 The advantage of keeping the
19 current strain, obviously, is that
20 manufacturers have worked with this strain for
21 years. They have the reagents available. But
22 then the disadvantages of keeping the current

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 strain, obviously, we have heard that it is a
2 poor match.

3 So then the option for A Solomon
4 Islands, if we were to switch to that, the
5 reagents are going to be available in May.
6 The manufacturers have already gotten some
7 experience with this vaccine, and based on
8 what I have heard, that it is reasonably, it
9 goes reasonably well.

10 And we don't have another option
11 at this time, I guess, for if we were to
12 change to a different strain.

13 Now, the option for Influenza A
14 H3N2. Again, we have the similar options.
15 You know, either we can retain the current
16 strain, which is A Wisconsin/67/2005 like
17 virus, replace with an alternative H3N2
18 isolate, or another option that manufacturers
19 definitely don't like is to defer the decision
20 to a later date, in case there is more data
21 going to be available in helping make that
22 decision.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, if the recommendation again,
2 as I said, is to retain the strain, we have
3 the reagents available and we have the
4 manufacturing experience.

5 But if we were to change the
6 recommendation to another strain, the
7 availability of reagents is an issue that
8 won't be, as Galina mentioned before, it won't
9 be available before May.

10 For Influenza B, either we can
11 retain the current B/Malaysia/25/06/2004 like
12 virus, which is of B Victoria 287 lineage, or
13 our other option could be to replace it with
14 an alternative virus from B/Yamagata/16/88 or
15 B/Victoria/2/87 like lineages.

16 Now, if you were to retain the
17 B/Malaysialike virus, which was in last years
18 recommendation as well, and also has been
19 recommended to be retained by WHO, we have the
20 experience with this strain and the reagents
21 are available.

22 But if we were to change, then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 currently there are no better strains
2 available and the availability of reagents
3 could also become an issue, and also how it
4 would out for the manufacturers.

5 So, finally I come to my last
6 slide, which is basically the question every
7 year for the Advisory Committee is that what
8 strains should be recommended for the
9 antigenic composition of the 2008, 2007-2008
10 influenza virus vaccine based on the
11 epidemiology and antigenic characteristics of
12 the influenza virus strains circulating in the
13 human population that the committee has heard,
14 the serological responses to circulating
15 influenza viruses of persons immunized with
16 the current influenza virus vaccines, which
17 was presented earlier, and also the
18 availability of suitable vaccine candidate
19 strains, which also the Committee has heard.

20 So I'll turn it over to Dr.
21 Karron.

22 DR. KARRON: Thank you, Dr.

1 Pandey. At this point I'll open it up for
2 discussion.

3 But I actually want to ask one,
4 can I ask you one specific question, which is,
5 if you go back to your H3N2 slide and you
6 talked about the possibilities being
7 A/Wisconsin or something else, would you,
8 based on the data you've heard, if there were
9 a something else, would you think, for
10 example, it would be a Nepal-like strain?

11 DR. PANDEY: Well, that definitely
12 came up as a possible, you know, option. But
13 I don't know at this time and I think that's
14 for the Committee to discuss if that could be
15 a good option.

16 DR. KARRON: Thank you.

17 So at this point I'd like to open
18 the strain selection up to discussion,
19 questions, and if there are additional
20 questions for Dr. Pandey or the manufacturers.

21 Everything is very -- oh yes, I'm
22 sorry.

1 Dr. Wharton?

2 DR. WHARTON: Given that we now
3 have a number of, we've got the wonderful
4 privilege in the United States now of having
5 multiple influence of vaccine manufacturers
6 producing for the U.S. market, but some of
7 them do not exclusively produce for the U.S.
8 market. A couple of them do have major
9 production facilities outside this country.
10 And presumably those production facilities are
11 not only, will be having to deal with
12 recommendations from other national
13 authorities. What is the impact of that on
14 realistically if this Committee were to make
15 a recommendation different from the WHO
16 recommendations on how we would get vaccine
17 from those facilities that have to deal with
18 potentially two different sets of
19 recommendations?

20 DR. KARRON: So this is a question
21 for the manufacturers?

22 MR. THOMAS: Maybe I can sort of

1 answer and maybe some of the other
2 manufacturers would also like to participate.
3 But a selection of two, for example, H3N2
4 strains, different one say for the U.S. and
5 possibly a different one for the WHO
6 recommendation would be extremely difficult.
7 Manufacturers who produce vaccine for several
8 markets would have to produce four strains.
9 So there are inherent inefficiencies in doing
10 that. The overall number of doses of vaccines
11 would be reduces, as well as the additional
12 complications of preparing another set of
13 reagents and having to test the different
14 strains. So that would have a significant
15 negative impact on vaccine supply.

16 And I'd also like to point out
17 with the discussion here of the H3N2 that
18 there is currently no other production seed
19 currently available other than the
20 A/Wisconsin/67. I know there was a potential
21 there for evaluating another strain, but
22 currently no other seed exists today.

1 DR. KARRON: Thank you. Yes?

2 DR. MCINNES: So, I think I heard
3 that it would be very difficult but not
4 impossible. And I'd like to probe a little
5 bit more about what would be the feasibility
6 of actually getting a high growth, or using a
7 high growth reassortant for H3N2 that could be
8 used for production. And I don't know who
9 wants to comment on that.

10 DR. COX: As soon as we realized
11 that the so called Nepal Canada group of
12 viruses was increasing, we went back and
13 looked and found that we had a Nepal egg
14 isolate, which didn't grow particularly well
15 during initial passaging. However, on
16 subsequent passage it seemed to pick up a
17 little bit. That virus has been distributed
18 to a number of individuals, including to Doris
19 Boucher at New York Medical College. And I
20 know that she's been working on making a high
21 growth reassortant for that particular virus.
22 Of course we have no idea how it will grow or

1 what its antigenic properties will be because,
2 of course, after going through a number of
3 passages to select for high growth in eggs, we
4 often do see changes. So I think there are a
5 number of unknowns. But maybe Doris would be
6 willing to make some comments about when the
7 high growth reassortant might possibly be
8 available to distribute to manufacturers.

9 DR. BOUCHER: I can only say it's
10 under development. So far everything is
11 proceeding according to plan, but we don't
12 have it as of now. We are trying, we would
13 like to ship it off to the CDC for them to
14 begin analysis next Wednesday, a week from
15 today. But we don't know what will happen
16 with the testing. And as Nancy said, we don't
17 know how it's going to grow.

18 DR. KARRON: Nancy, I wonder if I
19 could ask you to comment on some of the ferret
20 antisera data, Nepal, and the Wisconsin
21 strains, and the differences that you see.
22 And some of these are the tables that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 looked at, in trying to discriminate between
2 the Nepal strain and the Wisconsin strain.

3 DR. COX: Yes, I think that the H3
4 table in the CDC package is actually fairly
5 instructive. We were hoping to see a
6 different pattern. That's on page 19.

7 What you see here is the Nepal
8 antiserum on the right, which has been made to
9 an egg grown virus. So that was the virus
10 that was sent to Doris to make a high growth
11 reassortant. As well as antiserum made to the
12 Canada/1212, which is a cell isolate. So we
13 tried to control for the fact that, you know,
14 sometimes you see a little bit different
15 results for cell and egg-grown isolates that
16 are genetically similar, or at least in the
17 same general and genetic group.

18 And what we see, if you look down
19 the rows, if you compare the Wisconsin/67 wild
20 type titers, as you look down the table, and
21 then the Nepal, the titers against the Nepal
22 and Canada/1212, you will see that you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the same viruses being low reactors for all
2 three antisera.

3 So you may get a two-fold higher
4 titer for the Nepal and Canada antiserum, but
5 you still get the same low reactors. And so
6 that has been the real issue.

7 And as I mentioned in my talk,
8 there have been some studies done that
9 indicate that the receptor binding pocket of
10 the H3 viruses has evolved. And of course the
11 shape of the receptor binding pocket can have
12 an impact on what you see in an HI test. So
13 antibody could still be binding to the
14 hemagglutinin, but not inhibiting the, if the
15 pocket is lighter, not inhibiting the ability
16 of the virus to agglutinate to red blood
17 cells.

18 So I think that what we are going
19 to be doing in the future with the H3 viruses
20 is to look at virus neutralization tests for
21 a small number of viruses. It's a very labor
22 intensive test, but I think it might really

1 help us to discriminate exactly what is going
2 on here.

3 DR. KARRON: Right, so I guess
4 also to summarize what you're saying, we don't
5 really see a difference in, or much of a
6 difference, more than a two-fold difference
7 when we look at the HI test, Wisconsin and
8 either the Nepal or the Canada strain?

9 DR. COX: That's correct. So we
10 were hoping to see that the Nepal and Canada
11 antisera would cover the viruses that are in
12 the same genetic group better than the
13 Wisconsin virus does. But really there
14 doesn't seem to be that much difference.

15 DR. KARRON: Right. And there's
16 certainly, going back to some of the human
17 data, there's certainly a difference when you
18 look at the human sera. But I would think
19 that the problem is really that we don't have
20 the reverse experiment in humans. We don't
21 know what would happen if you immunize a
22 person with A/Nepal or A/Canada strain. We

1 don't, we of course don't have that
2 information because that's not what we do. We
3 can only look at responses once you vaccinate.

4 Yes?

5 DR. FARLEY: As a follow-up to
6 that, is there a way of, because I guess if
7 I'm reading this correctly, the Canada and
8 Nepal cross-protect for each other that they
9 seem to have, am I reading that correctly if
10 you go down further? Now, I guess the
11 question is, is there a way of knowing among
12 these low-reactors what proportion the burden
13 of disease that is taken up by Canada and
14 Nepal isolates as opposed to these various
15 others that are listed here, with mostly U.S.
16 designations?

17 DR. COX: We, because we really
18 can't discriminate between viruses that are in
19 the Nepal group and in the Brisbane group
20 using the antisera that we have, we've done a
21 lot of sequencing. And so, what we're seeing,
22 I think I mentioned, so for the 91 H3N2

1 viruses that have been sequenced, and those
2 are viruses collected since October of 2006,
3 we found that 48 percent were in the Brisbane
4 group and 45 percent in the Nepal group.

5 So we're seeing an almost equal
6 distribution of viruses in those two groups.
7 And it doesn't seem, it, I would say that the
8 Asian viruses are predominating in the Nepal
9 group. But that, for example, there was a
10 fairly, the National Influenza Center in
11 Seoul, Korea had sent us quite a number of
12 H3N2 viruses from an outbreak that occurred in
13 November and December. And those viruses were
14 distributed in the two groups. So even if you
15 look at a particular country you can see that
16 viruses fall into both the Brisbane and the
17 Nepal Canada groups.

18 DR. KARRON: Dr. Couch?

19 DR. COUCH: I would, if you
20 permit, I think it's probably worth pursuing
21 H3 because that's the one that's bothering us.
22 We started there and Dr. McInnes indicated

1 that we've already heard that follow-up too.
2 If you look at the ferret sera and the H3
3 strains, you know, there's no big differences
4 there anywhere, as Dr. Cox has pointed out.
5 If you pick all these various isolates now,
6 you can say well, you know, it looks like
7 there's maybe a little shift toward the right
8 side over there of which Nepal can just be one
9 example.

10 So I make my little chart each
11 time on this one, so antigenic change I ended
12 up saying well, probably zero here. No big
13 antigenic changes we can hang our head on, you
14 see, as part of the decision making.
15 Epidemiology always comes with a question mark
16 and wait to see what Dr. Couch tells us. And
17 we had no major problems with H3 anywhere in
18 the world so we don't have the benefit of
19 viruses that dominate in an outbreak that
20 would help us decide that one is about to move
21 there.

22 And then the final one I always

1 look at is the human sera. And the human sera
2 results for H3 is quite bothersome because
3 some of those strains that are out on that
4 side there's not very much in the way of
5 cross-reactivity. And Dr. Ye pointed out, you
6 know, that the reduction that you deal with
7 GMT's for the H3s, with these various
8 laboratories, is comparable to the H1, which
9 is a little bit of a discussion item, but less
10 so maybe than this one, you see.

11 And the final statement to make at
12 H3, around this table and every time we do
13 this, you know, that is the most important
14 decision we make because that still is clearly
15 the most common epidemic virus with the most,
16 the most serious impact against humans, with
17 attack rates and in hospitalizations and
18 disease.

19 And so I came around here bothered
20 about the Wisconsin decision that we were told
21 had been made by WHO, but we all accept the
22 fact, the position that was pointed out to us

1 by the industry representative. If we talk
2 about doing something different, we are really
3 tampering with vaccine supply and perhaps
4 significantly. So I feel like we're in a bind
5 here this year on H3. And some other people
6 may want to comment on that as well. That one
7 is my tough one.

8 DR. KARRON: I'll say that I am
9 particularly bothered by this sort of
10 discordance, if you will, between the ferret
11 sera and the human sera. And I was wondering
12 do you want to comment on that, Bob? Does
13 that bother you too?

14 DR. COUCH: The differences
15 between the two? Yes, that bothers me. I
16 mean I think a ferret, you know, if I want to
17 try and biologically do something with this,
18 I say well let's look at, and you've expressed
19 here, let's start seeing more pediatrics here.
20 Because if we say those ferrets are helping us
21 differentiate these, then the most comparable
22 individual for humans, which is our primary

1 interest not the ferrets, is going to be how
2 those children do. And the children, what we
3 have this year, their Wisconsin antibody
4 didn't like the strain either. So the ferrets
5 maybe didn't agree with the children very well
6 here. Now, so what data do you like for your
7 decisions? I like to see them all fall into
8 place, but maybe that represents what I do and
9 my strongest interests. I want to be sure
10 those antibody responses to that antigen cover
11 the ones that may be coming out in the future
12 in humans. I'm a little more concerned there
13 than I am whether the ferrets manage to pick
14 up a difference or not. But we'd like to see
15 both of them.

16 DR. KARRON: John?

17 DR. MODLIN: Right. Ruth, I don't
18 think I got an answer to my question earlier,
19 and that is the age range for the pediatric
20 sera. I mean it's a big difference whether
21 they are two-year-olds or whether they are
22 nine-year-olds.

1 And it may be that is the entire
2 range, but Dr. Ye, do you know what the age
3 range for those panels?

4 DR. YE: Yes, the age range is 6
5 months to 36 months. So they are quite young.

6 DR. MODLIN: Indeed, as Bob said,
7 they could be very useful, particularly if we
8 had larger numbers. So I think the
9 recommendation might be, you know, in future
10 years if we could look at a larger number of
11 pediatric panels that would help, at least
12 with this particular conundrum.

13 DR. YE: Yes, I think this year,
14 normally we send this to CDC ourselves, so
15 probably we should send it to different
16 centers to give, you know, more confirmative
17 data from it. We're limited to the limits of
18 this sera sample, so sometimes it's harder to
19 share with other centers.

20 DR. KARRON: Pamela?

21 MS. MCINNES: We started a little
22 bit of this discussion at the break, but I

1 need to, I'd like to look at page 23 in the
2 CDC. And I need to just have somebody explain
3 this to me. So the A/Wisconsin was our
4 vaccine strain, right? And the A/, wasn't
5 A/Hiroshima an alternative?

6 DR. COX: Yes.

7 DR. MCINNES: So I'm confused
8 about the data.

9 DR. COX: Oh, this is a different
10 Hiroshima.

11 Thank you.

12 DR. COUCH: I'm with you. I
13 looked at it on the chart. It's right next to
14 Wisconsin, Hiroshima/33, so I assumed that was
15 the same one we were talking about last year.
16 But I had the same problem with that that
17 Pamela is talking about.

18 DR. COX: It's a 2006 strain. And
19 in the old one was a 2005, so there's
20 something wrong with it.

21 DR. COUCH: Something wrong with
22 it?

1 DR. COX: Yes.

2 DR. COUCH: I see, okay.

3 DR. COX: Yes.

4 DR. COUCH: If you look at table
5 21, I think Sasha has got it. Hiroshima may
6 not be 33, but down at the bottom lists the,
7 should've been 2005. It's a different
8 Hiroshima.

9 DR. COX: It's an old, the one in
10 the vaccine is an older strain.

11 DR. MCINNES: So I can be just a
12 little less worried.

13 DR. COUCH: I hate to tell you how
14 long I spent worrying about that particular
15 one.

16 DR. COX: I apologize.

17 DR. KARRON: Is there more
18 discussion? Are we ready to select our
19 strains, as ready as we're going to be?

20 (No response.)

21 Okay, I'm going --

22 DR. MODLIN: Ruth, I'm sorry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Just maybe a little bit more discussion about
2 the H1 strain and then the recommendation for
3 a WHO. I mean it sounds like to me that the
4 experience here in the U.S. is a little bit
5 discrepant from what the rest of the world has
6 been this past year and so it's easy to see
7 why the WHO made their recommendation. I
8 guess we haven't had any discussion about what
9 this really means for us for next year. My
10 assumption would be that it would be
11 presumptuous to think that we were going to
12 experience the same H1 activity as the rest of
13 the world is over a period of time, at least
14 that one could predict, they would be more
15 likely to predict that. But we haven't had
16 that discussion and I would just be interested
17 to what other people think of that.

18 DR. KARRON: Nancy?

19 DR. COX: I think what we have to
20 take into consideration is we've had a
21 predominantly H1N1 year this year. So that,
22 generally speaking, brings up the antibody

1 levels in the population. And so, we hadn't
2 had so much H1N1 activity before, so just in
3 looking at what might come next, I think it's
4 more likely to be something different next
5 time.

6 DR. COUCH: Do you want to talk
7 H1? I've got my table on H1. The ferret data
8 here said we've got different viruses. And so
9 I was waiting for the epidemiologic data on
10 that one, and it's a U.S. epidemic. It's, you
11 know, scattered around a little bit in Asia,
12 but presumably it's different and the antibody
13 results are erratic. Instead of a yes and a
14 no that New Caledonia was the highest growth,
15 and I might of gambled on keeping that one.
16 But WHO voted to go for another one, you see,
17 and I said well, we had a significant year and
18 Influenza A viruses drift. And we've got
19 pressure, as Nancy said, you know, that we
20 have to have some drift coming up here. So H1
21 has got to change, if not the coming year the
22 following year. And New Caledonia has been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there a long time.

2 So I rationalized my way into a
3 week support for changing H1.

4 DR. KARRON: While you're at it
5 Bob, do you want to comment on the B? There's
6 not too much probably to say there?

7 DR. COUCH: B's are actually
8 easier. Maybe if somebody wants to redo it
9 after we have our discussion this afternoon
10 that might be different, but no, no discussion
11 on B, no problem.

12 DR. KARRON: Pamela?

13 DR. MCINNES: So let me return to
14 the H3N2 dilemma and also I am very troubled
15 by the sort of lack of concordance, the
16 comfort that we have that the ferrets are
17 compared with the human serum. You know, we
18 can say well maybe the HI test is not the best
19 way for us to do this, but in effect, the
20 reality is we do have, I think, some troubling
21 data here. And the question on the table
22 would be, I'm aware of what WHO Committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recommended, I'm going to throw out the idea
2 of two H3N2s.

3 DR. KARRON: Nancy?

4 DR. COX: I think that it would be
5 wise for us to have comments from the
6 manufacturers about that.

7 MR. THOMAS: I guess some of the
8 questions would be, initially, what would it
9 replace, first of all? And again, we're into
10 the same is this a, how different of a product
11 is this from the licensing aspect? Does this
12 require clinical trial, that whole aspect. So
13 there are a lot of questions on defining what
14 the product actually is and ultimately
15 deciding is it the H1 strain that is removed
16 and there are two H3s. I'm assuming it's
17 still a trivalent formulation, based upon the
18 question. So I think there are a lot of
19 questions on definition of the product,
20 licensing aspects.

21 Now, specifically for the
22 manufacturing point of view, the concern that

1 I mentioned before about manufacturers
2 producing the A/Wisconsin at-risk, obviously
3 you would alleviate that because a lot of that
4 product is already produced, but we're still
5 introducing another H3 strain that today a
6 production seed does not exist. There is no
7 yield date available for that seed, nor do we
8 have a definitive time line of when that see
9 would be available, which could impact overall
10 vaccine supply as well as the timing of when
11 reagents could be prepared and when vaccine
12 would ultimately be available for
13 distribution.

14 DR. KARRON: I was just going to
15 say sort of back in response, I'm, what's
16 troubling me the most is I don't, is trying to
17 understand low responders and what those
18 viruses are, and what it means, and how we
19 interpret the tests. I think despite all the
20 manufacturing caveats, if I had seen that
21 there were really good responses to the
22 ferret, the ferrets had very good responses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and they were clearly these were very
2 different viruses I would've said, you know,
3 maybe we should be postponing our decision
4 until March until we have, until we know if we
5 can get an isolate that represents the H3N2
6 strains. My concern in looking at this data
7 is I don't know that a new H3N2 strain would
8 do better. And if you gave that to children
9 that that would induce a better HI response,
10 or whether there is something about these low
11 responder viruses that's different and that we
12 have the, you know, we need a different test
13 to really understand this.

14 So that's what's, that's what I
15 think is troubling me the most.

16 DR. COUCH: And I would hope that
17 when you do those HI tests that you've got
18 controls in there to indicate that you're not
19 dealing with a low responder antigen. And
20 when you run these HI, these HI batteries, see
21 we don't have all these ferrets here in my
22 lab.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. COX: We have controls.

2 DR. COUCH: But you're suggesting
3 a low responder. I would think that ought not
4 be a question of the --

5 DR. KARRON: But if you give a
6 ferret A/Nepal and look for their HI titers to
7 A/Nepal, they're relatively low. Correct? I
8 mean there's something about this virus that
9 induces low levels of antibody as we currently
10 measure them in HI tests.

11 DR. COX: Actually, Nepal does
12 find, there are some viruses that are, will
13 give you a homologous titer of 80, and that
14 really indicates to us that that's a low avid
15 virus. But these viruses, both Nepal and the
16 Canada/1212, when put into ferrets, elicit
17 titers of 640 or so. So I think that we have
18 sort of the, we really have a contradiction in
19 the data. And I honestly have wrestled with
20 this and have lost sleep over this data, these
21 data.

22 DR. COUCH: I thought you were

1 talking about the humans here not the ferrets
2 here. Because the ferrets here, I've been
3 hearing problems with them.

4 One more and I quit. And you can
5 end up, the antigens don't all agree. I mean
6 that was part of, somebody talked about the HI
7 yesterday. But we've got three of them for
8 H3, Santiago, Canada, and Hiroshima and
9 they're uniform. That's, see, if you look at
10 the H1 data there's an erratic one in there
11 every now and then. I can bypass erratic
12 results, but all three of them?

13 DR. MODLIN: Could I ask a very
14 basic question, and I'm embarrassed I don't
15 know the answer to this. But for a particular
16 antigen, if a human already has a high titer
17 of antibody and a relatively high titer and
18 receives an inactivated antigen, how much of
19 a boost do we expect, or does that high titer
20 actually inhibit a boost like it does with
21 other inactivated antigens? And if that's the
22 case, then it seems to me that if we have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discrepancy between our animal sera and our
2 human sera that perhaps the human data may be
3 a little less reliable in terms of making
4 these types of decisions. Am I way off base,
5 Bob or Nancy?

6 DR. COUCH: One of the things
7 you'd like to know to fully understand the
8 data, which is maybe what you're driving at,
9 is I would like the battery to each time say
10 is that the same group of individuals? Were
11 they vaccinated last year? And the industry
12 might not prefer that, but I'd like to know
13 which of those vaccines they received as well.
14 Because if you look at some of the data, I
15 don't mean to be picking on my friends, I
16 worry about some of those antigens in the
17 Japanese vaccines, if you just look at the
18 comparisons of the battery of sera there. But
19 we don't know that, you see.

20 And your point would be that if
21 they're already high from Wisconsin and you
22 re-vaccinate them and they're high, then

1 you're going to have less likelihood of
2 finding a cross-reactivity to one of those
3 other strains.

4 DR. MODLIN: Exactly.

5 DR. COUCH: I guess I think that's
6 probably correct but I don't know that for
7 sure.

8 DR. KARRON: Zhiping?

9 DR. YE: Dr. Couch already
10 answered the question.

11 I just want to comment on that.
12 Because of the serum in humans, especially for
13 adults, previous years may expose them to the
14 same antigens or different antigens, so their
15 responses is kind of order than the children's
16 one or the ferrets studies.

17 DR. COUCH: You could follow that
18 up with saying well if that's the case and
19 that antigen does change, we want that new
20 antibody and we better give him that antigen
21 to get it.

22 DR. KARRON: Dr. Eickhoff?

1 DR. EICKHOFF: A question for the
2 manufacturers. Would, if we try to put 60
3 micrograms of hemagglutinin in a vaccine,
4 wouldn't that automatically equate to a 25
5 percent reduction in the amount of vaccine
6 available?

7 DR. HETHERINGTON: Yes, I think
8 that is the point that there is a maximum
9 capacity for total antigen that gets produced
10 in the U.S. And you can cut it up anyway you
11 want to, but a trivalent vaccine you get "x"
12 does and quadravalent vaccine you're going to
13 get 25 percent less. And also the increased
14 risk of delay in production because you have
15 yet another antigen, another seed stock you
16 need to get up and go, so timing is at risk as
17 well. So you're really taking two hits on
18 going to a quadravalent vaccine.

19 DR. KARRON: But as maybe a
20 follow-up question, you can answer both of
21 these, Mr. Thomas, is what percent of the
22 vaccine produced this year did we actually use

1 total, of the vaccine that was made available
2 by manufacturers because we have increased our
3 capacity significantly.

4 MR. THOMAS: So the first question
5 regarding the increased formulation, the
6 answer exactly would be an equivalent to a
7 fourth strain, in terms of monovalent
8 requirements, so you'd have that 25 percent
9 decrease.

10 And I'm assuming the question for
11 a vaccine that wasn't administered, was
12 produced last year in this current season, the
13 biggest impact there was the timing of the
14 vaccine. The fundamental feeling is that the
15 timing was available, the vaccine was
16 available in a time frame that everyone
17 desires, the September/October time frame into
18 early November, then there wouldn't be, there
19 would be much less vaccine unused.

20 A great deal of distribution of
21 vaccine this past year, due to issue of yield
22 with the A/Wisconsin, which then created a

1 delay in the reagent preparation shifted a
2 great deal of vaccine supply into the late-
3 October, November, into December time frame.
4 So based on what we see from immunization
5 programs, obviously the sooner we can get the
6 vaccine as available, the success of the
7 immunization program will increase greatly.

8 DR. KARRON: But actually, just to
9 follow-up on, I understand that timing can be
10 critical, but do you actually know what
11 percent of the vaccine manufactured this year
12 was actually administered?

13 MR. THOMAS: I don't have any data
14 on that.

15 DR. KARRON: Do you know that?

16 DR. COUCH: I think most of us
17 understand and appreciate that problem that
18 would relate to an individual decision like
19 say a decision this year to put two H3s in
20 there, you see, and you cut the supply by 25
21 percent, perhaps more, depending. If we're
22 talking about changing and having new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concepts, and we're going to talk about one
2 this afternoon, you're talking about it
3 evolving slowly so that the industry can
4 adjust to that. And they've adjusted to
5 providing, you know, I remember the time in
6 which you were lucky if 20 million doses were
7 made and used. Now we're talking about over
8 100 million, you see, so that with time and
9 the desire to make it and sell it, the
10 industry can adjust, but not just like that.
11 I think that's what we're hearing in the
12 answers.

13 DR. KARRON: Lisa?

14 DR. JACKSON: It seems like there
15 could be costs and purchasing implications
16 that might not be insignificant as well.

17 DR. COUCH: Could you say that
18 again?

19 DR. JACKSON: I'm sorry, my usual
20 clarity. It seems like -- that's even worse --
21 -- there could be costs and therefore
22 purchasing implications if four-valent vaccine

1 were say more expensive than a three. And you
2 know, as you were saying, the ability to adapt
3 quickly to that kind of change, you know, may
4 cause additional problems with distribution
5 and purchase.

6 DR. KARRON: Pamela?

7 DR. MCINNES: I mean I think we're
8 cognizant of all of these factors. The risk,
9 I mean to just say because of all these issues
10 we're just pragmatically going to go along
11 with something bothers me. I mean I think the
12 data are worrying. And this is, whether we
13 like it or not, this is a collective effort.
14 This is not someone is just the recipient and
15 just marches along. It's not in anybody's
16 interest to have a vaccine that isn't, you
17 know, the best decision we could've made with
18 the data that are on the table. So I am going
19 to think about all those other factors and the
20 balancing of them, but I first am going to
21 wrestle with what I think is the best, what I
22 think is the best decision based on this data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KARRON: Steve?

2 DR. SELF: Ultimately, it seems to
3 me the tradeoff is one of coverage versus
4 efficacy. So adding a component may make the
5 vaccine a little more efficacious, it will
6 probably reduce coverage due to timing and
7 supply. And those tradeoffs are very hard to
8 make even when you've got modeling results in
9 front of you. We have none of that and, you
10 know, I listen to this and I honestly have no
11 sense at all about how much an improvement in
12 efficacy we could obtain, what the impact on
13 coverage would be, and how at the end that
14 would balance out in the population impact.
15 I mean this is, this is a very interesting
16 discussion, but I find it informed by very
17 little.

18 DR. COUCH: We have made, and I'm
19 straightforwardly honest with you, as you
20 might say the wrong decision in past years,
21 and the outbreak that succeeded with the
22 vaccine that did not have a good match, on

1 occasion, has really been severe. But that
2 was an innocent error. We couldn't help it,
3 you see, that was the only information we had
4 at the time, you see, when the decision was
5 made. So that concern is there. I guess my
6 only point was you were suggesting well you
7 lose a little bit. No, we've got the risk of
8 losing a lot. That's our concern.

9 DR. KARRON: Monica and then
10 Nancy?

11 DR. FARLEY: I wonder if those
12 who, Dr. Ye and Dr. Cox perhaps, is there
13 anything that we would gain, or you won't know
14 for sure, but can you comment on whether a
15 delay of the decision on H3 has much chance,
16 if any, of clarification for us over the
17 coming number of weeks. Is there anything
18 that we can do with the current strains that
19 we have, in terms of additional testing that
20 we think might help us sort out the low
21 responders, or will additional strains coming
22 in, is there much chance that the volume of

1 additional input would be there that, you
2 know, to help inform us, given the fact that
3 we know it's a big tradeoff, a negative
4 tradeoff, from the manufacturing perspective?

5 DR. YE: I think if we wanted to
6 have more data probably the best we can do is
7 to conduct human serology study using the
8 Nepal strain. But I don't think that will be
9 reality, because we'd have to send it to the
10 different centers to do a similar study. This
11 precedent we are doing this to give more data.

12 DR. COX: I can't, can't really
13 add very much. I was just conferring with Dr.
14 Klimov and he thinks that we probably have
15 several dozen H3N2s that haven't yet been
16 analyzed that are just coming in. We know
17 they are coming in and haven't yet been
18 analyzed.

19 We are able to generate sequence
20 data very, very quickly, which will tell us
21 which of the two groups the viruses are
22 falling in genetically. It takes a bit longer

1 to generate the HI data because we have to
2 grow the virus and do the HI test. But there
3 would be limited additional information.
4 There would also be limited additional
5 information on how the high growth reassortant
6 that Dr. Booker is producing grows. But
7 again, in a three week period of time, the
8 additional data would be limited.

9 And then there would be difficulty
10 but a possibility of conducting
11 microneutralization tests in the interim. So
12 that would be, that would be difficult to do
13 within a three week period but it could be
14 done.

15 DR. KARRON: Bob?

16 DR. COUCH: Well, just to extend
17 on that one because I'll be entirely straight
18 with the Committee, I'm waffling. I don't
19 want to say no. I'm waffling between abstain
20 and defer. But if we defer, and that was
21 going to be one of the questions, you asked
22 about more strains, but if we defer and the

1 FDA just now is looking for the reassortant,
2 once you have the reassortant you have to know
3 that it works well and then you've got to make
4 the antiserum. And then you've got to
5 distribute that. We're probably talking about
6 really almost May or June before you can even,
7 the industry can even begin to work with a new
8 H3.

9 DR. WEIR: I think that's correct
10 from what Dr. Ye said that it would be
11 probably unlikely that we could generate more
12 serology data very fast.

13 But just to clarify one other
14 thing from Dr. Cox, would you not also after
15 the high growth reassortant is made, would you
16 not need to generate ferret antisera to that
17 before you test it to the isolates to see how
18 well it would cover, to really give some
19 useful data about whether that would be a
20 candidate or not?

21 DR. COX: We always test the high
22 growth reassortants to be sure that they have

1 similar antigenic properties to the wild type
2 strain. However, it doesn't preclude our
3 distributing it to see how it grows for the
4 manufacturers.

5 DR. WEIR: But I thought the high
6 growth reassortant for the Nepal was not
7 available yet.

8 DR. COX: That's right. It's not
9 available.

10 DR. WEIR: So what I'm saying is
11 after it is available, then you would have to
12 generate ferret antisera to that before you
13 saw how well it would really cross-react?

14 DR. COX: That's correct. But
15 that wouldn't preclude its being distributed.

16 DR. WEIR: Okay. But it would
17 still take time to generate that additional
18 data?

19 DR. COX: Two weeks. Two weeks.
20 Two weeks to make the serum and then the test
21 could be done almost immediately after that.
22 And one other thing that I need to say that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 needs to be emphasized I think every year, we
2 are really limited by what the epidemic does,
3 you know, what certain viruses circulate,
4 where they circulate, and how many of them
5 circulate. And we're also limited, to some
6 extent, to the timing of when they get sent to
7 us. But the season was a very mild season
8 generally and that is true worldwide. And it
9 really didn't takeoff terribly early, except
10 for some of the school outbreaks that we had
11 in the United States.

12 So this is one of the situations
13 that we often face where we would like to have
14 a lot more data, but the majority of, the
15 concerning data that you've seen here today
16 was generated in the last three weeks or so.
17 So it's, we're really racing with the virus,
18 and it is a moving target, and it's a very
19 difficult business.

20 DR. KARRON: First Steven, then
21 Bob.

22 DR. SELF: Yes, so that's a

1 perfect segway to a question about the
2 epidemiology. So I see that within the H3,
3 the low reacting viruses are sort of on the
4 rise. But I don't see what the best current
5 data is for the balance between H1 and H3. I
6 see last year based on the plot was
7 predominantly H1. Am I, is there current data
8 sort of on the balance of H1 and H3
9 infections?

10 DR. COX: Are you talking about in
11 the United States?

12 DR. SELF: In the U.S.

13 DR. COX: Tony, I think that
14 because there are so many unsubtyped viruses
15 that have been recently identified in the
16 United States, it's difficult to say. But as
17 Tony mentioned, there appears to be an
18 increasing proportion of Influenza As that are
19 H3s. But they were predominantly H1s this
20 year, whereas last year that was not true.

21 DR. COUCH: But it is --

22 DR. SELF: You're right. It's on

1 page 4. Yes, so we're arguing about, for the
2 H3 problem, what this year may be a pretty
3 small fraction of the total cases. Is that
4 correct?

5 DR. COX: Yes. So basically this
6 year we've had predominantly H1s. So we
7 wouldn't expect to have predominantly H1 next
8 year, although influenza is not predictable.
9 And I always have to say that over, and over,
10 and over again.

11 So when H1 circulates in the
12 United States again, we might expect to see a
13 different virus because the New Caledonia
14 viruses have been around for so long.

15 With respect to the H3s, we've had
16 relatively less disease caused by H3s, but H3
17 activity appears to be picking up somewhat
18 relative to H1 activity.

19 Did that make any sense?

20 DR. SELF: Yes, it did. I'm still
21 trying to get a handle on just the magnitude
22 of this subset of H3 viruses, what the likely

1 magnitude of that problem for next year.

2 DR. COX: That is totally
3 unpredictable.

4 DR. SELF: Okay.

5 DR. COUCH: There is such a thing
6 as the Harold-wave, which as been popularized
7 by a group from Houston, suggesting that late
8 phrase like that, that that was the proceder
9 for the epidemic the following year. And
10 there are at least three or four clean
11 examples of that, where that's been the case.

12 I'm willing to take us off dead
13 center, if you want, unless there is more open
14 discussion.

15 DR. KARRON: I do just want to ask
16 a question and go back to the H3N2. So my
17 sense, however, is when it comes to making a
18 decision about that the only, the two options
19 really are to retain the current strain or
20 really to defer, because at this point we do
21 not have a Nepal strain. I mean we don't have
22 a high growth reassortant. So we couldn't, as

1 a Committee, make that recommendation. We
2 could say that we would defer our decision.
3 I just wanted to put that out.

4 And with that, I think it is
5 actually, unless there is anyone else who
6 wants to make any comment, question, I think
7 it's time to actually talk about the
8 individual strains.

9 And I am actually, first we'll
10 start with H1N1. The three possibilities, as
11 outlined by Dr. Pandey, are to retain the
12 current vaccine strain, which is A/New
13 Caledonia, to switch to A/Solomon Islands, or
14 to replace the current vaccine strain with an
15 alternative strain.

16 Dr. McInnes, I'm going to start
17 with you?

18 DR. MCINNES: I was looking also
19 at the decision from WHO, and I sort of do
20 take a little bit the same view as Dr. Couch
21 in this about concurrence with it or having
22 difference or non-concurrence with that.

1 And looking at the H1 data, I
2 would support changing that strain, the
3 vaccine strain to the A/Solomon Islands, the
4 H1N1-like virus for this upcoming season.

5 DR. KARRON: Thank you. Dr.
6 Hachey?

7 DR. HACHEY: I would also support
8 replacing the current vaccine strain to the
9 A/Solomon Islands-like virus.

10 DR. KARRON: Dr. Stapleton?

11 DR. STAPLETON: I would also
12 support changing the current to the A/Solomon
13 Islands.

14 DR. KARRON: Ms. Province?

15 MS. PROVINCE: I too support
16 changing the current strain to A/Solomon-like.

17 DR. KARRON: Dr. Jackson?

18 DR. JACKSON: Yes, I agree with
19 the change as previously stated.

20 DR. KARRON: Dr. Word?

21 DR. WORD: I would agree with the
22 changes as previously stated.

1 DR. KARRON: Dr. Hetherington, do
2 you want to comment?

3 DR. HETHERINGTON: I agree with
4 the comments so far.

5 DR. KARRON: Dr. Wharton?

6 DR. WHARTON: I concur with my
7 colleagues in changing to the A/Solomon
8 Islands.

9 DR. KARRON: Dr. Eickhoff?

10 DR. EICKHOFF: I concur with
11 updating the H1N1 strain to A/Solomon Islands.

12 DR. KARRON: Dr. Self?

13 DR. SELF: I agree.

14 DR. KARRON: Dr. Farley?

15 DR. FARLEY: I agree.

16 DR. KARRON: Dr. Couch?

17 DR. COUCH: I already said I had a
18 weak agreement, but I agree.

19 DR. KARRON: Okay. Dr. Modlin?

20 DR. MODLIN: Yes.

21 DR. KARRON: Okay. And I also
22 agree with changing to the A/Solomon Islands.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Please Christine?

2 MS. WALSH: Just to summarize that
3 vote, it was unanimous, 13 votes in favor of
4 replacing the current vaccine strain with the
5 A/Solomon Islands.

6 DR. KARRON: Can we actually go to
7 the next slide?

8 So there are actually three
9 options listed up here for H3N2, but I think
10 we have concurrence among the panel that
11 really there are only two options that we can
12 realistically consider.

13 One is to retain the current
14 strain, which is A/Wisconsin.

15 And the second is really to defer
16 a decision to a later date, pending the
17 potential availability of a Nepal-like high
18 growth reassortant.

19 So this time, Dr. Modlin, we're
20 going to start with you?

21 DR. MODLIN: Well, obviously we're
22 in a box. I'm very much concerned about the

1 fact that these new strains have appeared so
2 recently. And obviously the question is does
3 that predict increased activity for these new
4 strains next year. And I think virtually
5 everybody has acknowledged that we don't know
6 what the predictive, the likelihood is here.

7 I would point out that this
8 represents obviously a major problem for the
9 manufacturers and this would be a second new
10 strain if we were to defer a decision, with
11 the possibility that there would be a second
12 new strain. That would be the reason why we
13 would be deferring a decision in the first
14 place. And that creates real issues with
15 respect to concern about supply and cost, as
16 Dr. Jackson pointed out.

17 I'm also, I recognize that we have
18 this discrepancy between this data from
19 ferrets and data from humans that bothers me
20 a little bit. And I suppose if I had to make
21 a choice between the two, I would probably
22 come down on, based on the discussion we've

1 had and also recognizing the fact that I'm not
2 a respiratory virologist or an expert in this
3 area and I'm new to this sort of decision
4 making, but it seems to make sense that maybe
5 putting a little bit more weight on the ferret
6 data as opposed to the human data, recognizing
7 the pitfalls there.

8 So yes, I come down with a
9 recommendation to retain the current strain
10 based on all this, weighing all the
11 information that we have. It seems to be, to
12 me the better or the lesser of two evils I
13 guess, would be a better way to state it.

14 DR. KARRON: Dr. Couch?

15 DR. COUCH: I agree. I've sort of
16 already said my piece on this one I guess.
17 But I had a weak support of H1. I have a very
18 weak support, but I would vote with going with
19 A/Wisconsin. And for two reasons, primarily
20 one is you heard me say that I think vaccine
21 and some antibody is better than no antibody.
22 And that even if we miss, we'd have some

1 benefit there and we'd have plenty of doses of
2 vaccine. Plus, the fact that I think if I
3 could afford the luxury, my vote would've been
4 to defer. But I don't think defer is likely
5 to gain us anything in this decision.

6 So I guess what I say is I vote
7 yes to go ahead, but I would like to add a
8 qualifier to that and ask CDC and whoever else
9 is appropriate to continue to monitor this
10 one, and maybe these new strains you're
11 seeing, very closely. And I don't propose
12 this as an option, but to just at least point
13 out that in the past when this has happened,
14 and these new strains have appeared, we have
15 made supplemental vaccines, the last one being
16 A/Taiwan when we missed on the H1 decision and
17 then we added an A/Taiwan supplemental vaccine
18 that was given to us as a special supplemental
19 vaccine. So I would not propose that now, but
20 I would hold that out as an option in case we
21 miss on this one and we still have some time.

22 So I do have concern about the H3

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 decision, but I'll vote with going with WHO
2 recommendation. And somebody tell them we're
3 not happy with what they did.

4 DR. KARRON: Thank you. Dr. Cox,
5 would you like to offer an opinion?

6 DR. COX: I'd rather abstain.
7 Thank you.

8 DR. KARRON: Okay. Dr. Farley?

9 DR. FARLEY: Well, I'm reluctant
10 in my answer as well. I'm particularly
11 concerned that deferring this year would be
12 more problematic than it always is to defer.
13 And that is that the manufacturers have almost
14 uniformly chosen to do their at-risk
15 production of this particular antigen, and so
16 that not only be potentially be asking for a
17 two component change, but we would have lost
18 the two months of production that have already
19 gone into it. So given all of that, but in
20 light still of the concerns, I agree with
21 whatever we can learn about these, this
22 emerging issue, both from a testing standpoint

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of how best to look at these things when there
2 are questions, are there additional tests that
3 we can do and is there way that they can be
4 done in a timely fashion given all the
5 constraints of how it happens, how the
6 epidemic unfolds, which we can't control. But
7 continuing to study them so that we will
8 understand where it is going is very much, I
9 think, is something I would concur with.

10 And, in addition, then my vote
11 would be in favor of keeping the Wisconsin
12 component.

13 DR. KARRON: Dr. Self?

14 DR. SELF: I vote to retain the
15 current strain.

16 DR. KARRON: Dr. Eickhoff?

17 DR. EICKHOFF: Well, I was always
18 taught by my mentor, Gordon Meiklejohn, to pay
19 more attention to human data than to ferret
20 data. And in this case the ferret data looked
21 reassuring to retain A/Wisconsin but the human
22 data did not, and I am puzzled by this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If there were a likely, a good
2 likelihood that we could come up with
3 additional useful information not at a later
4 date but by some date certain, call it mid-
5 March, call it the end of March, that would
6 favor an updating to A/Nepal. That likelihood
7 does not seem to me to be great. And yet at
8 the same time, I think while I'm sympathetic
9 with Nancy's reluctance to make any
10 predictions for next year, looking at the
11 mortality data for the last several years
12 makes me concerned that next year is going to
13 be a pretty significant H3N2 year. And the
14 question is what virus will predominate.

15 Given, however, that the
16 likelihood of additional information is not
17 good, I would vote to retain
18 A/Wisconsin/67/2005. If something dramatic
19 happened in the month ahead, I hope we could
20 reconvene on sort of an emergent basis, but I
21 don't think the odds favor that at all.

22 DR. KARRON: Thank you. Dr.

1 Wharton?

2 DR. WHARTON: I would concur with
3 retaining the A/Wisconsin, but have to say
4 that I really feel like between the at-risk
5 production the manufacturers have already done
6 and the WHO recommendation, which presumably
7 will be affecting the U.S. suppliers who are
8 located in Europe, we simply have no choice.
9 I think those two things together would
10 provide such a hit to supply that whatever
11 benefits might accrue from a better match were
12 we to wait, and all these other things that
13 might happen do happen, that we simply would
14 be in a very unacceptable situation regarding
15 the influenza supply.

16 That is a really place to be. And
17 I don't know what kind of signals the
18 manufacturers look for when they make these
19 decisions about at-risk production. I am sure
20 good efforts are made to have those be the
21 most informed decisions possible, and I do not
22 know if there is any signal that could have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been detected regarding these potential issues
2 of the H3 strain at the time those decisions
3 were made, but I hope there can be some
4 consideration of making sure that the at-risk
5 decisions are the best ones possible, because
6 at this point I feel like we don't have any
7 choice.

8 DR. KARRON: Thank you. Dr.
9 Hetherington, would you care to offer an
10 opinion?

11 DR. HETHERINGTON: Well, just
12 briefly. The Committee obviously is faced
13 with a very difficult decision, but I think
14 it's all about coverage and delivering the
15 vaccine in a timely manner to get what
16 positive benefits we know will exist out of
17 this, as opposed to putting more, excuse me,
18 putting more at risk because of the timing and
19 trying to gain an additional benefit that
20 really is not quantifiable with the data we've
21 got, unfortunately. And hopefully that
22 situation will improve, but you're stuck with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the pragmatic.

2 DR. KARRON: Dr. Word?

3 DR. WORD: I guess as I sit here I
4 listen to many of my colleagues who have more
5 experience in influenza and, you know, we keep
6 hearing about issues with manufacturing, you
7 know, they've started things up. Then I keep
8 saying why are we here. Because if you are
9 presented with the information and you're here
10 to make a decision, I know that the WHO has
11 made theirs, but then if because of, you know,
12 various constraints from other areas, we're
13 not going to be able to make the best decision
14 that we think is best for this particular
15 country, then I'm saying I'm not sure why I
16 sat here and listened to all this. I mean so
17 many people here felt uncomfortable with
18 moving forward, yet they're saying I can't get
19 this information quickly enough, and I guess
20 with that I'm not as comfortable moving on
21 with the A/Wisconsin. Even though I'm
22 struggling and I'm still trying to figure out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the best way to phrase this because I know
2 you're saying you may not get additional
3 information in a timely fashion, we should get
4 some vaccine out to people, but then I'm going
5 to say we're going to revisit this every
6 single year, aren't we? I mean wouldn't that
7 be the same discussion every year if something
8 happens?

9 So, I'm going to extremely
10 reluctantly agree too. I don't know, I'm
11 torn. I want to say no.

12 DR. KARRON: Are you saying
13 retain, defer, or abstain?

14 DR. WORD: I don't want to
15 abstain. I have a thought. I would defer in
16 good faith.

17 DR. KARRON: Okay. Dr. Jackson?

18 DR. JACKSON: Well, I agree. I
19 mean the concerns voiced are very concerning.
20 And if we have a significant mismatch here
21 that's obviously something we want to avoid.
22 But I agree with Dr. Wharton that it seems

1 we're in a box and we don't really have much
2 of a choice. And, you know, delays in vaccine
3 supply really impact vaccination programs, of
4 course, but in particular, vaccination of
5 children which is an area of increasing
6 emphasis. And what we find where I am is if
7 we don't have vaccine by, at the latest, early
8 November, we really don't get children,
9 interest wanes, and they certainly don't get
10 two doses. So we just really are dealing with
11 a situation which we have really limited good
12 options. So I would vote to retain.

13 DR. KARRON: Ms. Province?

14 MS. PROVINCE: I echo Dr. Word's
15 sentiments. It seems that, not every year,
16 but every year we face these same kinds of
17 questions since I've been on the Committee.
18 We're driven, understandably, by limitations
19 of the manufacturer, but I don't want to be
20 driven, I don't want my decision to be
21 completely driven by limitations of the
22 manufacturer. Although I know what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 realities are, I understand those, but I think
2 we need to look at the processes that we are
3 going through, examine those, and figure out
4 sort of from year-to-year how can we get out
5 of this box that we seem to be in more and
6 more frequently, and maybe make better
7 decisions and have data available at a time
8 where we can act on it and still accommodate
9 manufacturing schedules.

10 So reluctantly, I too vote to
11 retain the current strain, but with those
12 caveats.

13 DR. KARRON: Dr. Stapleton?

14 DR. STAPLETON: I think Dr. Word's
15 comments, I would perhaps argue that this is
16 somewhat unusual to have the difference
17 between the human the ferret data. And the
18 timing of the isolates coming in late and
19 having a late epidemic in the U.S. is part of
20 it, and contributes to a complication that we
21 couldn't really predict.

22 And I have to say that being on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this Committee is fun because I hadn't though
2 of children being more like ferrets than
3 humans, although I'm thinking about it
4 immunologically I understand that. But I
5 think that getting children immunized is
6 important, and if they're more like ferrets
7 then I'm reassured by that.

8 So I vote to retain, but I echo
9 Dr. Couch's comments that I think it's
10 important to monitor and to keep the option of
11 a monovalent supplement as an option if indeed
12 we find there's a serious mismatch.

13 DR. KARRON: Dr. Hachey?

14 Dr. HACHEY: I'm going to agree
15 that the problem is we just don't have a good
16 fit this year, as far as the current vaccine.
17 But I really don't see a clearly superior
18 strain that we have an option to pick. And
19 any delay is associated with clearly some
20 substantial risk in regards to production,
21 supply, and delays. More data would be nice,
22 but that doesn't look like it's going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 happen, at least data that is substantial
2 enough to have a high likelihood of altering
3 the decision.

4 So I vote to retain the current
5 strain.

6 DR. KARRON: Dr. McInnes?

7 DR. MCINNES: I'm not comfortable
8 with retaining the current strain. I think
9 there are some additional data that could come
10 to the table. I think we would, we have a
11 potential within the next month, 4 weeks, 3
12 and a half to 4 weeks to understand about this
13 potential, this reassortant, how it's going to
14 perform. I think CDC has indicated that they
15 do have some additional viruses to look at and
16 I want to acknowledge the extraordinary amount
17 of work that they do, and that they have put
18 on the table, and that they continue to be
19 willing to do. And I would vote to defer.

20 DR. KARRON: Thank you.

21 I am going to vote to retain the
22 current strain. With all of the concerns,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 both voiced by I think many of the people
2 around the table, I'd actually, two points
3 that I'd like to make. One is I'd really like
4 to echo what Dr. Wharton said. I think that
5 if, for example, the B strain had been made
6 at-risk instead of the H3N2 strain, this
7 would've been a very different discussion.
8 And I realize the manufacturers are working
9 with the best data they have, but I don't know
10 how those decisions were made and I would urge
11 them to review them carefully each year, as I
12 imagine they do.

13 The second thing is I would like
14 to have some kind of mechanism for
15 dissemination of the additional data that will
16 become available in the next month or so from
17 the CDC and from other centers to members of
18 this Committee. Not necessarily because it
19 will have an impact on any decision-making,
20 but because I think all of us are concerned
21 about this decision and we would like to be
22 able this data as they become available.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And Christine needs to summarize
2 the vote. And then did you have a comment
3 John? But I'll let her summarize.

4 MS. WALSH: To summarize the vote
5 on the options for H3N2, there were 11 votes
6 to retain the current strain, A/Wisconsin, and
7 2 votes to defer the decision to a later date.

8 DR. KARRON: John, did you have a
9 comment?

10 DR. MODLIN: Well, just one
11 comment. I guess we're now in a post-hoc
12 position of second guessing the decision to go
13 with A/Wisconsin for the first strain, as
14 opposed to say the B-strain. And I guess the
15 question is when that decision needed to be
16 made did we have anymore information at that
17 time that we would be seeing this shift in the
18 H3 strain compared to a similar change in the
19 B-strain? I haven't seen that we have and so
20 I just would question. Obviously you roll the
21 dice and was there anymore information that
22 would've been informative when the dice were

1 rolled a couple of months ago compared to what
2 we have now. And I haven't see that we have
3 it. So that was the only thing that I would
4 raise.

5 DR. COUCH: I guess we're saying
6 the same thing, but when the industry has told
7 us repeatedly that they have to commit before
8 we sit around a table and make a decision, I
9 guess what I'd say is if your commitment is
10 H3N2 be very thoughtful that is the one of
11 greatest concern to us. And if you cannot
12 make that one, we'd prefer it.

13 DR. KARRON: Norman?

14 DR. BAYLOR: I just wanted to make
15 a comment. I guess Bob, the commitment has
16 already been made. They have committed. They
17 have started, many of them already. We do
18 have the march, you know, as we follow-up
19 VRBPAC, which we usually have sometime in
20 March, and that is the time that we could
21 review the data from CDC. We can arrange that
22 meeting anytime in March if that data would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 suggest that we need to have a further
2 discussion. So that's open, although, again,
3 the commitment, I think the manufacturers have
4 already started, and they can correct me if
5 I'm wrong, how far they've gotten. But if the
6 data are so impressive that we need to make a
7 change, we can have that discussion at the
8 March meeting.

9 DR. KARRON: Did you want to make
10 a comment, Mr. Thomas?

11 MR. THOMAS: Yes, I could provide
12 a little insight into the timing or the
13 decisions making for at-risk production. Just
14 bear in mind the decision to produce the
15 A/Wisconsin strain at-risk was decided over
16 seven weeks ago. And that was based upon the
17 best available surveillance data at the time.
18 And if you recall, based on some information
19 already presented here, at that time both
20 B/Yamagata and B/Victoria strains were co-
21 circulating. There was still data on both.
22 So the B-strain was uncertain. There was

1 indications that the New Caledonia strain,
2 which is what had been selected in years past,
3 would be changing, based on the availability
4 of the Solomon Islands. So there was a new
5 egg isolate. The B-strains were co-
6 circulating. And at that point we all
7 realized that the H3N2 strain is the one that
8 has the most potential changing year-to-year,
9 but again it was the, essentially our only
10 available production candidate. And at that
11 time there were no additional egg isolates
12 available, nor did the surveillance say that
13 there was going to be a grouping of an
14 antigenic drift that was currently identified.

15 But the manufacturers would
16 completely support another method here of
17 looking at how we would begin that decision
18 for producing at-risk.

19 DR. KARRON: Thank you. Dr.
20 Choeling?

21 DR. CHOELING: Kathleen Choeling
22 for MedImmune. So I think maybe I should

1 explain just for transparency why we made the
2 decision to go ahead with B, having the same
3 information. And I think maybe the timing was
4 a little bit different when we made our
5 decision. It could've been. But the other
6 thing that the Committee probably may or may
7 not know is that we make our own reassortants.
8 So the CDC supplies us new isolates in a very
9 timely manner. So when we got the A/Nepal
10 H3N2 strain, we were aware that there was a
11 possibility that that strain could change.

12 So I think it's, there are a
13 number of different reasons for that
14 difference in our deciding to go ahead with
15 the B/Malaysia at-risk.

16 DR. KARRON: Thank you. And
17 speaking of the B, that's our one decision
18 left to make.

19 Christine is going to put up that
20 slide.

21 And Dr. McInnes, we're going to
22 start with you?

1 DR. MCINNES: I would vote to
2 retain the current B/Malaysia/2506/2004 like
3 virus, B/Victoria 287 lineage.

4 DR. KARRON: Dr. Hachey?

5 DR. HACHEY: Vote to retain.

6 DR. KARRON: Dr. Stapleton?

7 DR. STAPLETON: Vote to retain.

8 DR. KARRON: Ms. Province?

9 MS. PROVINCE: I also vote to
10 retain.

11 DR. KARRON: Dr. Jackson?

12 DR. JACKSON: I also vote to
13 retain.

14 DR. KARRON: Dr. Word?

15 DR. WORD: I vote to retain.

16 DR. KARRON: Dr. Hetherington, do
17 you have any opinion?

18 DR. HETHERINGTON: No other
19 comments.

20 DR. KARRON: Okay, thank you. Dr.
21 Wharton?

22 DR. WHARTON: Vote to retain.

1 DR. KARRON: Dr. Eickhoff?

2 DR. EICKHOFF: Vote to retain.

3 DR. KARRON: Dr. Self?

4 DR. SELF: Retain.

5 DR. KARRON: Dr. Farley?

6 DR. FARLEY: Vote to retain.

7 DR. KARRON: Any, no, okay. Dr.

8 Couch?

9 DR. COUCH: Retain.

10 DR. KARRON: Dr. Modlin?

11 DR. MODLIN: Concur.

12 DR. KARRON: And I also vote to
13 retain.

14 Yes, Christine, please summarize.

15 MS. WALSH: For the option,
16 options on Influenza B, there were 13 votes,
17 unanimous decision to replace -- I'm sorry,
18 retain, retain current, the B/Malaysia virus.

19 DR. KARRON: Thank you.

20 This concludes our morning
21 session.

22 It is about 12:15 and I would like

1 to propose that we reconvene at 1:15 instead
2 of 1:30, unless does this pose any particular
3 hardship for anyone?

4 Yes?

5 DR. WEIR: I thought we were
6 getting an H5 update?

7 DR. KARRON: Oh, I apologize.

8 Nancy, an H5 update, of course.

9 DR. COX: Gosh, I thought I was
10 going to be let off the hook.

11 This is not the right
12 presentation.

13 Okay. This should be easier.
14 This is just for information only, but I
15 thought it would be very useful to update the
16 Committee on what's been going on with the
17 H5N1 viruses that are circulating.

18 I'll just give you a bit of
19 history and recapitulate what's been going on
20 since 1997. Currently there are two discreet
21 lineages of H5HAs that have descended from the
22 A/Goose/Guangdong virus. And the

1 A/Goose/Guangdong virus is really then
2 ancestral virus of all of the H5 viruses that
3 we have. That is that it's the nearest to the
4 ancestor of the '97 strains that caused the 18
5 human cases with 6 deaths.

6 In '97, I should remind you that
7 there was evidence for direct avian to human
8 transmission with limited, very limited, and
9 rare human-to-human transmission documented.
10 That has remained true since then.

11 Then we didn't hear very much
12 about H5N1, although we thought that it was
13 probably continuing to circulate in South
14 China. And then in late 2003, there was a
15 sort of an explosion of reports of activity in
16 Southeast Asia. And actually, that was at the
17 end of 2003. Earlier in 2003, there had been
18 two human cases with one death in Hong Kong
19 that was from a family that had traveled to
20 Fujian Province to celebrate the Chinese New
21 Year.

22 And then retrospectively, it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 determined that there was a death in Beijing
2 that was thought to be SARS at the time but it
3 was one of those SARS-negative patients who
4 then subsequently was tested for H5N1 and
5 found to be H5N1 positive.

6 So since the end of 2003 until
7 today, we have cases in 12 countries. The
8 latest country to be added is Laos, and I
9 didn't get it on this slide. I'll have it on
10 the next slide. But Nigeria and Laos are the
11 two latest countries, there it is, Laos and
12 Nigeria are the two latest countries to report
13 human cases of, and Laos reported the first
14 human case yesterday, or WHO reported it.

15 So we have 275 cases, 167 deaths.
16 It's a 60 percent case fatality ratio, and you
17 can see where the cases are occurring. The
18 current hotspot is really Indonesia. And then
19 there's a lot of activity going on in birds in
20 Africa as well. And we've heard of recurrence
21 of H5N1 in birds in a number of a different
22 countries, and we heard quite a bit about what

1 was occurring in the U.K. while we were in
2 Geneva a couple of weeks ago. And we also
3 have heard about bird outbreaks in Bangladesh
4 and a number of other countries that hadn't
5 previously reported outbreaks in birds.

6 So, with respect to what we're
7 doing globally, there are some basic
8 principles and practices that the WHO
9 undertakes when there is a newly emerging
10 strain.

11 And developing of H5N1 vaccines is
12 one component of WHO's overall strategy for
13 pandemic preparedness. And there are four WHO
14 Collaborating Centers, as you know, with an
15 additional four H5 reference laboratories.
16 And we share the H5N1 antigenic and genetic
17 data very frequently. It's actually put into
18 a share compartment, which allows us to really
19 compare what is going on. And then WHO
20 convenes periodic teleconferences of these
21 reference labs to discuss the data and
22 apportion tasks require for vaccine candidate

1 reference virus production.

2 And we really have to have
3 integration of antigenic, genetic, and
4 epidemiologic data from both the human and
5 veterinary health sectors in order to make the
6 best decisions about which viruses to select
7 as potential vaccine strains.

8 And consequently, the candidate
9 reference viruses are really chosen on the
10 basis of all of these considerations.

11 So, as I've mentioned the HA
12 sequences divide into two distinct
13 phylogenetic clades. Clade 1 viruses have
14 circulated in Cambodia, Thailand, and Vietnam
15 and caused human infections during 2000 and
16 through 2005. And then subsequently caused
17 two cases in Thailand in 2006.

18 In contrast, clade 2 viruses were
19 circulating in birds in China and Indonesia
20 during 2003 and 2004, and then spread very
21 dramatically westward after the very well
22 known outbreak of H5N1 at Qinghai Lake in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Western China. And it is postulated that
2 migratory birds did assist in the spread of
3 the virus to the Middle East, Europe, and
4 Africa.

5 Clade 2 viruses have caused the
6 majority of human infections since late 2005.
7 And there are multiple subgroups, genetic
8 subgroups, in the so called clade 2. And they
9 can be distinguished both genetically and
10 antigenically, and some of them have very
11 discreet geographical distribution.

12 So the majority of the H5N1 virus
13 detected in Africa, Asia, and Europe in birds,
14 which have been associated with sporadic human
15 infections are in clade 2.

16 Clade 2.1 viruses circulated in
17 poultry and caused human infections in
18 Indonesia. And as I mentioned, Indonesia is
19 somewhat of a hotspot.

20 Clade 2.2 viruses have caused
21 outbreaks in birds in Africa, Asia, and
22 Europe. And these are the Qinghai Lake

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 viruses. And these were most recently
2 associated with human infections in Egypt,
3 Nigeria, and we're not sure yet about the
4 virus from Laos.

5 Viruses in clade 2.3 cause poultry
6 outbreaks and human cases in China.

7 And then there are viruses outside
8 this classification, the 2.1, 2.2, and 2.3,
9 which have been isolated from domestic poultry
10 in Asia. And there are two emerging clades,
11 which are represented by A/Goose/Guiyang/06
12 and A/Chicken/Shanxi/2006. And the virus
13 A/Chicken/Shanxi/2006 is particular interest
14 because it was mentioned at a meeting in
15 Beijing in early December that birds that were
16 well vaccinated with the current inactivated
17 vaccine using the A/Goose/Guiyang strain were
18 having breakthroughs caused by this particular
19 virus.

20 So this is the way we, you can
21 look at my next, unfortunately will not be
22 quite oriented like this, but these are the

1 clade 1 viruses. And we had the vaccine
2 candidates, Vietnam/11/94 and 12/03 about
3 which you heard a lot about yesterday that
4 were developed using reverse genetics to take
5 the multi-basic cleavage site out of the HA,
6 and then they were put on a puree backbone,
7 safety tested extensively, and then
8 subsequently used to manufacture the vaccines
9 that you've heard about yesterday.

10 Clade 2, subclade 1, so 2.1,
11 consists of these Indonesian viruses which
12 have been fairly homogeneous antigenically
13 with the exception of the viruses that were
14 isolated from the Karo cluster. This was the
15 large family cluster that occurred in Northern
16 Sumatra. And those seem not to be the
17 predominant viruses circulating in Indonesia.

18 And then we have Clade 2.2. I
19 mentioned these were viruses that actually
20 descended from the bar headed goose Qinghai
21 Lake virus. We have a number of vaccine
22 candidates that have been made by reverse

1 genetics that are in this group.

2 And then clade 2.3 here, which is
3 circulating primarily in China, and the
4 majority of the Chinese human cases fall into
5 this group. And we have to vaccine candidates
6 here, the Anhui/1/05, which was isolated from
7 a human infection, and then the Japanese
8 white-eye/Hong Kong/06 was obviously isolated
9 from a bird.

10 When we look at these virus
11 antigenically, there is also good
12 differentiation. So we have the clade 1
13 viruses, which inhibit each other well but
14 don't inhibit the clade 2 viruses, so the
15 antisera don't to the Vietnam/11/94 virus
16 really don't do very well in inhibiting
17 viruses in clade 2.

18 These are viruses in clade 2.1.
19 The antiserum to these viruses don't inhibit
20 clade 1 viruses very well and tend not to
21 inhibit viruses in clades 2.2 and 2.3,
22 although they do better with 2.3 viruses.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Viruses in clade 2.2, likewise, in
2 antisera inhibit to these viruses and inhibit
3 each other pretty well but there is
4 differentiation, good differentiation.

5 And the same is true for viruses
6 in clade 2.3.

7 So, we can see very clearly here
8 with color coding, clade 1, clade 2.1 in
9 green, 2.2 in yellow, and 2.3 in blue.

10 If we look at the profiles of
11 these viruses in terms of their resistance and
12 susceptibility to anti-virals, with respect to
13 resistance to amantadine and rymantadine, the
14 M2 channel blockers, we see that clade 1
15 viruses are resistant and there's a particular
16 amino acid change in the M2 protein that
17 confers resistance.

18 Clade 2.1 viruses are a mixed bag.
19 About 80 percent are resistant and there are
20 two different resistance changes that are seen
21 among those viruses.

22 Clade 2.2 viruses have been

1 sensitive.

2 And Clade 2.3 viruses also have
3 been sensitive.

4 If we look at susceptibility to
5 the neuraminidase inhibitors, we see that
6 clade 1 viruses are generally sensitive but
7 there have been several resistant mutants
8 isolated from treated patients.

9 Clade 2.1 are sensitive.

10 2.2, again, generally sensitive
11 but moderately resistant viruses were detected
12 from patients from Egypt that were treated
13 with Oseltamivir.

14 Clade 2.3 viruses are sensitive.

15 I won't bother going through that
16 particular HI table because I think you've
17 seen enough HI tables.

18 I just wanted to point out the two
19 new subgroups here that I had mentioned
20 before, Shanxi virus, and you can see the
21 horizontal distance is the distance that
22 really counts on these trees. And the Shanxi

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 virus is out here from the backbone, the
2 consensus. And then also we have another
3 group that appears to be emerging. There are
4 a number of 2006 viruses in this group, and
5 these are isolated mainly from Guyang. So
6 these viruses are being sought from our
7 Chinese colleagues to be used, possibly used
8 to make candidate vaccine viruses. And we
9 really haven't characterized these in terms of
10 their antigenicity or their susceptibility to
11 the anti-viral drugs.

12 So, in conclusion, I want to make
13 it very clear, and I think everyone in this
14 room certainly knows that H5N1 viruses remain
15 a pandemic threat but have not yet developed
16 the ability to be transmitted efficiently from
17 person-to-person.

18 We've seen some changes in the
19 viruses. In particular, occasional viruses
20 around the receptor binding pocket, but those
21 viruses have not persisted.

22 We're not able to predict which,

1 if any, H5N1 antigenic or genetic variants
2 might acquire the ability to be transmitted
3 efficiently. We see distinct geographical
4 distribution of the H5N1 genetic and antigenic
5 variants, and therefore we really find
6 ourselves as a group, global WHO group, unable
7 to make specific recommendations for use of
8 one particular group or subgroup of viruses
9 because it's not possible to predict which of
10 the viruses in the distinct antigenic or
11 genetic groups might acquire the ability to
12 become officially transmissible.

13 Instead, we are taking the
14 approach that we should provide potential
15 vaccine viruses and that we should encourage
16 the regulatory authorities to produce the
17 reagents that would be needed to make
18 vaccines, both for experimental purposes and
19 for stock piling purposes.

20 So, as you know, the Vietnam
21 strains have been available for some time.
22 The Indonesia/5/05 clade 2.1 is available from

1 CDC. Antigen and serum should be available
2 soon from CBER, and Dr. Ye may be able to
3 comment on that.

4 The reverse genetics, modified
5 Turkey/Turkey/1/2005 clade 2.2 is available
6 from the NIBSC in London. And they also have
7 the antigen and chief serum available.

8 The Qinghai Lake, clade 2.2 is
9 available from St. Jude. Reagents are not yet
10 in production. And that's true also for the
11 Whooper Swan/Mongolia.

12 The A/Anhui/1/05, clade 2.3, virus
13 is available from the CDC. This virus was
14 made during the visit of post-stock from the
15 National Influenza Center in China to CDC and
16 was made together with our staff. Reagents
17 are not yet in production.

18 I'd like to acknowledge all of the
19 many, many collaborators around the world,
20 without whom I could not have made this
21 presentation.

22 Thanks very much.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KARRON: Thank you, Nancy.

2 Questions or comments at all?

3 Now, it's lunch time. We will
4 reconvene at 1:30.

5 (Whereupon, the above-entitled
6 matter went off the record at 12:30 p.m. and
7 went back on the record at 1:35 p.m.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22