

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

TOWN HALL MEETING

NORA

NATIONAL OCCUPATIONAL

RESEARCH AGENDA

The verbatim transcript of the
Town Hall Meeting of the National Occupational
Research Agenda held in Seattle, Washington, on
January 17, 2006.

C O N T E N T S

January 17, 2006

OPENING REMARKS	5
RICHARD FENSKE, PACIFIC NW AGRICULTURAL SAFETY & HEALTH CENTER MAX LUM, NIOSH	
REMARKS FROM STATE REPRESENTATIVE PHYLLIS KENNEY (INTRODUCTION BY MATTHEW KEIFER, PACIFIC NW AGRICULTURAL SAFETY & HEALTH CENTER)	13
INTRODUCTION TO RESEARCH AGENDA PROCESS SID SODERHOLM, NIOSH	14
REGIONAL AND LOCAL SESSION: STAKEHOLDER PRESENTATIONS MODERATOR: NOAH SEIXAS, NORTHWEST CENTER FOR OCCUPATIONAL HEALTH & SAFETY CLOSING: NOAH SEIXAS FINAL REMARKS: SID SODERHOLM	28
AGRICULTURE, FORESTRY & FISHING SESSION - INTRODUCTION TO THE SECTOR APPROACH GEORGE CONWAY, NIOSH (INTRODUCTION BY RICHARD FENSKE)	146
AGRICULTURE, FORESTRY & FISHING SESSION: STAKEHOLDER PRESENTATIONS MODERATOR: GEORGE CONWAY CLOSING: RICHARD FENSKE AND BARBARA LEE	158
ADJOURN SID SODERHOLM, NIOSH	297
COURT REPORTER'S CERTIFICATE	299

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

TOWN HALL ORGANIZERS

GEORGE CONWAY, MD, MPH
NIOSH

RICHARD FENSKE, PhD, MPH
University of Washington Dept of Environmental and
Occupational Health Sciences

BARBARA LEE, PhD
National Farm Medicine Center Marshfield Clinic
Research Foundation

MAX LUM, EdD, MPA, NIOSH
Office of Health Communications and Global Collaboration

NOAH SEIXAS, PhD, CIH
University of Washington Dept of Environmental and
Occupational Health Sciences

SIDNEY SODERHOLM, PhD
NIOSH Office of the Director

PROCEEDINGS

1

(9:00 a.m.)

OPENING REMARKS

2

DR. RICHARD FENSKE

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

DR FENSKE: Good morning, and welcome to the Seattle town hall meeting for the NORA process, the National Occupational Research Agenda. Ten years ago about this time, when the NORA process was initiated by NIOSH, we had a town hall meeting in this very room, and it's nice to be back here in this very nice facility for us. I hope y'all were able to get over here in good time.

We have a full agenda today, but we also have room for people who may not have ended up on the list or want to have comments. I think we'll be able to accommodate most everyone who wants to speak.

My name is Richard Fenske. I'm a faculty member at the School of Public Health and Community Medicine at the University of Washington, and I'm also Director of our NIOSH-funded Agricultural Safety and Health Center, and I'll be hosting the afternoon session. My colleague, Noah Seixas in the front row

1 here, who is the Director of our Education and
2 Research Center, which is also a NIOSH-funded
3 training and education program, will be
4 moderating this session.

5 And just a couple of details about the kind of
6 nuts and bolts, we have a transcription going
7 on. This gentleman here will be recording
8 what's being said so that NIOSH has a full
9 record of this meeting. The basic idea is that
10 NIOSH is here to listen and to find out what
11 you think are the important issues facing
12 occupational safety and health. This morning
13 session is devoted to regional issues in the
14 Northwest in occupational safety and health.
15 We'll have a break and then in the afternoon
16 we're going to be focusing on agriculture,
17 farming, forestry, and fishing.

18 And we have many people from out of town. This
19 is a national -- the national meeting for that
20 subject. You're certainly welcome to stay if
21 that's not your major interest. Everyone is
22 invited to stay of course to hear what's going
23 to be said.

24 A couple of details. If you have a cell phone,
25 if you could put it on a vibration mode or some

1 other mode, or turn it off. It would be very
2 disruptive when people are giving comments to
3 have cell phones going off.

4 After this morning session people -- for people
5 who registered, we were able to provide lunch.
6 There's no eating facility in this building,
7 but those who registered and signed up for
8 lunch, the lunches will be -- your lunch will
9 be upstairs in this building. For those of you
10 who didn't -- who aren't signed up for lunch,
11 there are places nearby and Noah Seixas will
12 outline those for you.

13 Okay, I'm going to keep moving along, and I'd
14 like to introduce Max Lum, who will be -- who
15 came from Washington, D.C. -- NIOSH
16 headquarters -- and will be making introductory
17 remarks for NIOSH. Max.

18 **DR. LUM:** I just, again, welcome you all here.
19 I'm Max Lum. I'm the Communication Director
20 for NIOSH in Washington. John Howard, our
21 Director, couldn't be with you. He's very
22 much under the weather. I wouldn't want to
23 sit next to him a plane coming out here. He
24 really didn't look very well, and he sends you
25 his greetings.

1 Again, the process that we're going through
2 today, the town hall process, is something that
3 we have been through ten years ago when the
4 NORA concept was really created. The idea
5 then -- I had just started I think at NIOSH at
6 that point and was very much involved in the
7 town hall meeting here and the four or five
8 others that we did around the country. But
9 the idea was that we needed a framework really
10 to organize our research, to have a mechanism
11 really available for partners to have input
12 into our research. Not only partners and
13 stakeholders but -- who also helped us put this
14 meeting together, but the general public, the
15 concerned general public.

16 So the town hall process really came to us as a
17 way to go around the country this time, respond
18 to some of the criticism that we heard ten
19 years ago that we only our town hall meetings
20 in large cities, and we're going to 13
21 locations from Lowell, Massachusetts, to
22 Jackson, Mississippi; Los Angeles, Salt Lake --
23 we're really going to be in every region of the
24 country. This is our third one.

25 I'd like to say that we brought you good

1 weather. I'll try not to use the R word today,
2 but it is tempting. But this is the third town
3 hall meeting we had, and the first one we had
4 in Washington, D.C. area, it snowed in early
5 December. The second we had in Chicago. It
6 was one degree I think when we started the
7 meeting, so -- but I did notice -- just before
8 I stepped in here, I actually saw some sun, so
9 that's a -- that's a very encouraging sign.
10 So NIOSH, again, it -- National Institute for
11 Occupational Safety and Health. Most of you
12 know who we are. We are a center of the Center
13 for Disease Control. I think we like to think
14 of us as "the" center of the Centers for
15 Disease Control, but our focus is primarily --
16 almost 80 percent of what we do is workplace
17 safety and health. That's where our budget
18 goes. That's -- our primary mission is
19 research, and that's exactly why -- why we're
20 here today, to hear from you and to get input
21 into our new research agenda.
22 The -- NORA is coming to -- really it's the end
23 of its first ten years, and we need a
24 reinvigoration of input from our stakeholders
25 and partners and the general public. And then

1 we're going to pull all this together at our
2 symposium, the research symposium in April --
3 April 18th, 19th, and 20th in Washington, D.C.
4 -- and really begin the next -- the next
5 process, the next ten years. We look at this -
6 - these meetings as a way to provide input and
7 then to integrate it into our -- into our
8 research councils, and Sid and others will be
9 talking a little bit more about the process and
10 what happens to the information and how that
11 gets organized.

12 So that's why we -- we're really here today.
13 We're very glad that you could be with us and
14 spend some time out of your busy schedules to
15 be here today with us. We want to listen.
16 That is why we're here. Sometimes -- I think
17 generally listening is a difficult thing for
18 government -- government to do sometime. So
19 we're really making an effort through these
20 town hall meetings to listen to what you have
21 to say about our research.

22 And I can tell you how important they really
23 are and how seriously we do consider the town
24 hall meetings. I remember being in Washington,
25 D.C., 1996, at a town hall meeting, and a group

1 of nurses came from Philadelphia and they
2 brought a patient with them who provided moving
3 testimony I think on the spot about the issue
4 of latex allergy. And she was a nurse, could
5 not work any more. Just presented a cogent
6 case I think about why NIOSH needed to take
7 this issue on in our research. And I think
8 without waiting a lot of -- you know, in terms
9 of time, I think we did take this issue on, and
10 I think within a year we alerted every hospital
11 I think in the country based on what we could
12 pull together about latex allergy and work with
13 our partners to get that information out.
14 There are other examples like that, but again
15 we do want to hear from you. We're hearing
16 some very interesting things, those two
17 meetings we've had -- one in College Park,
18 Maryland and the other in Chicago. People are
19 telling us things that we haven't heard. One
20 of those particularly to me is this whole issue
21 of nanotechnology. And also another issue that
22 I heard very strongly in a couple of
23 presentations was the importance of NIOSH's
24 international role. That was a surprise to me.
25 And also the importance of better bundling our

1 data and making it more available to people.
2 We think we do a pretty good job of it, but our
3 partners are really saying well, for different
4 reasons we need to be able to get at your
5 information better.

6 So we are hearing. These town hall meetings
7 are important. We are listening, and -- I
8 think my father use to say in presenting to an
9 audience like there's three things you have to
10 be, and that's be focused, be clear, and be
11 seated. I think we're at the third part of
12 that now for me. We could move on, and we do
13 want to listen to what you have to say over the
14 next day and tomorrow morning also.

15 Has Phyllis arrived yet? We have a state's --
16 is she here? No, she's not here, so do we want
17 to move on, and then we have State
18 Representative Phyllis Kenner (sic) coming, and
19 then we can wait till she -- when she comes in,
20 or we -- is she not going to come?

21 **UNIDENTIFIED:** (Off microphone)

22 (Unintelligible)

23 **DR. LUM:** Do you want to -- Matt Keifer. Come
24 on Matt, you know I'm not going to say that.
25 I'll let you be the fall guy.

REMARKS FROM STATE REPRESENTATIVE PHYLLIS KENNEY

(INTRODUCTION BY MATTHEW KEIFER, PACIFIC NW

AGRICULTURAL SAFETY & HEALTH CENTER)

1 DR. KEIFER: Someone among us has a voice mail
2 on their telephone that arrived Friday night
3 telling us that Phyllis Kenney is not coming to
4 talk to us today. Unfortunately, we only found
5 out about it this morning, and I just wanted to
6 tell you a little bit about her even though she
7 won't be here to deliver her messages. She's
8 an interesting individual. She was born in
9 Hardin, Montana to migrant farm workers, and
10 her family moved to Washington state in 1942,
11 and she grew up in Toppenish, Washington and
12 Wapato, Washington in the Yakima Valley. She's
13 now in her fourth term representing the 46th
14 District in Seattle in the State Legislature,
15 and she's made major contributions in
16 education, in the economics of the state, as
17 well as -- particularly emphasizing the access
18 to higher education and the quality of higher
19 education in the state. She's also made major
20 contributions to workplace health and safety.
21 She's really been a true champion of the
22 underprivileged.

1 Unfortunately, she won't be here to tell you
2 what she has to say today, and I give to you
3 her regrets and regards on her absence. So I
4 think we can go ahead and move on, Max.

INTRODUCTION TO RESEARCH AGENDA PROCESS

SID SODERHOLM, NIOSH

5 **DR. SODERHOLM:** Well, my name is Sid Soderholm.
6 I am the NORA Coordinator for NIOSH. One of
7 the things -- one of the lessons we've learned
8 over the last ten years is that while NIOSH is
9 a group activity, it's -- it's -- it's -- while
10 NIOSH is a group activity, it's NIOSH working
11 together with our partners to identify what --
12 the research that needs to be done and to carry
13 out, that we needed more focus within -- within
14 NIOSH. It was run by committee for the first
15 ten years.
16 So if you have questions about NORA, my card is
17 on the table. Please feel free to contact me
18 directly, and I can be the point of contact for
19 any questions or issues you have about NORA.
20 So I'd like to take just a minute to talk a
21 little bit about NORA and why we're here today.
22 NORA is a national partnership effort to define
23 and conduct priority research. And I'll

1 actually take issue with the way some things
2 have been phrased this morning. It's not a
3 research agenda for NIOSH. It's not what NIOSH
4 needs to do, although we will certainly do
5 those parts that we can. It's a research
6 agenda for the nation, and researchers from
7 around the country will be -- will be involved,
8 and are involved, in accomplishing this
9 research. So we're identifying the priority
10 research, and we're working on it together.
11 The original vision for NORA has certain key
12 elements that are not changing.
13 We decided not to brand this NORA II for the
14 second decade because it's really a
15 continuation of the same NORA process. We're
16 seeking stakeholder input through the web site
17 -- if you've visited there, and I'll give you
18 that address later -- through town hall
19 meetings, through sending e-mails, and we'll
20 talk about where that input will go in a
21 minute. We'll have a process for identifying
22 research priorities for the nation. We'll work
23 together to address those priorities, and we'll
24 leverage funds.
25 We look at the NIH budget and drool. We think

1 wow, if we could just get a little piece of
2 that -- and sometimes we can. Sometimes we can
3 have a joint RFA for grantees to apply for
4 funds where there will be some NIH money and
5 some NIOSH money that will help fund those
6 grants. So we are leveraging funds, and we
7 think we can even do a better job of that.
8 So those are the main elements of NORA, and
9 they're not changing.

10 But what is new and different about the second
11 decade of NORA? We're focusing on moving
12 research to practice through sector-based
13 partnerships.

14 So what are we talking about there? What is
15 our sector-based approach? Well, the idea is
16 that we want to address the most important
17 problems in each sector, and I'll talk a little
18 more later about what those problems are, but
19 they can be a lot of different things. Could
20 be talk-- focusing on the disease, on the
21 exposures, or on systemic failures -- failures
22 in the system. We're talking about a research
23 strategy for each of the major sectors, and
24 we've grouped the North America -- the Census
25 Bureau has a North American Industrial

1 Classification System where every workplace
2 fits into the classification structure. And
3 there are two-digit codes at the top of that,
4 and it goes down to six-digit codes, and so we
5 have -- and the Census Bureau actually defines
6 20 or 21 different sectors. But we found that
7 trying to keep track of 21 things at once,
8 which we were doing in the first decade of
9 NORA, was actually difficult. So those have
10 been grouped into eight kind of sectors, eight
11 major sectors for the purposes of NORA. And
12 the one we're talking about this afternoon --
13 agriculture, forestry, and fishing -- actually
14 is a Census Bureau sector also. It's not a
15 group of their sectors.

16 So we're talking about having a research
17 strategy for a sector, and the sectors are
18 quite broad sometimes and so there may even be
19 sub-sectors that will have their own research
20 strategy. And so those strategies will be
21 identified. They'll be made available. People
22 will see what they are. They'll be able to see
23 how well their research ideas fit into the
24 priorities that have been set by through the
25 NORA process.

1 And then -- the first decade of NORA didn't
2 focus on sectors, but it did talk about the
3 matrix, that you have sectors where workers
4 are, and you have cross-sector issues --
5 musculoskeletal diseases, you know, traumatic
6 injuries, respiratory diseases. Almost every
7 thing crosses across several sectors, if not
8 all the sectors. So there's this matrix of
9 sector and cross-sector issues. We're not
10 losing the cross-sector issues in the second
11 decade of NORA. Those are going to be
12 identified, and it'll be clear where in the
13 sector agendas each of these cross-sector
14 issues appears.

15 So why are we doing a sector-based approach?
16 Well, people work in sectors. People identify
17 with sectors. Research -- cross-sector issues
18 cross across sectors, but there are many issues
19 of implementation, research problems that are
20 specific to a sector. A sector approach helps
21 us with our focus. We can really focus on the
22 goals, the objectives, the results, and really
23 make sure we've got the right partners because
24 many professional organizations, many trade
25 organizations, many labor organizations

1 identify with certain sectors. And we can
2 really get their attention and involve them in
3 the process by letting them know we're focusing
4 on their sector. And we think this going to be
5 an efficient approach. In ten years we'll know
6 more about that.

7 So structurally how is this going to be set up?
8 We're going to have eight sector research
9 councils and these will have a broad
10 membership. They'll be co-led by a NIOSH
11 person and a stakeholder who's outside of
12 NIOSH, and there'll be many members. Probably
13 two-thirds or more of the members will be non-
14 NIOSH people, but we'll certainly have our
15 NIOSH experts there also to learn and to
16 interact. And then the eight sector research
17 councils will communicate with a cross-sector
18 research council, help identify those cross-
19 sector issues and make sure that there's a
20 certain -- where it makes sense, that there's a
21 certain consistency across sectors in the way
22 that we at least talk about and identify the
23 cross-sector issues.

24 And the NIOSH role is one of stewardship and
25 providing some infrastructure. So the NIOSH --

1 we know NORA wouldn't happen without NIOSH, but
2 NIOSH -- NORA is not the same as NIOSH. It's a
3 process that involves many of our partners.
4 So the research councils. The first thing the
5 research councils will do will be to take the
6 stakeholder input that's provided here today
7 that we'll capture through the transcript that
8 Ray Green is preparing, take surveillance data
9 that's available -- that stakeholders will make
10 available to the research councils through the
11 docket or directly, and take the member
12 expertise and go through a priority-setting
13 process and come up with a draft research
14 strategy for their sector or for sub-sectors of
15 the sector. And then that will be posted on
16 the web for comments and it'll be a living
17 document. It can be modified. It can be kept
18 up to date.

19 The research council will not just stop there
20 with the research agenda -- with the research
21 strategy for the sector. They will then work
22 with partners, try to get partners together,
23 try to leverage the funds, have an
24 implementation plan for this -- for the high
25 priority research to actually be accomplished

1 and to have the effect on the workplace that it
2 can have.

3 So, how can you participate? Certainly you're
4 here to provide input or to listen today, and
5 there's also opportunities to volunteer, either
6 through the web site or directly to me. I'm
7 trying to see it on this slide -- there's --
8 I'll provide the e-mail address I think in a
9 minute. It's noracoordinator@cdc.gov, if
10 that's easiest for you to remember. If you're
11 interested in volunteering for any of these
12 research councils, you'll see some of the
13 leaders of some of them here today. Talk to
14 people you know in NIOSH, but certainly contact
15 me and let me know that you're interested.
16 Whether you know which sector you're interested
17 or not, please let me know what your interest
18 is and we will be taking those volunteers and
19 selecting people -- asking people to actually
20 serve on research councils.

21 As you can imagine with the busy lives everyone
22 leads, we've had a number of volunteers but
23 we've not been overwhelmed, so there's a very
24 good chance that if you're willing to step up
25 to volunteer to be on a research council -- or

1 maybe you'd rather say -- be sure to let me
2 know -- I'd like to be a reviewer of documents.
3 I'd like to know when the draft research
4 strategies go up and be able to comment on
5 those. We can also have you involved that way.
6 So, the input -- the input that's provided
7 today will go into the NORA docket. This is a
8 collection of information -- you can see most
9 of it displayed on the web site. If you go to
10 the web site listed cdc.gov/niosh/nora -- if
11 you go to that web site you'll see an
12 opportunity to provide input there. You can
13 provide it as straight text. And after a quick
14 review to make sure it's just not nonsense
15 input from someone, we put that on the web
16 site, so -- and there's a place you can click
17 on that input form that says view comments by
18 others. So you can go and review what others
19 have already in-put. And Christy Forrester
20 here on the front row will be taking the
21 transcript from Ray, parsing it and putting it
22 into the NORA docket on the web site, so it
23 will be come available there.
24 The docket also accepts information by e-mail
25 and by mail, especially if you have figures and

1 pictures and so on that don't go into a text
2 box on the web site, and if you have more
3 extensive comments than you are able to go
4 through in your five minutes today -- if you're
5 only able to hit the highlights today -- please
6 identify those, leave a copy if you can or make
7 arrangements to e-mail us a copy, and we will
8 put that on the web site also. So we're
9 interested in your input in any form that you
10 can make it.

11 That -- the information that goes into the
12 docket will be provided to the sector research
13 council. It will be provided -- the full word
14 -- everything you put in will be made available
15 to them as individual comments. But in
16 addition, we will do some broad grouping so the
17 research councils can see -- kind of index, so
18 the research councils can see where the
19 information and different subjects lies in the
20 docket. So that's what will be provided to the
21 sector research councils by NIOSH.

22 Your input will also be outlined at the NORA
23 symposium that was mentioned by Max. It's in
24 Washington at the end of April. It's not only
25 the tenth anniversary of NORA, it's the thirty-

1 fifth anniversary of the Occupational Safety
2 and Health Act -- very, very close to them, and
3 we -- the symposium will -- we will be
4 celebrating those two anniversaries. And the
5 research -- we'll be celebrating the research
6 that's been done. We were hoping for a 100,
7 110 abstracts to be submitted at least. We
8 thought, you know, we might have to extend the
9 deadline. We might have to really call up our
10 friends. But by the deadline we had almost 200
11 abstracts for the symposium. People are
12 interested; people are coming. They're going
13 to talk about the work that's been done.
14 And we're going to have a series of workshops
15 where we'll be bringing these researchers
16 together to begin to focus on the sector-based
17 approach. We're going to talk about where the
18 cross-sector issues pop up in the different
19 sectors. So the workshops are really intended
20 to bring the work -- the researchers into the
21 second decade of NORA, into the new structure.
22 So please continue -- consider coming to the
23 symposium. The web site is there, it's that
24 same NORA web site. You can see a link to the
25 symposium information. Registration is now --

1 now available. Our co-sponsor is the National
2 Safety Council, and they now have a
3 registration process that's available for this
4 symposium. So if you have any questions, let
5 us know. Visit the web site, and please
6 consider coming to the symposium, too.
7 So let me focus a little bit on what we're
8 going to be doing here today. As was mentioned
9 this morning, we're interested in all topics.
10 We call it regional issues. In the afternoon
11 we're focusing mostly on agriculture, forestry,
12 and fishing. So what are we interested in?
13 We're interested in information on what the
14 problems are -- the diseases, the injuries,
15 exposures, what populations are at risk, and
16 where have the occupational safety and health
17 systems failed. Where are the weaknesses?
18 Where does the system need to be improved? Who
19 are the key partners? Who needs to come
20 together to not only accomplish the research,
21 but to take those research results and have
22 them implemented in the workplace in ways that
23 will actually improve the situation for
24 workers? What kinds of research will make a
25 difference?

1 So we're interested in brief presentations,
2 just the highlights now. Many of you who have
3 built -- or, you know, your careers are focused
4 on particular, very important issues. And
5 clearly in five minutes you can't do it
6 justice. But give us a sense of the
7 highlights. Give us written comments if you'd
8 like to, or go on to the web site later and add
9 comments. Just because you've got some going
10 into the docket through this process, through
11 the transcript, it does not mean you can't add
12 as much more as you want. We're not worried
13 about getting too much information. We're not
14 worried about hearing the same thing twice.
15 Please feel free to do that.

16 So we need brief presentations today, probably
17 five minutes. We'll have a timer. Lonnie Bolt
18 has agreed to be the tough person today. She
19 will let you know when you have four minutes --
20 when you have one minute left, and thank you
21 when your time is up, and then we have a
22 virtual hook that our moderator is authorized
23 to use. So we'll try to keep things moving.
24 We're here to listen. We're here to listen to
25 everyone. We expect there will be chances for

1 people who did not get on the list to stand up
2 and say their piece. The moderator will be
3 making those decisions when we can -- when we
4 can fit that in. We're not -- we don't need to
5 hear criticism on what other people have
6 presented, but if your view differs, stand up
7 and give us your view, too. That's what we're
8 interested in. So that's basically how things
9 will work.

10 Some final messages -- to keep up with what's
11 happening in NIOSH, specifically what's
12 happening with NORA, if you haven't already,
13 sign up for the NIOSH e-news. If you have an
14 e-mail address, and who doesn't these days, you
15 can get a newsletter or -- a short newsletter
16 once a month in your mailbox. You can delete
17 it if you're too busy. If you read through it,
18 you'll see 100 or 200-word summaries of many
19 topics going out in NIOSH, including what's
20 happening in NORA. So please sign up for the
21 e-news. You just have to give an e-mail
22 address, and you go to the NIOSH web site as
23 listed to do that.

24 You can provide additional input on the NORA
25 web site, as I said, and there's the e-mail

1 address to the NORA coordinator mailbox at the
2 bottom. If you have any questions, issues,
3 volunteer, please -- please join us there.
4 So that's the end of what I have. I'll see if
5 there are any burning questions, any issues
6 that are unclear. I believe Noah will start
7 the moderator process, and while you're moving
8 to the table I believe the people who are
9 listed as the first set of presenters we'd like
10 to move up to the front so we can keep the
11 process moving. So, Noah.

REGIONAL AND LOCAL SESSION: STAKEHOLDER PRESENTATIONS

**MODERATOR: NOAH SEIXAS, NORTHWEST CENTER FOR
OCCUPATIONAL HEALTH & SAFETY**

CLOSING: NOAH SEIXAS

FINAL REMARKS: SID SODERHOLM

12 DR. SEIXAS: Good. Thanks very much Sid, and
13 I look forward to hearing from each of the
14 presenters this morning. I am the Director of
15 the Northwest Center for Occupational Health
16 and Safety, the NIOSH-sponsored ERC here in the
17 Pacific Northwest, and one of our greatest
18 challenges is bringing people in from the
19 rather diverse and spread-out region that we
20 encompass, including Alaska, Idaho, Oregon, and

1 Washington State. So I really appreciate those
2 of you have come in from the hinterlands to
3 join us in making the effort. I also
4 understand that due to the rain the train from
5 Portland was actually washed out. The tracks
6 were washed out so some of our presenters from
7 the South actually weren't able to make it,
8 which is unfortunate, but it is representative
9 of some of the challenges we have in
10 coordinating activities in this region.

11 So without further ado, I'd like to ask Janet
12 Peterson for the first presentation.

13 **DR. SODERHOLM:** I'll just take a moment to say
14 if, as a speaker, if you have an extra copy or
15 could spare a copy of your remarks to give to
16 Ray Green, that helps him a lot with the
17 spelling and with accuracy. So he'll certainly
18 go from what was said, but if you have a copy
19 of your remarks he would appreciate it. Either
20 give it to Ray directly or leave it at the
21 front desk. Thank you.

22 **MS. PETERSON:** Good morning. Thank you for
23 the opportunity to provide comments to NORA.
24 My name is Janet Peterson, and I'm a physical
25 therapist and an ergonomic consultant in the

1 Seattle area. I also am current board member
2 of the American Physical Therapy Association,
3 or APTA, and a past president of the Physical
4 Therapy Association of Washington.

5 In looking at future research directions, I'd
6 like to encourage NORA -- and it's really just
7 acknowledging what you are already doing -- to
8 consider an interdisciplinary model when
9 creating research teams. Various disciplines,
10 including engineering, epidemiology, medicine,
11 psychology, physical therapy, and basic
12 scientists working together with the end user
13 of businesses and industry, can produce a more
14 comprehensive outcome than a single discipline
15 working alone.

16 One example of this is the upcoming
17 collaboration between APTA, the Association of
18 Rehab Nurses, and the American Occupational
19 Therapy Association on a project entitled
20 "Therapeutic Use of Patient Handling
21 Equipment". This is the continuation of a very
22 successful corroboration with the ARN last year
23 when we developed and published a white paper
24 on safe patient handling.

25 The purpose of the upcoming program is to

1 develop clinical tools that will assist the
2 clinician in the selection, implementation, and
3 assessment of safe patient handling
4 technologies to reduce the risk of injury for
5 both care givers and patients. Physical
6 therapists are well suited to assisting the
7 research of work-related musculoskeletal
8 disorders. They're educated at the doctoral
9 level now for about 70 percent of the programs
10 across the United States and master's degree
11 level for the remaining, and work in a variety
12 of settings including research -- clinical
13 research, basic research and industry.
14 There's other presenters already on the docket
15 today that can -- that can show that there's
16 evidence already that repetitive motion,
17 stressful postures, and forceful exertions are
18 associated with a variety of musculoskeletal
19 disorders. The rub with that is that I think
20 there's still a great deal of lack of
21 acceptance of that information out there,
22 especially in the business community.
23 Where I think that physical therapists may have
24 a special role in NORA or NIOSH-related
25 research is in looking at things like older

1 workers, those with chronic diseases, obese
2 workers, children, things where you're really
3 looking at specific musculoskeletal issues and
4 chronic disease issues that may have an impact
5 on the kinds of interventions that you're
6 looking at to reduce musculoskeletal disorders.
7 You know, one small thing -- and you mentioned
8 nanotechnology -- that really gets to me as a
9 physical therapist and alarms me is looking at
10 all of the -- how all of our PDA devices and
11 things are getting smaller and smaller and
12 smaller, and our older and older eyes are --
13 and repetitive issues with thumbs and fingers
14 are problematic, and on the other -- on the
15 other end of the scale, you know, we have --
16 right here in our area Microsoft is doing basic
17 research on -- and just came out with a new
18 computer keyboard design, and I just saw a
19 presentation from the primary research on that
20 and asked questions like well, did you think
21 about the younger computer user and how the
22 large keyboard is a mismatch with their
23 anthropology -- or anthropometrics? And the
24 response was, well yeah, but the money is
25 driving us elsewhere. And the money is driving

1 us so that we are not detaching, for example,
2 the numeric pad on the keyboard -- on the new
3 keyboard. If you could detach that you could
4 save a lot of musculoskeletal issues with
5 shoulder, elbow, hand problems on the right
6 side. And computers obviously are -- cross all
7 of the sectors were -- that were listed today
8 because everybody's using computer technology
9 to some extent or another in their work.
10 So I -- APTA -- on behalf of APTA, and we
11 applaud NORA's efforts to seek further evidence
12 to assess the most effective interventions for
13 decreasing the risk for work-related injuries,
14 and we invite you to include physical
15 therapists in those efforts. Thank you.

16 **DR. SEIXAS:** Thanks very much. Pat
17 Butterfield is next. While Pat's coming up I
18 just wanted to mention I think it's going to --
19 in some ways it's a very frustrating format
20 because for each person that gets up the room
21 is probably full of comments and questions and
22 concerns that would be worthy of the whole
23 morning's discussions. We do have a few extra
24 minutes built in. For fairness' sake what I'd
25 like to do is keep to the schedule, have each

1 of the first block of presenters have their
2 five minutes, and then -- assuming we have a
3 few minutes left over at the end -- we'll have
4 an opportunity for people from the audience to
5 stand and add comments to what we've heard
6 along the way.

7 Pat Butterfield, Director -- rather Chair of
8 our Department of Psychosocial and Community
9 Medicine in the School of Nursing at the
10 University of Washington.

11 **MS. BUTTERFIELD:** Thanks. Good morning. I'm
12 Patricia Butterfield. I applaud the inclusion
13 of a cross-sector research council to address
14 issues across the eight proposed sector areas.
15 Many workers living in America's small towns
16 work across sectors holding down two or more
17 part-time jobs. It's essential that the
18 research agenda by NORA address the health and
19 safety needs and the system needs of such
20 workers.

21 Critical issues faced by rural workers and
22 their employers include rural workers that are
23 employed 40 or more hours a week, but still
24 lack having health insurance. What barriers
25 exist in rural communities? What are the

1 infrastructure needs? What types of incentives
2 do we need for small employers to offer their
3 workers benefit packages? How does the lack of
4 private or public insurance impact worker
5 injuries and illnesses associated with workers
6 compensation claims? Our pilot work in rural
7 Whatcom County, Washington outside of
8 Bellingham and in Bozeman, Montana and Gallatin
9 County, Montana -- which is not in this region,
10 but is nearby -- our pilot data, 45 percent of
11 our workers did not have private healthcare
12 insurance.

13 Some of them had two or three different jobs,
14 and they worked not in the service industry,
15 but they might be thinning sugar beets in the
16 spring, working in Burger King in the winter,
17 and then working in construction in the fall,
18 and that's a very common scenario.

19 Another work -- another issue is what are the
20 needs of rural workers caught up in economic
21 downturns? Economic trends in the inter-
22 mountain and Pacific northwest are changing,
23 with fewer families involved in agriculture and
24 mining and more families employed in service
25 industries. Low income families are frequently

1 caught up in local economic downturns, which
2 may result in the loss of health benefits,
3 extended periods of un- or underemployment and
4 the loss -- and the economic necessity of
5 multiple part-time jobs. In addition non-urban
6 areas have relatively few employers, and
7 there's very few options in terms of other
8 types of -- of ways to go.

9 Rural areas experience wide seasonal variations
10 in employment, a phenomenon that Bashier*
11 refers to as a feast or famine economic cycle,
12 which we see in many areas that are gentrified.
13 Whether you're looking at, you know, ski areas
14 or rural areas in central Washington, you see
15 this kind of urban flow. What resources and
16 what types of research do we need to do to
17 really understand this phenomenon in terms of
18 both housing and the experiences of workers?
19 One of the things we saw in rural Gallatin
20 County was a donut effect where poor workers
21 needed to move out of these areas as they
22 became gentrified and move out into areas where
23 they had no resources, and move out into
24 unincorporated areas of the county.

25 The last area I want to address in terms of the

1 theme of rural workers are the lack of
2 occupational health professionals in rural
3 communities, leaving many employers without the
4 requisite information they need to look at risk
5 reduction opportunities. They tend to see
6 illnesses and injuries in workers as a specific
7 event related to a specific worker rather than
8 a pattern of risks that can be assessed and
9 minimized.

10 What types of things can we do to reach out in
11 meaningful ways through occupational health
12 professionals? I direct the nursing program
13 here. We're well suited to providing nurses in
14 those areas, but a lot of times employers are
15 not able to -- small employers are not able to
16 hire a nurse. Actually I see Karen Bowman in
17 the area. She's one of our graduates that has
18 done consulting work with small companies all
19 over the northwest, including out on the
20 Olympic Peninsula. What research do we need to
21 really look at the feasibility of such types of
22 opportunities to meet the needs of rural
23 employers and workers?

24 These and many other issues impact the lives of
25 a considerable proportion of the U.S.

1 workforce. The sustain ability of a rural
2 community depends on the vitality of its local
3 employers. A NORA-supported research agenda
4 needs to address the reality of rural workers
5 and employers across sectors. Thank you for
6 the opportunity to comment this morning. Thank
7 you, Max. Thank you.

8 **DR. SEIXAS:** Thank you, Pat. Next up is Matt
9 Keifer, Professor of Occupational and
10 Environmental Medicine at the University of
11 Washington.

12 **DR. KEIFER:** I'm an Associate Professor in the
13 Environmental and Occupational Health Sciences
14 at the University of Washington and a
15 practicing occupational medicine physician. I
16 direct a training grant that provides
17 opportunities for health and safety
18 professionals and scientists from Asia and
19 Latin America to study at the University of
20 Washington that's jointly funded -- leveraged,
21 as you might say; as Sid would say -- between
22 NIOSH and NIHS. My goals to encourage NIOSH to
23 include support for international occupational
24 health and safety training and research in its
25 next -- in its next National Occupational

1 Research Agenda or the continuation of the
2 former one. It will protect -- in the end
3 protect American workers.

4 You might ask why should NIOSH fund
5 occupational health and safety and research
6 outside the United States? I'll give you two
7 good reasons, though there are more. The first
8 reason, based on an ethical mandate, is just
9 that we in the United States, as we give
10 economic support to populations struck by
11 natural disasters and epidemic disease, it's
12 also appropriate that we help protect workers
13 and their families from developing countries
14 from the consequences of occupational illness
15 and injury which, where social nets are
16 inadequate or nonexistent, can be as
17 devastating as natural disasters.

18 A second reason, potentially more in keeping
19 with the mandate of the Occupational Safety and
20 Health Act which brought NIOSH into being, is
21 that approving the occupational health and
22 safety standards for the workers in developing
23 countries through training of health and safety
24 academics and professionals will in fact
25 protect workers in the United States.

1 And how does this work, you might ask. The
2 lightening fast movement of capital across
3 borders as a result of the neoliberalization of
4 the world economy has made the flight of jobs a
5 painful daily reality for workers in developed
6 -- in developed countries. This mobility of
7 capital in jobs has lead to a bidder's war
8 among developing countries where, in order to
9 attract investment, salaries and benefits must
10 be low, and the workplace environmental
11 regulations must be enticingly unintrusive.
12 The bidder's war cannot be totally ignored by
13 developed countries. In order to compete with
14 developing countries in the new global
15 marketplace and maintain industrial activities
16 in the developed countries, industries there
17 must cut the cost of production at home or
18 decrease the relative cost advantage presently
19 enjoyed by competing countries.
20 In industries such as agriculture, where land
21 capital is not transferable, survival
22 unquestionably will involve correcting this
23 discrepancy in some way. Several options exist
24 for reducing this cost of production. One of
25 the most obvious is by reducing the costs

1 related to the workforce. Mechanization of
2 labor is one option that's been particularly
3 the aim of the Washington tree fruit industry
4 as displayed by the technology road map. This
5 is a long-term strategy, as new tools must be
6 developed and tested and deployed.

7 Another more immediate option is cutting the
8 expenses of employing workers. This includes
9 reducing the cost of salaries and benefits and
10 health and safety standards. The news is full
11 of large companies who have chosen this
12 approach. The arguments for the repeal of the
13 ergonomics initiative, both nationally and in
14 Washington state, were based on this premise.
15 It's evident that the low cost of workers
16 including -- the -- the low cost of the
17 workers, including health and safety, in
18 developing countries is driving the move to
19 limit these costs in the U.S. O'Rourke* and
20 Brown made the point by amending the question
21 posed by the economist Freeman, who had asked
22 whether American wages were set in Beijing, by
23 saying -- asking whether the world's -- world's
24 health and safety standards and conditions are
25 set in coastal China. Morgensen* made the same

1 point in his chapter on workers' safety under
2 siege, stating that the globalization of the
3 free market economy is eviscerating the
4 sociopolitical framework that assures that
5 workers -- that assures workers the rights to
6 free association and safety and health
7 protection in the United States and around the
8 world.

9 Industry in the U.S. will no doubt work to
10 level the playing field in one way or another.
11 I would argue that the best way is to increase
12 the standards for worker health and safety in
13 competing developing countries, rather than
14 lowering our own. Lowering our own standards
15 will increase the number of injured and ill
16 workers and their families, requiring support
17 from the existing social -- social safety net.
18 It is a false solution that results in an
19 inefficient covert cross-subsidy of industry by
20 the greater society. I believe the training of
21 occupational and environmental health
22 professionals and researchers in developing
23 countries will lead to a data driven pressure
24 on governments to improve their occupational
25 and environmental safety standards.

1 My experience as an educator at a world class
2 university with a high quality occupational and
3 environmental training capacity, and my
4 experience internationally, tells me that there
5 are ample developing country professionals and
6 scientists looking for these skills and
7 knowledge who are committed to improving the
8 health and safety of the workforce in their own
9 countries. We need only give them the tools,
10 and they'll do the rest.

11 In summary, I believe that NIOSH -- that by
12 NIOSH supporting and training occupational and
13 environmental health scientific and
14 professional workforce in developing countries,
15 an important portion of the relative cost of
16 production -- the relative cost of production
17 advantage enjoyed by competing countries will
18 be diminished. This will contribute to a
19 leveling of the playing field and a reduction
20 in the downward pressure on American health and
21 safety regulations. It will in the end help
22 protect the health of American workers. This
23 is clearly not the only solution, but it is an
24 important investment for NIOSH and for America
25 to make. Thank you very much.

1 **DR. SEIXAS:** Thank you, Matt. Very good. Next
2 up is Claude Golden from the Boeing Company.

3 **MR. GOLDEN:** Thank you, Noah, and thank you to
4 NIOSH for the opportunity to comment on the
5 research agenda, and also thanks to our friends
6 at the University of Washington for hosting
7 this event.

8 My name is Claude Golden. I'm here
9 representing the Boeing Company. As most of
10 you know, Boeing is the largest aerospace
11 manufacturing company in the world. We're the
12 number one U.S. exporter. Our products are
13 commercial airplanes, defense projects, and
14 space exploration vehicles. We have over
15 150,000 employees in 67 countries. Our largest
16 site of operations is here in the greater
17 Seattle area, and the vast majority of our
18 manufacturing occurs in the United States.
19 Most would agree that the main purpose of
20 occupational safety and health research is to
21 reduce the risk of injury and illness to our
22 workers. The best way to accomplish this goal
23 is to help employers build the most effective
24 safety programs, and to help government adopt
25 the most productive regulations. Effective

1 research is practical research, and research
2 should be targeted to high risk exposures and
3 high risk industries.

4 There is a finite pool of resources available
5 to any employer, no matter how small or large.
6 It's very discouraging to be forced to spend
7 those resources on compliance with standards
8 where there is very little risk at your
9 workplace and have fewer resources left over to
10 spend on higher risk areas in your workplace.
11 Research dollars should not be used on esoteric
12 subjects where injury and illness rates do not
13 show high risk. Aerospace and all of the
14 sectors should be targeted for research in
15 those areas where statistics indicate a
16 problem. And manufacturing sectors should also
17 be contrasted and not necessarily pulled
18 together with construction and agriculture in
19 terms of risk analysis where the types of risk
20 can often be very different.

21 We need much more research on effective
22 mitigation methods of compliance through pilot
23 projects and fit for use and usability testing.
24 We need field testing of different approaches
25 to reduce risk. Oftentimes small pilot

1 programs are inadequate to address very broad
2 questions of productivity issues. Research the
3 best methods for industry to more easily comply
4 with standards, and you'll really see an
5 improvement in safety.

6 Toxicology research should always be cross-
7 referenced and combined with epidemiology
8 research. And as we've heard about
9 nanotechnology, that emerging field needs to be
10 researched for methods of monitoring and
11 detection, and for protection methods of
12 workers. Thank you.

13 **DR. SEIXAS:** Thank you. We are really moving
14 nicely along. At this rate, we'll have a few
15 minutes for added comments at the end.

16 Ryan Olson from Oregon Health and Science
17 University, Oregon, made it through the
18 mudslide somehow.

19 **DR. OLSON:** Yeah, they switched us to the bus.

20 **DR. SEIXAS:** Okay.

21 **DR. OLSON:** I'm an Assistant Scientist at
22 Oregon Health and Science University in the
23 Center for Research on Occupational and
24 Environmental Toxicology. I'm also an adjunct
25 faculty member with the Portland State

1 University Occupational Health Psychology
2 Program, which is one of the original eleven
3 NIOSH/APA co-sponsored occupational health
4 psychology programs, which is a very excellent
5 interdisciplinary training effort. I am an
6 industrial organization psychologist and an
7 applied behavior analyst. My research areas
8 are diagnosing the causes of deficiencies or
9 excesses in critical behaviors relevant to
10 health and safety, self-management and
11 motivation.

12 I'm here to highlight basically the plight of
13 occupational drivers, their health and safety
14 needs, but I also have experience with other
15 transportation populations. The transportation
16 and warehousing sector in 2003 represented
17 seven percent of employment, but 19 percent of
18 illnesses and injuries in the workplace. In
19 2004 it was the second highest fatality --
20 number of total fatalities, transportation.
21 The plight of occupational drivers is
22 highlighted by urban transit operators, where
23 literature reviews have shown that this
24 population has higher rates of hypertension,
25 heart disease, respiratory disease, alcohol

1 consumption, smoking, and musculoskeletal
2 disorders.

3 In the state of Oregon, in the trucking
4 industry, our highest number of workers
5 compensation claims are in that industry. In
6 1999 there were twenty -- nearly 2,500 claims
7 totaling \$25.5 million in costs. Our
8 occupational fatality investigation program,
9 Oregon FACE -- we're one of 14 states with a
10 FACE program -- showed that in 2003/2004 nearly
11 half of our fatalities had a transportation
12 component.

13 A particular trend of interest is that mobile
14 machinery operation fatalities often involve
15 the worker being outside of the vehicle, so
16 behaviors in and around machinery while it's
17 not in transit.

18 And in general I just would like to emphasize
19 that the trucking industry is the backbone of
20 the economy in many ways. And their health and
21 safety and well-being is a significant public
22 safety concern, especially when hazardous
23 materials are being transported or when large
24 semi trucks are involved in collisions on the
25 highway.

1 This -- occupational driving is faced with
2 significant constraints. Performance is
3 generally a function of ability plus motivation
4 minus constraints. Constraints for truck
5 drivers include hours of service regulations
6 that give them approximately three hours of
7 discretionary time during the work day. Truck
8 drivers work 1.5 times the hours of a regular
9 40 hours per week worker annually, and often
10 work 60-70 hours over seven to eight-day
11 periods. They're exposed to vibration and
12 postural constraints for up to 11 hours a day,
13 and are paid by the mile, which encourages
14 driving those 11 hours.
15 They face serious diet, activity and sleep
16 constraints. They're basically rotating shift
17 workers. Their dietary choices are limited to
18 what's available at truck stops, and we are all
19 aware of the sugar, fat, and salt available at
20 those locations.
21 They're also constrained by where they can go
22 to be active, and during cold and rainy and wet
23 conditions it's very difficult for drivers to
24 get in activity.
25 Psychosocial factors relevant to truck drivers

1 include the isolated nature of the work.
2 Isolated workers like drivers have less
3 exposure to modeling, feedback, social
4 reinforcement, and have less opportunities for
5 assistance from workers in dangerous
6 situations.
7 Stress is also a psychosocial factor with great
8 concern to this population. Work/life balance,
9 significant time away from family, traffic, and
10 the stresses of sustained vigilance over 11
11 hours of driving a day; delays with loading and
12 unloading material, which means you're not
13 getting paid for rubber on the road.
14 Another psychosocial factor relevant to this
15 population are public perceptions of the
16 occupation. The public often views drivers as
17 being at fault for collisions by default.
18 There are stereotypes about drivers and what
19 they are like, and the occupational prestige of
20 the profession sometimes is discriminatory.
21 We also need to learn more about the
22 characteristics of drivers, their individual
23 differences. The Getting in Gear health
24 promotion program, which is one of the first
25 comprehensive health promotion programs among

1 drivers, appeared to have significant
2 challenges keeping drivers in the program.
3 They had a nearly 50 percent attrition rate,
4 and drivers failed to use phone consultations,
5 health consultations, or free fitness
6 memberships.

7 A few final notes about particular
8 interventions that I think are important to
9 study for this population. The first include
10 self-management, feedback from technological
11 monitoring systems, and training interventions.
12 The second area is encouraging involvement,
13 which I've already mentioned, recruiting
14 drivers into health promotion programs and
15 retaining them. The third point is the
16 crossover between safety issues and health
17 issues and driving. I have not yet seen data
18 that a healthy driver is a safe driver, but
19 there's a popular perception that that is the
20 case. And last of all, these critical
21 organization of work issues -- vibration and
22 posture constraints, limits drivers face
23 related to activity and diet, and exposures to
24 such things as diesel exhaust when sleeping in
25 a trailer and in the winter time when engines

1 are idling.

2 And that concludes my remarks. Thank you.

3 **DR. SEIXAS:** Catherine Thomsen, also of the
4 Oregon Health Services -- Oregon Health
5 Services Department.

6 **MS. THOMSEN:** Which is a different organization
7 from Ryan's.

8 **DR. SEIXAS:** Okay. Thank you.

9 **MS. THOMSEN:** Hi, I'm Catherine Thomsen, and
10 I'm with the Oregon Health Services
11 Environmental and Occupational Epidemiology
12 Program. I am the epidemiologist with our
13 occupational health programs, as well as some
14 environmental, because as a small state we kind
15 of try to do as much as we can. I want to
16 thank NIOSH for coming around to as many parts
17 of the country as possible to hear all the
18 different input that there is on the National
19 Occupational Research Agenda, because I think
20 there are a lot of issues that are really
21 critical to all of us.

22 I am also really excited about the idea of this
23 being a reinvigorated process. I thought that
24 the first ten years of NORA was really
25 interesting and helpful for helping to set the

1 tone nationally for the kind of focus that
2 there would be on occupational safety and
3 health issues.

4 Oregon has had a very active state-based
5 occupational safety and health program for
6 about 15 to 20 years now. Although we get
7 almost no state funding, we're reliant almost
8 entirely upon funding from NIOSH, we have been
9 conducting surveillance of different conditions
10 in the state for quite a number of years. And
11 I'd like to make the distinction between
12 surveillance and research because I think that
13 that's a really important point.

14 We do ongoing data collection in our state, as
15 does the State of Washington, to try to
16 supplement some of the data that we're able to
17 get at the national level. And this is really
18 critical for us to be able to identify the
19 trends, new emerging issues that are occurring,
20 as well as the patterns that are currently
21 existing, so that we are able to better address
22 what the needs are, what the real issues are in
23 worker safety and health in our states. I
24 think that looking forward to the cross -- this
25 new round of NORA, to what used to be called

1 NORA II but is now the continuation of NORA, is
2 -- one our biggest concerns is how these cross-
3 cutting issues will be addressed.

4 In the first round of NORA there was the more
5 condition-specific focus, and so a lot of the
6 funding that came to states like mine was for a
7 very particular issue. For example, we had a
8 burn surveillance program. We had a pesticide
9 surveillance program, and we also had a
10 dermatitis program. We've worked in asthma, in
11 the fatality assessments that Ryan was
12 referring to, and we were able to do a fair
13 amount in there. It's very exciting the idea
14 now of being able to look at an individual
15 sector and look at the multiple different
16 factors that are affecting the health of the
17 workers in those areas. But there is still I
18 think the potential problem of any time that
19 you are siloing or looking with one particular
20 structure at the health and safety issues, and
21 so I just want to highlight some of the things
22 that we have done in Oregon and how some of
23 those issues might be needing to be considered
24 by the cross-sector research council or by even
25 some of the individual research councils.

1 In Oregon we had a project through our
2 dermatitis program looking at latex, and Max
3 had mentioned this morning that that was one of
4 the issues that came up, and they worked very
5 hard at NIOSH to get the word out to healthcare
6 facilities across our nation to limit exposures
7 to latex, if not to completely remove those
8 from a number of healthcare facilities. What
9 we found in Oregon, looking at our data with
10 our ability to partner with a number of
11 different -- number of different healthcare
12 facilities, sentinel data sources, as well as
13 looking at some ongoing data sources, was that
14 the latex gloves were then being diverted into
15 other sectors. It went from healthcare then
16 into a lot of the service sectors, including to
17 child care and to food service. And what we
18 found was that the number of latex-related
19 problems that we were seeing in food service
20 industry rose dramatically in a very short
21 period of time. And we were lucky enough in
22 our state to have very good relations with a
23 number of different agencies, and we actually
24 won a NORA Partner Award -- so another reason
25 why we like NORA so much in our state -- for

1 our work with the local health departments to
2 do inspections of the restaurants, with the
3 restaurant industry in our state, and also with
4 the labor union that represented, while a
5 minority, still some workers in food service.
6 And we were able to do an education campaign
7 and eventually to have a policy change in our
8 state to remove latex from the restaurants, so
9 it is no longer okay to be a food service
10 establishment and in food preparation to use
11 latex gloves.

12 But they are still used in a number of other
13 areas, and so to latex, even though it is not
14 an issue now in that sector, could still be
15 moving into another industry sector.

16 Another one of the areas that we've worked on
17 in Oregon is pesticide poisoning prevention.
18 And we've had mostly national, but also some
19 state funding in that area. We've worked with
20 our state Department of Agriculture. And while
21 agriculture is a very important area for
22 pesticide use and poisoning prevention, we have
23 also in our data in Oregon seen that a number
24 of years we've actually had more occupational
25 pesticide poisoning events reported outside of

1 agriculture than within agriculture, in office
2 settings and in warehouses in particular. And
3 so again this is not necessarily something that
4 is limited to an individual sector, and
5 something that needs to be addressed across
6 different sectors. So that information -- the
7 outreach and education efforts, the toxicology
8 information -- can be shared across those
9 different industry sectors.

10 **DR. SEIXAS:** Can you sum up, Catherine?

11 **MS. THOMSEN:** Yes, I will try to do that very
12 quickly.

13 Now the other topic that is of great importance
14 to us is special populations. I am a public
15 member on the National Advisory Committee for
16 Occupational Safety and Health and on the
17 committee that is looking special populations.
18 And we're very concerned that both federal --
19 OSHA as well as NIOSH are working together to
20 really try to address some of these issues.
21 Aging and youth workers are other areas where
22 we feel like it's very important, and so it's
23 important again to share that information, the
24 outreach and education.
25 So to sum up, in Oregon we think that state-

1 based surveillance is a really important issue.
2 It's good to have these ongoing data
3 surveillance efforts, and they can't always be
4 pigeonholed into a specific industry or by a
5 specific condition. And so we very much look
6 forward to participating and collaborating on
7 the cross-cutting issues with the research
8 council, nationally as well as regionally.
9 Thank you.

10 **DR. SEIXAS:** Thank you very much, Catherine.
11 Leslie Hammer from Portland State University
12 was unable to make to make it because of the
13 train washout, but we've got another
14 participant, Jim Denovan, who's a Seattle-based
15 consultant in the biotechnology industry.

16 **MR. DENOVAN:** Thanks, Noah. My name is Jim
17 Denovan, and I'm President of EIC Environmental
18 Health and Safety, but today I'm here
19 representing HELP, Health safety and
20 Environment Laboratory Professionals. It's a
21 group of health and safety professionals within
22 the biotechnology and biomedical industry, and
23 we meet on a once-every- two-month basis to
24 help the member companies in our organization
25 to solve their joint problems.

1 I'll tell you a little bit about the
2 biotechnology industry. In the Seattle area,
3 the greater Seattle area -- well, actually in
4 Washington State we have about 190
5 biotechnology companies. Forty percent of
6 those are R&D therapeutic drug-based companies.
7 About 30 percent are diagnostic products and
8 around five percent are plant, agriculture, and
9 animal research companies. Generally these
10 companies start out in research and development
11 in clinical trials, so mostly laboratory kinds
12 of operations, eventually go to process
13 development -- if they make it. And then if
14 they really make it they get into
15 manufacturing, and they will start
16 manufacturing drugs or what-- or the diagnostic
17 product they are making.

18 Within Washington State they employ close to
19 20,000 people. Most of companies employ less
20 than 50, but of course there are several large
21 biotech companies within the Washington State
22 area. We also have many other centers within
23 the U.S. that have large numbers of biotech
24 companies: San Francisco Bay area, San Diego,
25 New Jersey, North Carolina, Boston, Iowa, just

1 to name a few, and Portland has a few, also.
2 These companies generally are biologically-
3 based or chemically-based or a combination, and
4 so they may be working primarily with human
5 tissues, human blood and fluids, or they may be
6 working with specific organisms -- viruses,
7 bacteria, that sort of thing; we're doing
8 research on those, developing vaccines -- or as
9 chemically-based. They can be working with
10 thousands of different chemicals. One chemical
11 -- one company, excuse me, that I work with has
12 approximately 13,000 different chemicals in
13 their inventory -- a lot of different
14 chemicals.
15 Now all these companies have very good control,
16 fume hoods, all the latest in laboratory
17 equipment, but they do have a lot of issues
18 that I believe need to be addressed from a
19 research standpoint.
20 One of them is multi-chemical exposures. A
21 chemist might work with a hundred different
22 chemicals in a day, in a given day. They might
23 be working with multiple carcinogens, mutagens,
24 reproductive toxins, that sort of thing, and of
25 course with mixtures of different chemicals.

1 And more research is needed on the effects of
2 these small exposures and the effects of the
3 mixture --exposures to mixtures.

4 Data on glove penetration, especially for these
5 obscure chemicals, is extremely difficult to
6 find out what gloves work for what chemicals,
7 especially when you've got all of these rare
8 chemicals that you're working with.

9 Compiling Information on infectious agents --
10 great book put out by NIH/CDC, "Bio-safety in
11 Microbiological and Biomedical Laboratories,"
12 but we need more -- we need more information on
13 occupational exposure from infectious agents,
14 laboratory exposures. Health Canada has some
15 great microbiological MSDSs. It would be nice
16 if we had something applicable in the U.S. that
17 gave us more information in one place.

18 Eventually you can find the information, but
19 getting it in one place.

20 And then developing safer standard analytical
21 processes. There's a lot of different
22 processes that are used by every company to do
23 analysis -- perhaps for proteins, RNA, DNA,
24 that kind of thing. Many of them -- they use
25 radioactive material to do those analyses.

1 These companies try to figure out other means
2 of doing this with safer types of materials,
3 but so far they haven't been able to do it
4 because they're small. But a larger body that
5 could do the research -- one example is a
6 Western blot which is used for protein
7 analysis, you use sulfur 35 -- there must be a
8 different way to do that to -- that would be a
9 safer process.

10 And that's basically it.

11 **DR. SEIXAS:** Thank you very much, Jim.

12 **MR. DENOVAN:** Thank you.

13 **DR. SEIXAS:** So this is actually great. Thanks
14 to the speakers for moving quickly. It's
15 great. We have about ten minutes before the
16 scheduled break, so I would welcome anybody in
17 the audience that would like to add to what's
18 been said or to react and discuss any of the
19 issues that have been raised so far. It would
20 be wonderful to get some back-and-forth going.
21 Anybody?

22 I think it's best, Barbara, if you come down to
23 the podium so everybody can hear you and it
24 gets entered into the record. And anybody else
25 who's interested in following Barbara, could

1 move in that direction.

2 **MS. SILVERSTEIN:** Barbara Silverstein, SHARP
3 Program. There are two things that were
4 addressed by earlier speakers that I really
5 would like to support. One is that as NIOSH
6 moves more into the sector-based research, I
7 think it's important to recognize that sectors
8 are pretty critical for intervention research
9 and for dissemination of information in
10 figuring out ways to do that the most
11 effectively. However it should not be done at
12 the expense of basic kinds of research that
13 need to underpin all of our more applied
14 research methods. So I would definitely
15 support NIOSH continuing and even, if possible,
16 expanding some of the basic research that's
17 necessary for the rest of us to be able to move
18 forward.

19 The second thing is I would like to second what
20 Matt Keifer had to say with respect to the role
21 of NIOSH and NIOSH's occupational health and
22 safety partners in both research and training
23 in the international arena. I think it's
24 particularly important, as the rest of the
25 world is involved in harmonization in terms of

1 both standards and practices, that we be an
2 integral part of it and help in the research,
3 expertise development, so that we also can
4 learn from others and have a healthier and
5 safer work environment. Thank you.

6 **DR. SEIXAS:** Excellent. Thank you very much
7 Barbara. Peter Johnson from the University of
8 Washington.

9 **MR. JOHNSON:** Thanks, Noah. There's just a few
10 other topics I would like to elaborate on, some
11 that have been discussed, some that have not.
12 I think one of the interesting topical areas
13 are our kids as kind of a workforce at risk.
14 Kids are exposed to computers at younger and
15 younger ages now. At age five, 80 percent of
16 kids are using computers either at school or at
17 home.

18 Another problem is obesity in the United
19 States. Combined with being a kid, and obese
20 kids may be older -- they're 18 when they enter
21 the workforce, but physiologically they may be
22 older due to the greater exposure to repetitive
23 low-force work and their sedentary nature of
24 what it is to be a kid today.

25 So I think kids are a very important

1 population, and they may be predisposed or more
2 predisposed than prior populations as far as
3 their physical state entering the workforce.
4 The other thing I'd like to elaborate on is
5 what Matt Keifer presented, is the need for
6 NIOSH to take on more of an international role.
7 Basically we're outsourcing a lot of our
8 problems to developing countries, and I think
9 we have the knowledge and infrastructure to
10 help these developing countries. And it would
11 be great if NIOSH could leverage with some of
12 the work of the Fogarty Foundation and other
13 international countries to assist in
14 occupational health-related issues.
15 And the last thing I wanted to talk about is
16 just the focus and the strengths of Region 10,
17 our area. Washington is a self-insured state.
18 There's just a great wealth and depth of
19 resources collected here. And a lot of this is
20 evidenced by the work done by SHARP, Barbara
21 Silverstein and others, as well as Gary
22 Franklin, just looking at the cost of
23 occupation-related entries.
24 And the final comment I wanted to make was
25 maybe a model. There's an interesting model in

1 Ohio State, the safety grants program, where
2 they're tying funds to understanding
3 occupational injuries and the costs and
4 benefits. And I think that's been a very
5 interesting and successful model for
6 delineating the cost and benefit of
7 occupational health. Thank you.

8 **DR. SEIXAS:** Anybody else want to join the
9 crowd here? Okay.

10 **UNIDENTIFIED:** Thank you, Noah, and to NIOSH
11 for holding this meeting. I'd like to talk a
12 little bit about training. In 1998 an
13 excellent publication came out from NIOSH by
14 Alex Cohen* and Mike Colligan* in which they
15 reviewed the training literature. They
16 concluded that of the several thousand -- I
17 think it was 2,000 to 3,000 locations they
18 reviewed from the peer-reviewed literature --
19 that 80 -- 80 -- met the basic requirements for
20 a scientific publication that could be
21 evaluated based on basic scientific principles.
22 Recent training research, meta-analyses have
23 been published in the academic literature,
24 almost ignore totally the research on the shop
25 floor -- I mean training research on the shop

1 floor. And I think it's because they've
2 concluded that it isn't high quality research.
3 Increasingly I think training research -- the
4 thing that probably disturbs me the most is
5 aimed at -- is internet-based and really aimed
6 at what used to be called the MTV generation.
7 It is rapidly moving and aimed at people that
8 are easily distracted. This is not good
9 training for a lar-- a significant section of
10 our population, and the one that worries me the
11 most is the one that's coming from outside the
12 country where we're outsourcing our work and
13 our production to. Some of those people are
14 coming into this country. And in the research
15 we've done with Hispanic workers, which is one
16 of the largest groups that I'm speaking of,
17 they're averagers of education and most in
18 Oregon come from Mexico, are -- is 5.4 to 5.6
19 years of education.
20 Now what that means is that half of that group
21 has got less than 5.5 years of education, and
22 many of them have not been to school at all.
23 Those people are not going to learn from
24 internet-based training. And I think primarily
25 one of the biggest problems is the speed of

1 presentation, but another is the keyboard,
2 which is -- has appeared scary to the people
3 that we've worked with, though we've been
4 working on this issue ourselves.

5 I think most people abandon the hope of making
6 change happen in the workplace, particularly in
7 the agricultural workplace, if they are not
8 able to change the equipment and sell it to the
9 company. If it involves training, forget it.
10 It just isn't going to happen. I have to make
11 a new piece of equipment that will protect
12 people. And I think they're ignoring training,
13 which is I think a critical and will remain a
14 critical issue for that workforce.

15 The second issue I'd like to turn to just very
16 briefly is the issue of durability. Most OSHA
17 training requirements are annual or one time
18 only. And yet there's very little training
19 research on durability. How long does the
20 training information that you get -- the
21 information you get in training -- how long
22 does it last? A principle often reminds -- my
23 wife often reminds me of happily is use it or
24 lose it. And I think the fact is we -- if we
25 don't use the information we get in training

1 fairly quickly, we lose it fairly quickly. In
2 research we've done with kitchen workers on
3 using -- making the correct selection on fire
4 extinguishers to put out fires, you have three
5 or four options from which to chose. We taught
6 them what is the correct option to use for
7 electrical fires, for hot oil fires, and so
8 forth. And when we went back and tested them
9 six months later, they'd completely forgotten
10 that. Now happily they'd not had to put out a
11 fire in that period of time. But if they had,
12 they would not be reaching for the right fire
13 extinguisher. In lab-based research we've done
14 where we've looked -- and that's just cause we
15 only looked at it at six months when they had
16 lost that information. Had we looked at it
17 earlier, we don't know when -- how long they
18 retained it really, but in lab-based research,
19 within weeks, and certainly within a month,
20 people have lost significant amounts of the
21 training -- the information they've learned in
22 training if they don't use it in their
23 occupation.

24 So, two things I wanted to say, and did I
25 mention my name is Kent Anger* and I'm from

1 Oregon Health and Science University. Thank
2 you.

3 **DR. SEIXAS:** Thanks very much Kent. So it's
4 time for a break. I wanted to just make --
5 reiterate one of the points heard here that I
6 thought was particularly key. Peter Johnson
7 mentioned the importance of maintaining Region
8 10 on the research agenda, that keeping the
9 dollars flowing through this region is very
10 important.

11 Thank you. We've got 15 minutes.

12 **DR. SODERHOLM:** If I could have your attention
13 for just a second -- there may be some
14 confusion about lunch. I believe we'll have a
15 list. Christy Boles I hope has the list at the
16 main table. If you're not sure whether you're
17 on the registration list, please check with her
18 and we'll try to resolve who's been invited to
19 lunch and we'll try to start promptly so we'll
20 be able to have time to eat lunch. Thank you.
21 (Whereupon, a recess was taken from 10:30 a.m.
22 to 10:50 a.m.)

23 **DR. SEIXAS:** Take your seats, please, we're
24 going to get started right away. We're already
25 falling behind and losing the opportunity to

1 hear from the august speakers. The next group
2 of speakers, if you're here, could come down
3 towards the front so we can minimize time in
4 between. The first person up is Rick Neitzel,
5 a research scientist at the University of
6 Washington.

7 **DR. NEITZEL:** Looks like some people will still
8 be filtering in here as I talk. Thank you very
9 much, Noah. I'm a research scientist at the
10 University of Washington, Department of
11 Environmental and Occupational Health Sciences.
12 I actually want to commend NIOSH for continuing
13 this NORA process and for gathering all this
14 public input. I think that's a very valuable
15 service they're providing. And I for one am
16 enjoying getting everyone's take on where we
17 need to go for the next ten years.
18 I also want to say I think the sector-specific
19 focus is a very interesting idea, and I'm glad
20 to see there's some infrastructure in place to
21 deal with the cross-sector issues, but I also
22 want to emphasize that I hope cross-sector
23 issues are not in any way downgraded because
24 obviously they impact many, many of these
25 sectors -- essentially all of the eight that

1 I've seen identified so far.

2 In fact, one specific issue I'd like to speak
3 on that covers just about every sector, every
4 industry out there, is occupational noise and
5 noise-induced hearing loss. Obviously this is
6 not a new issue by any means. It's no
7 nanotechnology. In fact, we've known for
8 hundreds and hundreds of years that if you're
9 exposed to high enough noise, you will lose
10 your hearing. Nevertheless, this remains a
11 tremendous issue that we face in the area of
12 occupational health and safety, not only in the
13 U.S. but throughout the world. There are
14 literally millions and millions of workers in
15 the U.S. alone who are exposed to potentially
16 hazardous levels of noise, further millions who
17 have already suffered permanent and
18 irreversible noise-induced hearing loss.

19 That's a pretty heavy disease burden just in
20 the U.S., and if you look globally the numbers
21 are tremendous. In fact, there's an article
22 that just come out in the American Journal of
23 Industrial Medicine that tried to describe the
24 burden of noise-induced hearing loss globally,
25 and the numbers are just staggering. So I do

1 again want to applaud NIOSH for including
2 hearing loss in the first round of NORA, and I
3 want to emphasize that it really needs to stay
4 there. It's not as if we've fixed this issue
5 by simply having it in the first NORA.
6 Again, despite all the information that we have
7 on noise and noise-induced hearing loss, this
8 remains a tremendous essentially obstacle that
9 we need to face. There's been very little
10 regulatory enforcement on this particular
11 exposure. A lot of industries in the U.S. have
12 acknowledged that they have high noise
13 exposures, but the solution has simply been to
14 hand out hearing protectors. And as I talked
15 to some construction workers last week who
16 described to me having to stick an ear plug in
17 their ear with a pencil as far as they could
18 and then stick a second ear plug on top of that
19 in their ear to just get enough noise, it
20 occurred to me that perhaps hearing protectors
21 alone is not a viable solution in America.
22 In fact, it's a flawed and ineffective approach
23 to depend only on hearing protectors, and I
24 what I'd like to encourage NIOSH to do is
25 emphasize and promote the development and

1 implementation of effective noise controls in
2 the industry. There also needs to be much more
3 of an emphasis on the behavioral and
4 organizational aspects of preventing noise-
5 induced hearing loss in the workplace because
6 there are myriad issues that present to workers
7 who try to wear hearing protectors. Without
8 this research on noise controls and on
9 organizational and behavioral aspects of
10 hearing conservation, workers will continue to
11 lose their hearing.

12 I'd also like to put in a plug for continued
13 support of just basic research. We probably
14 know more about noise and noise-induced hearing
15 loss than most other occupational issues.
16 Nevertheless, there are huge gaps in our
17 understanding. There are issues of ototoxics
18 exposures in the workplace, exposures to
19 impulse and impact-type noise. There's also
20 issues about how to properly assess exposures
21 to noise. All these things need to be
22 addressed with continued laboratory and field-
23 based epidemiological studies supported by
24 NIOSH.

25 I'd also like to put in a plug for basic

1 research as a way to develop and disseminate
2 information that's very practical for the
3 industry. For example, we've just finished up
4 a prospective study of noise-induced hearing
5 loss among construction apprentices. And from
6 this basic research came seven peer-reviewed
7 manuscripts, eight master's theses, a joint
8 effort to develop a hearing conservation
9 program with the local associated general
10 contractors; a collection of educational
11 materials, some of which are available out at
12 the booth; a web site that offers information
13 for the public, and a variety of other very
14 practical results that have come out of this
15 supported basic research. So I think that
16 basic research definitely needs to have a large
17 and perhaps larger space on NIOSH's funding.
18 And finally I'd also like to put in a plug for
19 NIOSH support of partnerships like the one that
20 NIOSH and the National Hearing Conservation
21 Association have. NIOSH and NHCA have
22 sponsored several workshops that have looked at
23 ototoxics and solvent exposures, and also
24 exposures to impacts and impulses for noise in
25 the workplace. Those workshops have produced

1 very practical, very usable materials that have
2 benefited hearing conservationists, regulators,
3 academics, and ultimately and most importantly,
4 workers out in the workplace.

5 So thank you very much for giving me the
6 opportunity to put in my two cents' worth, and
7 let's hear from the next speaker. Thanks.

8 **DR. SEIXAS:** Thank you very much, Rick. Next
9 is Mary Salazar of our School of Nursing at the
10 University of Washington. Thanks, Mary.

11 **MS. SALAZAR:** Thank you. I'm really pleased to
12 be here this morning. My name, as Noah said,
13 is Mary Salazar, and I'm a professor in the
14 Department of Psychosocial and Community Health
15 at the University of Washington. And I want to
16 say that these comments reflect not only mine,
17 but also my colleague, Dr. Randall Beaton*,
18 who's a research professor in the School of
19 Nursing as well.

20 I want to begin by thanking NIOSH for the work
21 that they've done these past years -- really
22 since the first NIOSH priorities were
23 identified in the early 1980s -- in the area of
24 occupational stress. And I'm here to address
25 that issue, an issue that I think has a

1 profound effect on all of American workers and
2 is in -- present in every workplace in one form
3 or another.

4 The adverse effects of stress has been well
5 documented in the literature. These effects
6 include an array of psychological conditions
7 such as depression, anxiety, sometime sleep
8 disturbances, as well as physiological
9 conditions, including cardiovascular diseases,
10 gastrointestinal, immunological disorders and
11 so forth. For example, one study found that an
12 exposure to even a month of high levels of
13 stress dramatically increased an individual's
14 susceptibility to upper respiratory infections,
15 and another study identified a direct -- a very
16 direct relationship between workers who had low
17 control on the job and poor health.

18 Inordinate workplace stress may lead to work
19 performance decrements, decreased attention in
20 concentration, increased distractibility,
21 increased muscle tension, and poor judgment.
22 And of course the results of these things might
23 be things such as low productivity, burnout,
24 and even an increased rate of accidents. In
25 more extreme cases exposure to workplace

1 stressors may be a work -- or excuse me, a risk
2 factor for violent acts such as suicide,
3 homicide, and other forms of assault on self or
4 others.

5 Occupational stress is ubiquitous. It's
6 everywhere. Studies suggest that close to half
7 of workers view their jobs as somewhat or
8 extremely stressful, and that the majority
9 feels that their jobs have become more
10 stressful in recent years. In one study about
11 half of the respondents indicated that job
12 stress adversely affected their health, their
13 personal relationships and their job
14 performance.

15 The causes of stress are multifactorial and
16 they're really difficult to quantify. There
17 are numerous factors that contribute to
18 occupational stress, and these include things
19 like increased workload, declining job
20 satisfaction, unsafe working conditions, and
21 oftentimes management and leadership styles.
22 Workers' stress levels are related to the
23 structure of work, the organizational culture
24 and climate, and interpersonal relationships at
25 work.

1 And lastly, occupational stress is costly.
2 Claims for stress-related conditions are the
3 most expensive claims in the workers
4 compensation system on a per claim basis.
5 Other costs related to stressful working
6 conditions include increased absenteeism rates,
7 on-the-job injuries, increased health insurance
8 costs, workplace malfeasance and higher
9 turnover.

10 So what needs to be done? To summarize, it's
11 increasingly clear that although psychosocial
12 hazards may be more nebulous and less tangible
13 than other categories of workplace hazards,
14 they nevertheless exert a pervasive influence
15 on the health and safety of American workers.
16 There are no quick fixes for the multitude of
17 stressors experienced in the workplace.
18 Indeed, recent strategic advances in our
19 understanding of occupational stress, largely
20 supported by NIOSH, must continue and must be
21 accelerated. Despite the number of studies
22 that have effectively documented the cause and
23 adverse effect of occupational stress, there's
24 still a great deal of uncertainty and confusion
25 about the nature and definition of stress, the

1 evidence linking working conditions to health
2 and safety, and the breadth of problems
3 attributed to stress.

4 While much has been accomplished since NIOSH
5 first identified occupational stress as one of
6 its top ten priorities, there's still much work
7 to be done. The conditions that lead to
8 adverse health and safety outcomes are deeply
9 embedded in the climate and culture of
10 organizations. And unfortunately, competition
11 and nearsighted economic priorities often lead
12 to unhealthy and unsafe compromises.

13 Organizations are constantly dealing with
14 competing priorities, and sometimes the choice
15 must be made between short-term profit and
16 worker safety.

17 We need to continue in our efforts to
18 understand how work-related stress affects
19 workers, and we also need to determine what
20 factors cause the greatest burden. And more
21 importantly, we need to develop and test
22 interventions to ameliorate conditions that
23 lead to adverse stress responses that affect
24 workers, their families, and our communities.
25 Thank you for the opportunity to share these

1 comments.

2 **DR. SEIXAS:** Thanks very much, Mary. Jenny
3 Tsai is going to submit her comments in writing
4 to NIOSH, but I think it would be fine to ask
5 Karen Bowman, another -- a graduate of our
6 School of Nursing here at the University of
7 Washington, to take that five minutes and have
8 an opportunity. Karen.

9 **MS. BOWMAN:** I'd like to first thank NORA for
10 hosting these town hall meetings. My name is
11 Karen Bowman. I'm the Chief Consultant with
12 Karen Bowman & Associates, an international
13 occupational environmental health firm based in
14 Seattle. I'm also the vice president of the
15 Washington State Association for Occupational
16 Health Nurses, and I am the environmental
17 health consultant for Washington State Nursing
18 Association.

19 Inappropriate staffing is the number one
20 concern of nurses today, not only because of
21 the effects it has on patient care outcomes,
22 but also because of the detrimental effect it
23 has on health and safety of nurses. Presently
24 research is available that demonstrates the
25 causal relationship between poor staffing

1 policies and patient care outcomes and safety.
2 Now research is needed using current data that
3 explores the relationship between staffing
4 patterns and on-the-job injuries of nurses.
5 Healthcare is the largest growing industry in
6 the United States, employing over 12 million
7 workers, with nurses constituting the majority.
8 One out of every 100 U.S. citizens is a nurse.
9 There's three million of us. It's a large --
10 it's the largest group of healthcare providers
11 in the United States. The healthcare industry
12 is expected to grow exceedingly over other
13 industries over the next ten years. It's
14 estimated that, between 1996 and 2008, 14
15 percent of all new jobs will be in healthcare,
16 adding another 2.8 million new jobs to the
17 United States. With this growth and given that
18 nurses make up that largest portion of those
19 new jobs, it's imperative to identify and
20 eliminate occupational hazards that cause
21 injury and illness to this work group.
22 A recent Institute of Medicine report, "Keeping
23 Patients Safe, Transforming the Work
24 Environment of Nurses", not only shows the
25 relationship between nurse staffing practices

1 and increased errors, it also emphasizes that
2 poor working conditions, including poor
3 staffing practices, is not only related to the
4 patients' risk of nosocomial infections, but
5 also to occupational injuries and infections
6 among staff.

7 In a cross-cut -- excuse me, in a cross-
8 sectional study of more than 1,500 nurses
9 employed on 40 units in 20 hospitals, poor
10 organizational climate and high workloads were
11 associated with 50 to 200 percent increase in
12 the likelihood of needle stick injuries and
13 near misses among hospital personnel and
14 primarily nurses. And needle stick injuries
15 are the principal exposure route for hepatitis
16 B, hepatitis C and HIV.

17 Emerging new infections such as SARS and avian
18 flu highlight the need for improved health and
19 safety systems for hospital personnel. For
20 example, the SARS outbreak was mostly hospital-
21 based, and in many of the countries where the
22 outbreaks occurs -- occurred, nurses were the
23 largest group that was affected. Nurse
24 staffing issues and organizational problems,
25 along with the lack of appropriate fit-testing

1 for respirators, are thought to have
2 compromised the containment of SARS in Toronto.
3 And nurse staffing shortages have been
4 identified as major factors in how hospitals
5 will manage future potential biological
6 threats.

7 Therefore, future research in the global
8 healthcare community is needed addressing staff
9 levels and the risks for healthcare associate
10 infections, occupational injuries, and
11 illnesses. In addition, research findings will
12 assist occupational health professionals
13 determine what's needed for surveillance, work
14 practice changes, and health and safety
15 training of workers.

16 Poor staffing, increased frequency of schedule
17 changes, and increased shift work for those
18 nurses who are not normally acclimated to those
19 shifts cause circadian rhythm disruptions,
20 leading to a variety of physical and mental
21 health issues, some of which Mary Salazar
22 mentioned -- GI disturbances, depression,
23 exhaustion, increased accidents on the job, and
24 lateral abuse.

25 Stress manifests differently according to

1 specialty, nursing specialty and facility.
2 Intensive care unit nurses and hospice nurses
3 perceive an increase in stress directly related
4 to death and dying. Whereas med/surg nurses
5 directly relate it to overwork, and poor
6 staffing and mandatory floating to other units.
7 When you add up all the healthcare industry
8 sick codes, hospitals, nursing homes, home
9 health and residential care, healthcare is the
10 leading industry in the State of Washington for
11 back injuries. Without appropriate staffing,
12 one policy to reduce these injuries is totally
13 eliminated. We do not fully understand the
14 impact staffing has on the magnitude of
15 occupational injury and illnesses in healthcare
16 -- in the healthcare setting, excuse me.
17 Occupational health professionals have an
18 obligation to protect and advocate for nurses,
19 along with other allied healthcare
20 professionals. Further research identifying
21 occupational health hazards related to poor
22 staffing patterns will not only help develop
23 systems to decrease patient errors, but will
24 also improve the health and safety of an
25 endangered profession, as evidenced by the

1 global nursing shortage. Thank you very much.

2 **DR. SEIXAS:** Thank you, Karen. As long as
3 we're on healthcare, and this is a sector-based
4 approach, I'm going to go a little bit out of
5 order here if we can. Is Chris Barton here?

6 **UNIDENTIFIED:** (Off microphone) Yes.

7 **DR. SEIXAS:** I'm going to ask Chris to come
8 next, representing the Service Employees
9 International Union 1199.

10 **MS. BARTON:** Thank you. Good morning. My name
11 is Chris Barton, and I'm a registered nurse and
12 the Secretary/Treasurer of District 1199
13 Northwest, representing 20,000 healthcare
14 workers across Washington State. We're a local
15 union of the Service Employees International
16 Union, representing 1.8 million healthcare
17 workers, building service workers, and public
18 sector workers.

19 I'm glad to participate today and speak on
20 behalf of my local union and our international
21 union and thank NIOSH for planning this series
22 of town hall meetings. In the limited time
23 before me today, I'd like to briefly touch upon
24 a few more of the significant workplace health
25 and safety issues facing our members, and the

1 important role that we believe NIOSH can play
2 in addressing them.
3 However, first we'd like to recognize and
4 support NIOSH's decision in this second round
5 of NORA to move towards an industry-based
6 approach. NIOSH historically has done some of
7 it's best work when this industry-based
8 approach has been taken, as been demonstrated
9 in such sectors as agriculture, construction
10 and firefighting. The service sector is
11 clearly worthy as a separate priority area, as
12 the vast majority of injuries and illnesses now
13 occur in this rapidly growing sector.
14 Furthermore, healthcare and social assistance
15 also deserve their own category, as this
16 growing sector reports a disproportionate (sic)
17 share of reported injuries and illnesses, with
18 hospital workers now suffering rates higher
19 than workers in mining, manufacturing, and
20 construction. Nursing home worker rates are
21 substantially higher. In fact, taken as a
22 group, healthcare workers now suffer a higher
23 absolute number of injuries and illnesses than
24 any other industry sector.
25 We also want to reaffirm our support of NIOSH

1 focusing their very limited resources on
2 applied or intervention-based research. While
3 some basic research is also necessary, our
4 experience tells us for many of the hazards our
5 members face, the solutions have been known for
6 years, if not decades.

7 The main problem that workers face is getting
8 these known solutions adopted in the workplace,
9 where the rubber meets the road, so to speak.
10 NIOSH needs to do more to identify the
11 obstacles that prevent known solutions to
12 workplace hazards from being implemented and
13 develop more practical guides, such as NIOSH
14 alerts, to spur the adoption of these controls
15 at the work site level.

16 Publishing and publicizing studies that
17 highlight pilot intervention programs by
18 progressive and responsible employers is
19 another important avenue to motivate others to
20 adopt similarly protective measures.

21 NIOSH is to be commended (sic) for its past
22 work for recognizing and addressing hazards
23 facing healthcare workers by issuing alerts on
24 latex allergies, needle-stick injuries, and
25 most recently on hazardous drugs. There's

1 clearly a need for more NIOSH alerts on the
2 ranges of hazards facing healthcare workers.
3 Such hazards worthy for more NIOSH alerts
4 include an alert on how healthcare worker
5 staffing levels impact the quality of patient
6 and rates of worker injuries and illness rates,
7 as we just heard; an alert on how to best
8 control glucardihide* exposure and the use of
9 substitutes; an alert on controlling
10 technologies for reducing anesthetic gas
11 exposures on both the operating and especially
12 in recovery rooms; and an alert on implementing
13 workplace violence controls in healthcare and
14 mental health settings.

15 The issue of workplace violence prevention in
16 particular has never gotten the attention by
17 NIOSH that it deserves, based on the very high
18 number of injuries caused by these acts. It
19 was buried within the traumatic injuries NORA
20 category, and we believe has suffered from a
21 lack of leadership commitment and a lack of
22 resources as a result. Last year Marty Smith,
23 a community mental health worker and a member
24 of my local, was violently killed in his
25 client's home. A survey we just completed of

1 over 300 Washington State community mental
2 health professionals found more that (sic)
3 three out of four workers recorded being
4 assaulted, including one in five being
5 physically assaulted in the past two years.
6 Nearly two out of five workers reported that
7 they felt they did not get sufficient training
8 in workplace violence protection. NIOSH needs
9 to provide more tools for front line mental
10 healthcare workers to avert such attacks in the
11 future.

12 And finally, perhaps the biggest unaddressed
13 hazard facing healthcare workers that deserves
14 additional attention by NIOSH is the epidemic
15 of neck, back, and shoulder injuries among
16 healthcare workers being caused by inherently
17 dangerous practice of manual patient lifting
18 and transferring. Nurses on average are
19 getting older, while patients on average are
20 getting heavier. This is a recipe for
21 disaster, as such conditions promote a shortage
22 of nurses willing to work in healthcare. In
23 fact, 12 percent of nurses who have already
24 left the profession report the main reason
25 being that they have already suffered one or

1 more of these preventable, disabling injuries.
2 While at least one NIOSH-funded study has
3 appeared in a peer-review journal showing how
4 the use of mechanical lifting and transfer
5 devices, with or without the use of lift teams,
6 can save backs and bucks, it is clear that what
7 now is needed is a healthcare worker-friendly
8 NIOSH alert -- a tool book, if you will -- on
9 implementing a safe patient handling program.
10 Thank you for your attention and the
11 opportunity to provide these comments.

12 **DR. SEIXAS:** Terrific. Thank you very much.
13 And I think we'll stay on a role here for a
14 moment and have Barbara Silverstein pick up
15 from where you have left off.

16 **MS. SILVERSTEIN:** This is quite a role. My
17 name is Barbara Silverstein, and I'm the
18 Research Director for the Safety and Health
19 Assessment and Research for Prevention Program
20 at the Washington State Department of Labor and
21 Industries. At the request of the Washington
22 State House of Representatives' Commerce and
23 Labor Committee, we recently committed --
24 completed a patient handling task force report
25 with stakeholders from both labor and business

1 in hospitals, nursing homes, home care, home
2 healthcare, hospice care, and pre-hospital
3 medical services, such as emergency medical
4 services. I'm including in -- what I'm going
5 to hand over to you are the -- a list of
6 suggested approaches for addressing patient
7 handling concerns that were identified by task
8 force members. We also have a final report of
9 the task force that's available upon request if
10 NIOSH would like a copy.

11 As the last two speakers said, there's an
12 integral relationship between patient and staff
13 safety with respect to increasingly older,
14 heavier, and often sicker patients being cared
15 for by skilled healthcare workers who are
16 getting older, thereby -- therefore, making
17 recruitment and retention of qualified,
18 experienced staff a critical issue in and of
19 itself. The legislative committee requested
20 that the Department of Labor and Industries
21 convene this task force to examine current
22 lifting programs, policies, and associated
23 challenges in Washington state; to examine how
24 the programs work and how they're funded; to
25 review the current literature in the workers

1 compensation data; and to identify the culture
2 necessary to sustain a successful program. I
3 think these issues have also been touched on by
4 the previous speakers.

5 Let me briefly say that we did as requested,
6 and I would like to first present some
7 conclusions from the task force and then talk a
8 little bit about some of the issues.

9 First, all of the hospitals and nursing homes
10 that we visited were working to implement a no-
11 lift program in some form, with the intent of
12 reducing staff and patient injuries. This was
13 less evident in the other sub-sectors of
14 healthcare. The literature review of
15 facilities with no-lift programs clearly shows
16 reduced injuries to patients and staff, reduced
17 time loss, reduced costs, and reduced staff
18 turnover, and there's very little question
19 about that.

20 A clear barrier to implementing no-lift
21 programs is lack of funding to purchase the
22 mechanical lifting equipment, despite the
23 relatively high return on investment that has
24 already been demonstrated. Home and pre-
25 hospital medical service sectors may present

1 some unique, but not insurmountable, challenges
2 to minimize manual lifting. Developing and
3 testing these solutions in this sector should
4 be a research focus.

5 So with the workers compensation analysis that
6 we did in Washington state, musculoskeletal
7 injuries, particularly of the back, continue to
8 be a problem in this industry. In 2003, in the
9 state fund workers compensation program,
10 healthcare employers had 3.9 times the
11 compensable or lost time back injury claims
12 rate as other sectors combined. For the self-
13 insured in 2003, which represent most of the
14 hospitals, the healthcare sector had 1.5 times
15 the compensable back injury claims rate of
16 other sectors combined.

17 In our literature review and the review of the
18 workers compensation data, we were able to
19 estimate that in Washington state approximately
20 \$32.8 million are spent annually in workers
21 compensation claims in hospitals and nursing
22 homes combined. A 53 percent reduction in the
23 claims rates, which is the median reduction
24 that we see from the literature -- all the
25 studies in the literature -- would save

1 basically in Washington state \$17.4 million a
2 year in direct claims costs. So when thinking
3 about the equipment and the difficulty in
4 purchasing it, there's some disconnect.
5 With the site visits, I think it's important to
6 say with the hospitals and nursing homes that
7 they're similar in that their services are
8 provided in facilities that are under their
9 control. However, they are dissimilar in
10 patient acuity, staffing type and level, and
11 financing mechanisms. I think this is
12 important when you're looking at sector-based
13 research to look at sub-sectors as well.
14 Hospitals and nursing homes were different in
15 the stages of moving toward no-lift
16 environments. All of them had some type of
17 mechanical lifting equipment, with most
18 hospitals having at least one ceiling lift.
19 Both management and employees interviewed
20 recognized that while mechanical patient
21 handling equipment was essential, it was not
22 sufficient without an integrated program or
23 process in place. And all recognized the
24 increased challenge presented more obese
25 patients.

1 The biggest barrier to full implementation of a
2 no-lift program in hospitals and nursing homes
3 was the up-front cost of equipment. For the
4 home sector -- and again this involves home
5 health, home care, and hospice care -- the
6 goals there are to keep the client at home for
7 as long as possible. And this, in and of
8 itself, presents a unique challenge that
9 requires I think more investigation.

10 **DR. SEIXAS:** Barbara, if you can sum up.

11 **MS. SILVERSTEIN:** Yep. Homes are often not
12 structured for ease of client-assisted
13 transfers. The home sector workers often work
14 alone. The client handling equipment is not
15 generally portable, and insurance rarely covers
16 any kind of mechanical lifting devices.
17 With respect to emergency medical services,
18 they have amongst the most difficult tasks in
19 transferring and handling patients. One of
20 them was -- that we identified was as a result
21 of having no-lift programs in nursing homes --
22 that there is a transfer of risks from the
23 nursing home to the EMS worker who picks up the
24 injured nursing home patient who is on the
25 floor in the nursing home.

1 The next part of that has to do with government
2 involvement, and let me just say what I think
3 NIOSH should be doing in terms of government
4 involvement. Not only should there be funding
5 for testing and evaluating no-lift approaches
6 in home and pre-medical service sectors, NIOSH
7 should work with federal healthcare agencies,
8 such as Medicare, to determine the costs and
9 benefits of including portable patient handling
10 devices into federally-funded home care.
11 Additional evaluation of ways to reduce
12 physical load in pre-hospital medical services
13 is urgently needed. Thank you.

14 **DR. SEIXAS:** Thank you very much. Next --
15 we'll try to get back on track -- Ron Tubby
16 from the Intel Corporation.

17 **MR. TUBBY:** First I want to thank NIOSH for
18 this opportunity to give input to its research
19 agenda. And I want to thank Noah and the
20 Northwest Occupational Health Center for
21 coordinating this event. My name is Ron Tubby.
22 I am with Intel Corporation. I am the program
23 manager for chemical management with our
24 corporate operations. I'm an alumni of the
25 University of Washington program so it's an

1 honor to be back here again talking with folks
2 in this setting. I've been with Intel for over
3 15 years.

4 Intel as a company has over 16,000 employees in
5 its Portland, Oregon operations, and Portland
6 is by far and away Intel's largest location.
7 We have our significant research and
8 development operations there in Oregon that
9 research the next generation of logic
10 processing devices and memory devices that we
11 introduce into the market. Intel employs about
12 100,000 employees worldwide. About half of
13 those employees, little bit over half of those
14 employees, reside in the United States. We
15 introduce about \$40 billion into the U. S.
16 economy annually. You didn't hear that from me
17 because we're announcing revenues this
18 afternoon, so don't run and call your
19 stockbroker.

20 The semi-conductor industry sector in the
21 United States represents about 230,000 workers
22 and that's about \$100 billion of revenue
23 annually. That's made up of about 90 companies
24 in the United States, and you can generally
25 multiply those numbers again by two if you want

1 to look at global numbers for the semi-
2 conductor operations worldwide. The semi-
3 conductor industry spends about \$14 billion
4 annually on research and development, and our
5 company is a significant contributor to that by
6 over half.

7 In terms of the people that engage in
8 manufacturing devices that you use in the
9 consumer market. and work in our factories and
10 work with chemicals and work with equipment to
11 manage and process those chemicals, that
12 represents about 50,000 workers for us
13 globally. In the last ten years we have seen a
14 shift of our revenue sources from the North
15 American market, which used to comprise about
16 60 to 70 percent of our sales, to the Asian
17 market, which now comprises about 60 percent of
18 our sales. Commensurate with that shift in
19 market, we are shifting our manufacturing
20 operations to reflect those markets and
21 manufacture products in those emerging
22 economies. That poses new challenges for us,
23 as it does for many other industries, as I'm
24 sure you're familiar.

25 For us specifically, we have engineering staff

1 and technician staff that work inside of our
2 clean rooms inside of our factories that work
3 with chemicals on a daily basis, and process
4 materials through those machines that make the
5 devices that you use. Our top research needs
6 and concerns as an industry and as a company --
7 first on top of the list is nanomaterials, and
8 we've talked about that several times, several
9 speakers have mentioned that today. In our
10 industry specifically, we will see growth in
11 the next five years in the use of those
12 materials, quantified in terms of the dollars
13 we spend purchasing those materials, from a \$50
14 million market to well over a billion dollar
15 market in the use of those materials to
16 manufacture the devices that we sell. That
17 presents a significant increase in the use and
18 propagation of those materials in our
19 workplaces, and significant challenges to
20 occupational health professionals and
21 industrial hygienists to come up with effective
22 and consistent and matching control strategies
23 to ensure that our workers are safe when they
24 are using those materials.
25 Likewise, the equipment market that will

1 process those chemicals will go from what is
2 today a \$500 million market to well over a \$3
3 billion market five years from now. That's
4 projections from the Semi-Conductor Industry
5 Association. If you think on what that might
6 look like ten years from now, you can see
7 probably a logarithmic growth in both the use
8 of the materials and the use of the equipment
9 processing those materials. So we need help in
10 research in toxicology, pharmacokinetics,
11 permeation and transport of PPE*. There's some
12 real fundamental industrial hygiene and
13 occupational health questions that we need help
14 getting answers to, and research to back that
15 up.

16 NNI, ISO, and ANSI* are fully engaged. We need
17 NIOSH to be a player in those conversations in
18 helping us create a safe workplace.

19 Second on our list of research needs is
20 wireless technology. Many of you today use
21 computers that have wireless LAN devices, and I
22 mean that's transformed the workplace. You can
23 now sit in Starbucks and be as effective in
24 Starbucks as you can in your office setting.
25 What we will see over the next five to ten

1 years is a evolution of that from a personal 30
2 to 50 to 300-foot network to a community
3 network, which will pose interesting challenges
4 and interesting benefits to us. But you'll see
5 cell towers -- like cell towers -- projecting
6 broadband wireless signals to the consumer
7 market. You'll be able to access content,
8 instead of through a wired device in your
9 house, through radio signal.

10 That presents unique risk communication and
11 hazard communication challenges as we have seen
12 with cell phones, as we have seen with WiFi and
13 wireless LAN. What people cannot see, what
14 they cannot feel, what they cannot smell comes
15 with, I think, additional and significant risk
16 communication challenges. And we've seen that
17 frequently in the wireless LAN space in terms
18 of our markets.

19 Another issue we need help with, and we've seen
20 this over the last -- emerging over the last
21 three years, is help with pandemic and fomite
22 control strategies for businesses. SARS
23 introduced those terms, that language to us.
24 Avian flu is challenging us right now. We're,
25 as a business, trying to develop strategies to

1 deal with what may happen should we face that
2 kind of outbreak.

3 Commensurate with the use of nanomaterials, we
4 need help with control strategies when we lack
5 environmental health and safety data.

6 Increasingly, we are using new and novel
7 materials that don't come with a breadth of
8 toxicological research. That leaves us in the
9 space of having to apply (unintelligible)
10 principles in many cases, and we need help in
11 looking at the use of complex materials in
12 synergistic combinations.

13 **DR. SEIXAS:** Can you sum up there, Ron?

14 **MR. TUBBY:** We introduce over 5,000 new
15 chemistries into operations every year. And
16 increasingly a significant percentage of those
17 new materials coming in fit that makeup where
18 we lack basic tox research to support the kinds
19 of control strategies that we have to implement
20 internally to our company.

21 And I'd like to thank NIOSH and the Northwest
22 Center for the opportunity to provide these
23 comments. Thank you.

24 **DR. SEIXAS:** We're waiting on another speaker
25 to arrive so I want to go out of order again a

1 little bit. There's no break scheduled now
2 anyway, so I think we're going to keep moving
3 through. Is that okay, Sid? Is Dave Eaton
4 here?

5 **DR. EATON:** Well, thank you, Noah. My name is
6 Dave Eaton. I'm a professor of Department of
7 Environmental and Occupational Health Sciences
8 at the University of Washington and Associate
9 Vice Provost for Research at the University of
10 Washington. I'd like to thank NIOSH for the
11 leadership in providing this opportunity for me
12 and other NIOSH stakeholders to provide input
13 into the National Occupational Research Agenda.
14 NIOSH provides a critical component of the
15 national strategy to reduce occupational
16 injury, illness, and disease by supporting both
17 basic and applied research that then provides a
18 scientific foundation for the regulatory
19 policies that protect worker health and safety.
20 I'd like to address to critical areas of
21 research, and this is focused heavily on basic
22 research, that I hope will become a central
23 element of NORA, both of which are technology-
24 driven and represent the applications of new
25 cutting edge science to major occupational

1 health issues.

2 The first of these is exposure assessment. The
3 importance of good quantitative measures of
4 actual exposures to occupational hazards,
5 particularly for chemical and physical agents
6 that represent chronic health risks, really
7 cannot be overstated. This is particularly
8 important for occupational epidemiology
9 studies, oftentimes of which serve as the
10 foundation for new insights into disease
11 relationships with exposures, that often relied
12 in the past on crude estimates of exposure to
13 assess occupational risk to chemical and
14 physical hazards, oftentimes crude in the sense
15 of exposure assessment being based on job
16 title, or even more crude measures of actual
17 exposure.

18 Poor exposure assessment can lead to erroneous
19 conclusions about the hazard or the presumed
20 safety of a chemical or a physical agent in the
21 workplace. I encourage NIOSH to stimulate new
22 innovative approaches to occupational exposure
23 assessment to take advantage of the new tools
24 of genomics, proteomics, and metabolomics that
25 have been developed as an offshoot of the Human

1 Genome Project. Applications of these tools
2 may identify new molecular bio-markers of
3 exposure that will help to accurately and
4 quantitatively quantify biologically relevant
5 exposures to chemical or physical agents in the
6 workplace on an individual basis.
7 Although much work remains to be done in the
8 development and application of these tools to
9 occupational exposure assessment, the time is
10 now for NIOSH to recognize the promise of these
11 approaches and to invest in basic research that
12 will ultimately lead to accurate quantitative
13 bio-markers of exposure, some of which may even
14 allow retrospective assessment of past
15 exposures. Better exposure assessment will
16 reduce exposure misclassification in
17 occupational epidemiology studies, thereby
18 increasing both the power and the accuracy of
19 such studies to identify real associations
20 between exposure and illness or disease.
21 The second area I'd like to address is for the
22 application of new technologies to occupational
23 illnesses and diseases is in the area of
24 genetic susceptibility, also an offshoot of the
25 Human Genome Project. OSHA as you know is

1 mandated by law to protect even the most
2 sensitive individuals from workplace hazards.
3 It's now becoming evident that the same
4 exposure to a chemical or physical agent may
5 affect one individual but not another, based
6 largely on subtle genetic differences. For
7 example, it's now well established that chronic
8 lung disease from occupational exposure to
9 beryllium is largely confined to a relatively
10 small portion of the workplace population that
11 carries a genetic disposition to the disease.
12 Although the recognition of this poses huge
13 ethical challenges in how such personal
14 information is used, it is critical to
15 understand the magnitude of variability and
16 sensitivity to workplace hazards if one is to
17 establish workplace standards that are both
18 cost effective and adequately protect sensitive
19 individuals.

20 Such information is also useful in
21 understanding the etiology of disease. Another
22 example of where genetic sensitivity has been
23 shown to be important in occupation diseases is
24 perhaps worth illustrating. A colleague of
25 mine, Dr. Martin Smith at the University of

1 California Berkley, recently published an
2 article in Science Magazine about a year ago
3 that demonstrated that a subset of workers with
4 specific genetic variance demonstrated a
5 measurable decline in white blood cell counts
6 and other markers of blood or hematotoxicity
7 following occupational exposure to benzene at
8 workplace concentrations at or below the
9 current standard of one part per million. And
10 this is a study done in an international
11 circumstance in a workplace population in
12 China. Although the long-term biological
13 significance of the effects that he measured is
14 not certain, it clearly demonstrates that the
15 current tools of genomics can help to identify
16 susceptible populations to occupational hazards
17 and help to quantify the range of human
18 variability. And I would add that in addition
19 to using interesting genetic bio-markers of
20 susceptibility, Dr. Smith utilized some really
21 cutting edge exposure assessment tools. So he
22 combined the best of new technologies in
23 exposure assessment with genetic
24 susceptibility.
25 As I stated previously, there are many ethical,

1 legal, and social implications of using genetic
2 susceptibility information in workplace hazard
3 assessment and policy. NIOSH should support
4 both the basic research necessary to increase
5 our understanding of individual susceptibility,
6 and policy research to ensure that such
7 information is used in a socially responsible
8 manner.

9 So as NIOSH goes forward with their next ten-
10 year research agenda, it's important that the
11 advances in basic science and technology be
12 built into the research agenda and future
13 funding priorities.

14 Thank you again for the opportunity to comment
15 on the NIOSH NORA. Thank you.

16 **DR. SEIXAS:** Thanks very much, Dave. So I
17 think we're going to skip a couple of speakers
18 and try to get back to them if they arrive
19 because they have related talks. So I'm going
20 to move on, and we're going to slowly start to
21 segue at this point into the agriculture
22 sector.

23 Is Jerry Dzugan here?

24 **MR. DZUGAN:** Yes. Thank you, and thank you for
25 having me. I've always wanted to say this in

1 front of a group. I'm from the fishing
2 industry; I'm here to help you -- since I never
3 get to say I'm from the government, I'm here to
4 help you -- so I did it. I don't need to say
5 that anymore. It's gone.

6 I want to focus on fishing vessel safety, and
7 just before I even begin, say this is -- I
8 understand this is a really hard industry to
9 study because it's so dynamic. I mean it's --
10 basically you're studying an environment in
11 which the place people are sitting and standing
12 on works in all cardinal directions, and the
13 environment is exposed to weather and it's just
14 -- it's not a controlled laboratory. It's very
15 difficult.

16 So I have five points I want to make that I
17 think are worthy of NORA to look at in terms of
18 research.

19 One is traumatic injuries. I was doing a drill
20 once on a boat in Petersburg. It was the -- 90
21 percent of the ownership of Icicle Seafoods,
22 these old Norwegians in their 70's. I had six
23 of them all in one class together, and all
24 their hands were on the table. And I was
25 debriefing the drill with them, I realized

1 there wasn't a complete set of fingers on that
2 boat. You know, there should have been 60
3 fingers there; there was only about 48. And
4 it's just endemic in the industry, and we don't
5 have good statistics on that due to a number of
6 things.

7 Everybody is collecting the data differently so
8 it's even hard to get a handle on it when you
9 don't know what injuries are happening with
10 what frequency. So if nothing else, just that
11 collection of data would be good and that's
12 going to -- but that will be a challenge. A
13 lot of it's hard to collect due to liability
14 and privacy issues and the Jones Act and many
15 other things, but it's very worthy to try to do
16 something about.

17 The second issue -- it's closely related -- is
18 ergonomics. How many people here have bad
19 backs? If this was a group of fisherman it
20 would have been 90 percent would have raised
21 their hand. It's totally endemic to the
22 industry. You can tell experienced crew
23 members and fishermen because they have scars
24 on their wrists that go this way. Not this
25 way, that might be due to something else. But

1 they go this way because of -- they've been
2 getting the operations to open up their carpal
3 tendon sheathes so that nerves don't -- when
4 they get inflamed, can -- won't bother them so
5 much. And many, many other things. The work
6 that Don Bloswick* has done out at the
7 University of Utah is a great beginning on
8 that, looking at ergonomics and developing
9 procedures and practices for fishermen to use.
10 The challenge of course on that one is getting
11 people to change their work habits.
12 The third issue, which is related to the first
13 two -- again, all of these are related -- is
14 fatigue, fatigue on vessels. There's been some
15 work done on that in fisheries in Australia and
16 at IFISH II. At the IFISH II Conference, the
17 Australian women working on that were
18 interested in working with the UK and Alaska
19 fleet to began to study that a bit. And the
20 challenge and problem there is the management
21 and work regimes may not be compatible with any
22 of the guidelines they could develop for this.
23 Developing a work schedule on a fishing boat --
24 when you're on literally 24/7, weeks on end,
25 when in the middle of the night, when it's not

1 your watch, you've got to get up to haul gear,
2 to tie up to the dock, to do other necessary
3 work is going to be a really hard one to do.
4 Fourth issue, which is again very endemic, and
5 not much work has been done with it that I know
6 about, is hearing loss. Any fisherman that's
7 been around boats for 10, 20, 30, 50 years
8 especially has -- many of them have hearing
9 aids, and it's very difficult for them to hear,
10 which means that they can't hear alarms on
11 boats. They can't hear things on the radio
12 with other machinery noises going on, so -- and
13 they just can't hear communications between --
14 between crews, so it would be good to develop
15 some data on the long-term effects of hearing
16 loss on fishing vessels, if nothing else except
17 just to develop some awareness that this is a
18 problem.

19 And the fifth issue -- I'm glad Ken brought
20 this one up. Thank you, Ken. I thanked him
21 during the break -- was we are involved with
22 training fishermen in how to survive major
23 casualties at sea. And as a result of this
24 training, we train them to be drill instructors
25 and do skills once a month so that in a

1 casualty at sea they'll be able to put on their
2 immersion suits in 60 seconds, fight a fire
3 efficiently, et cetera, et cetera. But what's
4 the -- I think the term Ken used was the
5 training durability of this -- what type of
6 refresher training needs to happen and how
7 often should these skills be reinforced.
8 Obstensibly (sic), they're supposed to be
9 reinforced once a month during the drills, but
10 the only data we know about says that about
11 only 18 percent of the boats at best, overall,
12 are doing drills once a month. The training
13 they may get just might happen when they get
14 their once-in-a-lifetime drill training. So
15 it's important I think to study the digression
16 of the -- of those skills over time.
17 And this is important not just to look at that
18 to see what that retention skill rate is, but
19 as a lot of your work goes into -- it's not
20 just facts and figures you're dealing with, but
21 it's going to be used to set policy also, and I
22 think it's really important when you're looking
23 at some of these things to remember that.
24 People are going to be using this information
25 to set policy and regulations and other things,

1 so that's it. I'll just conclude with saying
2 that the research that's going to be taking
3 place in this -- hopefully in fishing vessel
4 safety is not going to take place in a sterile
5 lab. It's a very dynamic environment and lots
6 of challenges in that, but it's very, very
7 worthwhile. It's the -- always been the number
8 one and two loss rate in industry so it'll be
9 great to have your attention. Thank you.

10 **DR. SEIXAS:** Thanks, Jerry. Thanks for coming
11 down from Alaska.

12 Chris Woodley from the Coast Guard?

13 **MR. LAWRENCE:** Good morning. My name is Ken
14 Lawrence, and I don't know where Chris is. I
15 didn't even know Chris was supposed to be here.
16 I came up from Portland. I'm with the Coast
17 Guard -- what used to be the Marine Safety
18 Office down there. Now we're something called
19 the sector, to more properly recognize a more
20 multi-mission organization. I'm the commercial
21 fishing vessel safety coordinator down in
22 Portland, and my representation along the coast
23 is the Oregon and coastal Washington small
24 fishing communities. Basically, we have about
25 2,000 vessels with crew size varying between

1 one and five, so we're talking about small
2 organizations. We're talking about family
3 businesses, that sort of a smaller
4 organization.

5 Jerry has eloquently addressed a lot of the
6 issues that I have. I'm going to go and
7 repeat, for -- just so that I can stay with my
8 notes, a little bit of that. But basically
9 what we've seen with small fishing vessel
10 casualties and fatalities at those rates,
11 although the numbers are small, the rates are
12 unacceptably high, somewhere between 70 and 300
13 fatalities per 100,000 workers, depending on
14 what specific fisheries. And almost all of
15 those are directly attributable to human factor
16 causes and lack of safety and survival
17 training.

18 The exposures that commercial fishermen have to
19 deal with -- it's a very dynamic environment.
20 You've got the physical marine environment, and
21 especially during the winter off the Pacific
22 northwest that seems to concentrate the
23 fatalities and the vessel losses that we're
24 used to seeing. There's a very highly
25 competitive and complex, market-driven economic

1 forces that influence all of this behavior.
2 There's very complex and often risk-promoting
3 fishery management that drive a lot of those
4 economic forces, as well as a long tradition of
5 risk tolerance and avoidance of regulation
6 within the industry, either the government or
7 self-industry.

8 There's a lot of lip service lately that's been
9 paid to safety, but when we get down to it
10 there's not always a lot of action. The
11 population that we're dealing with -- this is
12 one of the great unknowns as far as the Coast
13 Guard is concerned. We've got a very diverse,
14 mobile, disaffected and seasonal employee base.
15 We've got people that will one season fish in
16 Oregon, and then they're down to California,
17 then they're out to the south Pacific. These
18 folks are moving all over the place.

19 There's a lot of data out there, but there's
20 very little rational denominator information
21 out there that I've been able to cull that
22 allows us to go ahead and get a more
23 quantitative idea of some of those risks,
24 especially by specific fisheries. A lot of the
25 conclusions that we end up coming to when we

1 start playing with numbers -- there's lots of
2 swag, wild-ass guesses, and it's very rarely
3 more than a broad brush across the entire
4 industry, which makes a specific fishery
5 intervention very difficult to develop or
6 justify.

7 Some of the failures in the systems that we've
8 seen is the commercial fishing industry, for
9 the most part, lacks OSHA jurisdiction and the
10 regulations from the Coast Guard have had, in
11 my opinion, a poor prevention-based result
12 history. And there's very poor injury
13 tracking, although there are some specific
14 areas -- Alaska, for example -- where they are
15 very good at tracking the injury rates and some
16 of those data. But the communication and the
17 applicability to other fishery sectors can be
18 ambiguous, complicated at the least.

19 As far as our key partnerships, I've enjoyed a
20 long working relationship with the NIOSH Alaska
21 Field Station, and I want to thank them for
22 their research and support over the years in
23 the fields of crab vessel safety, deck safety,
24 training studies, PFD usage studies, those
25 sorts of things. It's very specific research

1 and development that's allowed the Coast Guard
2 to partner with industry and to make some very
3 specific, effective interventions to try to
4 minimize some of those fatality rates.

5 The other NIOSH sponsorship that's I think
6 benefited the Coast Guard quite a bit is the
7 sponsorship of the IFISH Conferences, the
8 International Fish Industry Safety and Health
9 Conference. The first two, in Woods Hole and
10 in -- I'm sorry was it Woods Hole or
11 Newfoundland? Woods Hole, and the second one
12 in Sitka. The third one is coming up here in
13 Chennai, India in another couple of weeks --
14 has provided us a really valuable forum to get
15 the -- some of these key players together to
16 start concentrating and figure out where some
17 of the vacuums and the holes in the data and
18 the information are so that we can go ahead and
19 start to fill in some of that collective
20 knowledge that we have.

21 Some of the future research that I'm looking
22 forward to working with my NIOSH colleagues, as
23 well as Jerry Dzugan, for example, the Alaska
24 Marine Safety Education Association, the areas
25 of crew training and crew competence, which are

1 going to help us get a handle on some of those
2 human factor accident causation, as well as a
3 better idea of injury prevention. Generally,
4 the Coast Guard is very attuned to an accident
5 when somebody dies because those numbers are
6 hard to hide. But injuries become almost
7 transparent given the population group. We
8 really don't have any handle on injury
9 prevention.

10 And, lastly, looking forward to using some of
11 this to make improvements in risk-based
12 government and industry interventions and
13 policy and regulations. So I want to thank
14 NIOSH for a chance to make this input.

15 **DR. SEIXAS:** Thank you very much. John
16 Garland, Oregon State University, Forest
17 Engineering Department. John.

18 **MR. GARLAND:** My name is John Garland. I'm a
19 professor and timber harvesting extension
20 specialist in the Forest Engineering Department
21 at Oregon State. I should point out the
22 remarks are my own and not those of the
23 university.

24 For the past 32 years I have been providing
25 problem-solving education, research

1 implementation, and technology transference in
2 building of human capacity for the forestry
3 sector in Oregon and the region nationally and
4 to some degree internationally. I'm the
5 ergonomics research leader for the
6 International Union of Forestry Research
7 Organizations which deals with international
8 cooperation on forestry research.

9 The emphasis in my career has been on selection
10 training, motivation, and safety and health for
11 the forestry workforce. Over the years I've
12 participated in three revisions of Oregon's
13 safety code over ten year cycles, and have seen
14 the efforts to improve safety in that sector
15 advance through those revisions.

16 I don't need to tell you that forestry work is
17 dangerous. The news media does it for us
18 regularly, in that it's among the top
19 industries and has been for the past 30 years.
20 I think what I would tell you, though, is that
21 there have been improvements, and Oregon has
22 seen a reduction in fatalities in the logging
23 workforce that are significant over the years,
24 going from fatalities in the 40 person per year
25 range down to less than ten and some years just

1 one or two. So there have been changes that do
2 work.

3 However, logging workers are aging faster than
4 the general male population. I mean that for
5 the entire group. More than 45 percent of the
6 workers are over 45 years of age, and that
7 isn't the way it was in the past, so that
8 workforce is aging substantially. Firms
9 themselves are becoming smaller, so their
10 ability to do management and oversight to
11 improve safety is becoming less and less. In
12 addition, recruitment among the forestry sector
13 is reduced because of comparative losses in
14 relative wages compared to other industries.
15 So forestry workers are losing ground compared
16 to other opportunities.

17 There have been increases in the Hispanic
18 population of all western states that I'm aware
19 of, but in Oregon we've grown from four percent
20 in 1990 to about -- over ten percent right now.
21 And that means that that Hispanic workforce is
22 also finding its way into the sectors. Some
23 firms have Hispanic crews entirely, with
24 separate gringo crews, and others are mixing
25 the cultures, with some interesting

1 complications in language problems. We'll see
2 Hispanic populations make up more and more of
3 the forestry services sector.

4 Mechanization continues in the forestry sector
5 and improves safety, but it changes the kinds
6 of hazards related mostly to maintenance kinds
7 of injuries and sometimes injuries from thrown
8 objects, let's say from the machines
9 themselves. Operators suffer cumulative trauma
10 from the work that they do in long hours and
11 restricted positions, and that's been noted in
12 other countries.

13 In recent years I've worked with synthetic rope
14 to replace wire rope in logging as a tool to
15 reduce workloads, but it really needs to be
16 done on a system-wide basis rather than a
17 rather isolated research projects.

18 New technologies able to monitor workers status
19 by the clothing they wear would give us some
20 good insights as to what the workloads are and
21 what the fatigue factors might be for workers,
22 and this relates to the nanotechnologies listed
23 earlier.

24 Training is crucial to forestry workers, but
25 effective approaches and evaluation of

1 materials hasn't been done along the same
2 lines. We don't have materials that can be
3 used within the individual firms themselves.
4 Sometimes the training schools do quite well,
5 but are not sustainable once the grants run
6 out.

7 Oregon's landmark revision of the Forest
8 Activities Code changed it from a prescriptive
9 code of do this/don't do that sort of an
10 approach to more of a safety and health
11 management where workers are asked to be
12 competent for the work that they do. And the
13 training and supervision and oversight needed
14 to produce that is what's called for in the
15 codes. So we have different approach for the
16 logging sector now, and we'd like to see some
17 evaluation of that to see what would happen.

18 Let me just list quickly the ideas that relate
19 to that for OSHA or NIOSH research that would
20 be helpful. Demographics of the forestry
21 workforce -- there are about four different
22 regions for the forestry workforce. We lost
23 ground when we changed some of our industrial
24 classifications, so there now no is -- there is
25 no series relating to this sector. So we need

1 to look at the demographics.
2 The aging workforce is critical. We ought to
3 treat the workforce more like we do trees.
4 Consider regeneration, a little fertilization
5 in terms of education, and consider the old
6 growth that is our aging workforce.
7 We need to have the technological developments
8 necessary, and the prior sources for that in
9 the federal government with USDA and the
10 equipment manufacturers is no longer there.
11 Those have been cut back. So if safety is
12 going to be the prescription for research, it
13 may need to come from something like NIOSH.
14 We need evaluation of training strategies and
15 documentation of those systems that work within
16 firms, and we need to find ways to integrate
17 the Hispanics into the logging and the forestry
18 services workforce, keeping in context the
19 cultural and language differences, indeed
20 including some of the risk-taking behaviors
21 that may be different for the Hispanic culture.
22 I think we need to look at the new approaches
23 to safety and health regulations that I
24 mentioned and study whether or not those have
25 merit for small firms that we're working with.

1 One special project that I've called for for
2 years has been understanding the risk-taking
3 behaviors of seriously disabled workers. Once
4 all the dust has settled, it would be helpful
5 to find out what was really going through the
6 minds of the workers when they actually
7 encountered the incident. You can't do it
8 right after the accident for a variety of
9 reasons, but I've had anecdotal evidence that
10 workers provide important risk-taking
11 characteristics interviewed some time after the
12 accident has occurred, and that hasn't been
13 done for forestry workers. It would be very
14 helpful.

15 Operator overload and cumulative trauma from
16 machine operators is an area that will continue
17 to be of importance as more and more of these
18 operations are mechanized.

19 I'd like to see us study smart clothing and
20 worker feedback in real time so we could tell
21 when the stress is high, when the worker
22 fatigue is at high levels, and I think there
23 are some technologies now in military uniforms
24 that provide a starting basis for this, as well
25 as monitoring heart rates and other measures

1 that we have traditionally used.
2 One of the areas that's been critical in recent
3 years has been fighting wild land fires. And
4 much of the applications have been done by
5 individual workers with shovels and by
6 airplanes. But there's a whole category of
7 work that needs to be done with mechanized
8 equipment that can make this wild land
9 firefighting more effective, and that hasn't
10 been studied from its safety and health aspects
11 and certainly needs to be looked into. I've
12 not had good success encouraging that among the
13 firefighting community.

14 **DR. SEIXAS:** John, if you could sum up.

15 **MR. GARLAND:** Sure. Two other points, we need
16 to evaluate research proposals within the CDC
17 research system because I think the process now
18 doesn't give good attention to the kinds of
19 proposals that may help the forestry sector.
20 It tends to focus medical research rather than
21 operational research.

22 And finally, I thank the opportunity to speak
23 to you today.

24 **DR. SEIXAS:** Thank you very much. Moving right
25 along, Bill Krycia.

1 **MR. KRYCIA:** Still good morning. My name is
2 Bill Krycia. I'm a Regional Manager with Cal.
3 OSHA Enforcement out of Sacramento. I'm also
4 the Chair for the External Advisory Panel for
5 the Western Center for Agricultural Health and
6 Safety at the University of California at Davis
7 where my daughter now goes. I'd like to thank
8 you for this opportunity, and talk about
9 western ag. And I've been reading these
10 comments and trying to -- you know, the great
11 speakers this morning -- and trying to follow
12 with that. And what I'm going to say, to me,
13 sounds so simple that I'm almost embarrassed to
14 say it, but I feel that I have to say it here.
15 And that's that western agriculture, California
16 agriculture, is very labor intensive -- very
17 labor intensive. And that that labor workforce
18 has a significant population of non-English-
19 speaking immigrant, low-income laborers. And
20 that brings special needs I think to the west
21 coast.

22 Leaping into things that I want to address from
23 an enforcement standpoint, it's clear that this
24 group does not file complaints with us, so
25 they're almost invisible. That doesn't mean

1 that they don't have needs. And I may be
2 speaking from my very limited perspective as an
3 OSHA enforcement person, not a consultant. I'm
4 an enforcement person. My folks are -- you
5 know, write citations. We sign off on
6 citations. We take employers to court, and we
7 do all those things that a lot of folks don't
8 really like. But from that perspective,
9 there's -- there are groups out there, the
10 Penaros*, I was just thinking about the Penaros
11 when we were talking just now about logging,
12 and I know that next week we have to reach out
13 to that group. And so there's a lot of things
14 that we're trying to develop right now that I
15 think bear some research, even though I haven't
16 included them in my notes here. But I think
17 that that's a group we need to outreach to in
18 research and find out what hazards address
19 those folks and what needs they have.
20 To get back to my notes, I'd like to suggest
21 that we continue with ergonomics in
22 agriculture. California has a hand-weeding
23 standard, and it addresses one facet of
24 ergonomics in agriculture, and there are a lot
25 of other issues in ergonomics and agriculture

1 that need to be addressed, not the least is
2 hand harvesting. I think that we need to
3 continue on and take a look at issues for that.
4 I'd like to see additional research on the
5 practice itself of hand weeding and the impacts
6 of the engineering, horticultural and
7 administrative interventions that employers are
8 currently involved in. I think we need to
9 follow up on that. Just because we have a
10 standard now, I wouldn't want to see that
11 dropped.

12 Work involved in high heat environments has
13 been in the focus this past season for us. And
14 California currently has a temporary emergency
15 standard in effect. There's clearly a wealth
16 of literature and research on the subject of
17 heat-related illness, but I see significantly
18 less information available on the components of
19 agricultural workloads. One of the things I
20 said I wouldn't do but I think I'm going to do
21 it is say that -- you know, my initial review -
22 - and I'm not an epidemiologist, I'm an
23 industrial hygienist -- is that it's almost all
24 exertional heat stress. And so that's one of
25 the things that I think that we need to bring

1 to -- information in, maybe do a little bit
2 more research on, so that the -- it's important
3 for employers to have this so that they can
4 understand what exertional heat stress is and
5 have information to train -- I wasn't going to
6 talk about training but I heard other people do
7 that -- so that they can train their employees,
8 to protect their employees. I think that's
9 just critical for prevention, so research on
10 that.

11 I also think that information about the early
12 recognition of heat-related illness is
13 absolutely critical, and so these employees --
14 these agricultural employees -- work in remote
15 locations, some exceptionally remote locations.
16 And they're at some distance and time from
17 advanced life support, and so the failure to
18 recognize heat-related illness very early means
19 that they only recognize it when the employee's
20 in the final stages of heat stroke. And when
21 it takes 45 minutes to get an ambulance out
22 some place that doesn't have advanced life
23 support, or it may take, in some cases, 90
24 minutes to get a helicopter if they even call a
25 helicopter, that's unfortunately in about a

1 dozen cases been too long. So I think we
2 clearly need to address early recognition.
3 One of the other things, too, that I've noticed
4 this past year is night work in agriculture. I
5 don't think anybody else is talking about that,
6 and what I mean by that is they harvest at
7 night. They harvest tomatoes, they harvest
8 grapes. And there's other night work going on,
9 and some it's due to they're -- they're trying
10 to avoid the heat, and some of it's due to the
11 special crop requirements. You know, they want
12 a nice crisp white wine for the consumer and so
13 they'll harvest at night. So that's okay. But
14 when they run over and kill their employees,
15 that's not. And our standards, I noticed, are
16 -- they really haven't addressed that. I think
17 that's a change in an agricultural practice,
18 and I would suggest that additional research be
19 done on night -- night work in agriculture.
20 I'd also like to acknowledge the western ag
21 centers, both of them, for their input. One of
22 the things I've talked about my myopic focus on
23 enforcement, and I really use the ag centers to
24 kind of broaden that because again, like I've
25 said, the workforce that we're dealing with in

1 agriculture doesn't call in and file complaints
2 about it's too dusty. They don't generally
3 file complaints, even in California, about
4 ladders. I don't see that, and there's some
5 work to be done on ladders, too.

6 **DR. SEIXAS:** And if you could sum up.

7 **MR. KRYCIA:** Okay, and I'd like to thank NIOSH
8 for their cool publications that we use a lot,
9 and that's it.

10 **DR. SEIXAS:** Thank you. Jim Sedore.

11 **MR. SEDORE:** Good morning, members of NIOSH and
12 OSHA. Thank you for the opportunity to provide
13 input on the future research on reducing work-
14 related injury and illness in employees in
15 agriculture, forestry, and fisheries. My name
16 is Jim Sedore. I've been the Safety and Health
17 Manager for the Washington State Department of
18 Natural Resources for the last 20 years.
19 The state manages about five million acres of
20 state-owned land, and protects 12.7 million
21 acres of -- of private and state-owned forest
22 lands for wildfire management. We have
23 approximately 1,200 employees, 400 summer
24 firefighters, and 400 inmates who work for us
25 every day.

1 Employees file approximately 180 work-related
2 claims per year that require medical attention
3 beyond first aid. Despite the exposures to
4 wildfires, SCUBA-diving, mine inspection, and
5 timber harvesting, the DNR has one of the
6 lowest rates of claims per hour of any state
7 agency. At your request, I can provide
8 statistics on accidents, severity, and
9 frequency for the last six years.

10 However, I'd like to talk about three related
11 claims that need further research. I
12 appreciate John's comment related to timber,
13 and we can talk about old growth, mid growth,
14 and reprod. In old growth, age-related
15 injuries -- as retired parameters result in
16 older employees in the field, what can
17 employees and employers do to reduce the number
18 and severity of age-related injuries? I'd
19 like to give two examples.

20 Injuries to load-bearing joints -- the number
21 and seriousness of knee injuries are
22 increasingly significant in field employees
23 over 45. DNR employees, most them now cannot
24 retire until they're 65. It's one thing for a
25 person to carry a chain saw up and down the

1 mountains when they're 25, 30 years old, but
2 when you're 60 do you want to do that? What
3 can be done to improve conditioning, footwear,
4 medical treatment for knee injuries? In the
5 past five years DNR employees have suffered 127
6 knee injuries costing \$320,000, including about
7 \$50,000 dollars in time loss, an average of
8 \$2,500 per knee injury.

9 Hearing loss -- the cumulative effect of years
10 of working around equipment, even with hearing
11 protection and engineering controls, is
12 resulting in significant hearing loss in aging
13 employees. Much hearing protection is
14 cumbersome and unclean in a logging and
15 firefighting environment. We also see of
16 course hearing loss occurring -- hearing
17 injuries occurring in young ages, but
18 manifesting itself in degrees of hearing loss
19 as they become older.

20 Among the mid-aged workforce, creating and
21 maintaining a physical fitness in wild land
22 firefighters and natural resources workers is
23 more and more an issue for us. While vehicles
24 and equipment are great, there are many places
25 where fire engines and bulldozers can't go.

1 In government, managers don't know if they can
2 justify fitness programs and gym memberships to
3 the taxpayer. However, many tasks in natural
4 resources environment require a high level of
5 physical fitness. Objective research is needed
6 to show if there is a value of on-the-job
7 fitness programs on injury prevention,
8 productivity, and sick leave reduction.
9 Ideally this research would identify the most
10 effective fitness and conditioning programs for
11 those people who must do arduous work. This
12 research would follow up on current NORA
13 research projects on aging effects and
14 intermittent work capacity, effects on physical
15 conditioning on lifting biometrics, and
16 evaluating the effectiveness of the logger
17 safety training program.
18 The last -- the new workforce, the upcoming
19 workforce I call weak, fat, and electronic. In
20 years past, natural resources employers often
21 hired children of loggers, farmers and
22 fishermen. The young population -- this young
23 population is shrinking and being replaced by
24 young adults who are great with the joy stick,
25 but have never used a chain saw. They can

1 operate an iPod, but they don't know what a
2 manual transmission is. And more and more of
3 them are overweight with asthma or diabetes.
4 What medical exams or fitness tests are best at
5 identifying the fitness of applicants?
6 As much as our young culture becomes more high
7 tech, how do we teach arduous, hand-labor
8 skills like digging a fire trail or operating a
9 chain saw to remove downed trees?
10 Lastly I'd like NORA to do a better job of
11 marketing the results of your research. We
12 need to implement the findings of many NORA
13 research projects by sharing the results with
14 employers. On the web I found many NORA
15 research projects that apply to my workplace,
16 but I could not find many results or
17 implementation strategies to apply in the
18 woods. Thank you very much.

19 **DR. SEIXAS:** Thanks, Jim. I believe finally,
20 Hilary Stern with CASA Latina. Thank you,
21 Hilary.

22 **MS. STERN:** Thank you very much for inviting me
23 here and for putting me at the end. We've been
24 waiting for my colleague, Guillermo Torres, who
25 had car trouble, but he never showed up, so I'm

1 just going to have to speak on both of our
2 behalf. I am the Executive Director of CASA
3 Latina, and we're a community-based
4 organization that educates and organizes low-
5 wage workers -- mainly low-wage immigrant
6 workers. We've had a day labor center for
7 immigrant day laborers that we've been
8 operating for seven years. We're also a member
9 of the National Network of Day Labor
10 Organizers, which is a national organization
11 that includes 30 different organizations around
12 the country located in 12 different states.
13 Our experience is typical of all of these
14 organizations. We were formed in response to
15 the growing number of day laborers gathering on
16 the streets to be picked up by contractors that
17 need them for home renovations and residential
18 construction, and homeowners that need help
19 with their gardening or any other type of home
20 improvement projects.
21 We have -- we started to organize this
22 underground economy to provide more protection
23 to the workers, as well as to address public
24 safety issues related to unorganized laborers
25 using the public sidewalks as a hiring hall.

1 We see over 1,000 day laborers who register at
2 our center per year in Seattle. In addition,
3 there are at least 500 who never register at
4 our center and prefer to work on their own on
5 the outside -- on the sidewalks surrounding the
6 center, or in different Home Depots in the
7 area.

8 It's very hard for us to measure the number of
9 day laborers who are working outside of our
10 center, but we know that it's growing because
11 more and more places have sprung up as pickup
12 sites. A few years ago, there was -- all of
13 the pickup was done in the Belltown* area, and
14 now there are pickup sites in several Home
15 Depots around the area. And in one Home Depot
16 in the Soto* area, there's 50 to 75 workers
17 that gather there daily.

18 And these day laborers form a growing and
19 significant sector in the labor force; however,
20 they operate in an underground economy where
21 few records are kept.

22 Workplace injuries are very common. We see
23 people with bad backs, cut fingers, cuts on
24 their legs, et cetera, and we know anecdotally
25 that most day laborers receive very little

1 safety training on the job. When they're hired
2 for one day or two days, the -- their employers
3 -- doesn't waste any of that time giving them
4 any safety training. And many times they're
5 left alone unsupervised.
6 Often they're expected to complete work for
7 which they've had little or no training, and
8 they're not able to do it. Part of this is
9 because they say that they know how to do
10 something just to get the job, when they
11 actually have never had any experience doing
12 it. And if safety equipment is available, they
13 often don't use it since there's very little
14 supervision. And culturally they don't have
15 the experience of using that safety equipment
16 in their own countries even if they have had
17 experience doing that type of work before.
18 This is a huge unregulated field where little
19 data is available, so it's very difficult to
20 determine the proper remedies. Because the
21 problems are undocumented and therefore it's so
22 invisible, it's very hard for us to get
23 resources to address these problems.
24 We need research on the extent of safety
25 training and workplace injuries of day

1 laborers, and particularly on immigrant day
2 laborers. Thank you very much.

3 **DR. SEIXAS:** Thank you, Hilary. Well, we're
4 doing pretty well on time here, so we're just
5 coming to the end. If I might take a minute to
6 just hear -- mention a couple of the themes
7 that I picked up. First thing that is evident
8 is that if NIOSH addresses even half of the
9 issues presented this morning, not to mention
10 this afternoon, they're going to have a very
11 full agenda.

12 The first thing that comes to mind is there is
13 a fair bit of interest in this sector-based
14 approach versus the more cross-cutting sector -
15 - the more cross-cutting issues approach. And
16 NIOSH is going down a certain road, and not
17 without some concern and problems identified by
18 some of the speakers this morning. There's a
19 continuing need for basic research that really
20 informs the more intervention-related or --
21 type of research that might be amenable to
22 specific sectors. So we've heard speakers on
23 both sides of that issue, and I think it
24 continues to be an important thing for NIOSH to
25 consider.

1 The second theme that I hear is about the very
2 -- the very dynamic and changing nature of the
3 workforce and workplace. And there are a
4 number of things that were mentioned by
5 multiple speakers talking about long shift
6 schedules, night work, the aging workforce, day
7 laborers and undocumented and immigrant
8 workers, and especially non-English-speaking
9 workers. There are clearly very profound
10 changes in the American workplace that need to
11 be incorporated into how we see our research
12 activities, not to mention protection programs.
13 And part of that dynamism is represented by
14 some specific sectors, such as the fishing
15 industry. Trucking industry was mentioned
16 where work is very unpredictable and difficult
17 to get at.

18 A third issue that was raised in a number of
19 different ways is kind of high technology or
20 new technologies, both in terms of the
21 nanotechnologies, novel chemicals, and mixtures
22 of chemicals that we don't have adequate
23 information on for exposure assessment or for
24 control systems, or the basic toxicology just
25 isn't there.

1 A third theme is the importance of
2 international work -- or a fourth theme, that
3 is -- is the importance of internal work, both
4 in terms of presenting -- extending our
5 knowledge and expertise in what we have to
6 offer internationally, but also because what
7 goes on elsewhere in the world is more and more
8 affecting us here at home. And we need to
9 engage in that process overall.

10 And the final thing that I heard from a couple
11 of speakers that I think is very important is
12 training effectiveness. Many of us in safety
13 and health spend a lot of time in training and
14 yet are at a loss for how to really judge
15 whether we're doing the right thing there, so
16 that's another area I hear.

17 So with that we'll turn to lunch. I'm sure
18 you're all willing to join me in that. Sid, do
19 you have some directions? I'll turn it over to
20 you.

21 **DR. SODERHOLM:** I'd like to make a couple of
22 quick comments and the most important part is
23 to hear exactly how lunch is going to work.
24 Lunch is one of my favorite times of day, so I
25 don't want to miss that.

1 First of all, I would like to thank all of
2 those who helped put this morning's session
3 together. Our local organizers have been very
4 key to not only the venue and the whole day,
5 but especially to this morning's session, and
6 if it -- we would be thanking people even more
7 -- in a more physical way if United Airlines
8 hadn't lost part of my luggage, so we'll catch
9 up on some of the thank you's that we had
10 planned to hand out today.

11 **UNIDENTIFIED:** (Off microphone) We'll take
12 another check.

13 **DR. SODERHOLM:** We'll take another check, okay.
14 Well, Mike Galvin's* here. He writes checks
15 all the time, so we'll let him do that, so --
16 and I would like to interject just a real quick
17 note that the -- NIOSH is very proud of what
18 we've done in setting priorities or how we've
19 tried to mobilize people and have succeeded to
20 some extent in mobilizing people to set
21 priorities in occupational safety and health
22 research.

23 We're a part of CDC. CDC is embarking on a
24 research agenda process, and there's an
25 opportunity for public input. If you go to the

1 CDC web site, www.cdc.gov, you'll see an
2 opportunity for public input. One of the
3 questions is have they adequately included
4 occupational safety and health research in
5 their public health research plan. Is there
6 too much occupational safety and health
7 research there? And many of you are public
8 health experts. There are lots of other
9 aspects of public health. Please go to the web
10 site and comment on how far they've gotten on
11 setting their research priorities.

12 So with that about CDC, I'll remind you that
13 we'll be reconvening at 1:15, and we're going
14 to learn about lunch.

15 **MS. HOLLAND:** Hello. Briefly I want to tell
16 you that those who have pre-ordered their
17 lunches, if you could please just proceed
18 upstairs, Stephanie Timm* will be handing them
19 out. And they are labeled by name so just
20 identify yourself to her, and she'll give you
21 your lunches.

22 Those who weren't on the list but signed up to
23 be kind of on our standby list, if you wouldn't
24 mind just waiting a moment for the bulk of the
25 people to move through the line, we do have

1 extras and we have ordered some extras. So I
2 think that we'll be able to accommodate
3 everyone for lunch. It might be a bit of a
4 limited selection. But those who are
5 vegetarians, we definitely have portobello
6 mushroom sandwiches for you, so no worries
7 there. And if you're not on neither list then
8 I think we'll still have enough for everyone.
9 So again, if you could proceed upstairs to the
10 McCurdy* Gallery, we'll get you served.

11 **DR. SEIXAS:** Thanks very much.

12 (Whereupon, a recess was taken from 12:15 p.m.
13 to 1:20 p.m.)

AGRICULTURE, FORESTRY & FISHING SESSION -

INTRODUCTION TO THE SECTOR APPROACH

GEORGE CONWAY, NIOSH

14 **(INTRODUCTION BY RICHARD FENSKE)**

15 **DR. FENSKE:** We're ready to start the afternoon
16 session, if you could all make yourselves
17 comfortable.

18 (Pause)

19 Okay, we're going to get started. If there's
20 anybody outside there that wants to come in,
21 it'd be great. Okay, welcome to the afternoon
22 session of this town hall meeting, NIOSH town

1 hall meeting. My name is Richard Fenske. I'm
2 a faculty in the School of Public Health and
3 Community Medicine at University of Washington,
4 and also Director of our Agricultural Safety
5 and Health Center that is supported by NIOSH
6 here for Region 10.

7 This afternoon's session is focused on the
8 agriculture sector, which includes farming,
9 forestry, and fishing. We heard a little bit
10 about that this morning, but now we're going to
11 focus on that exclusively. And we do have
12 people from all over the nation who have come
13 here to share their thoughts with NIOSH in
14 trying to inform the NORA process.

15 And for those of you who -- some of you I e-
16 mailed to help organize this and I told -- I
17 predicted sunshine for today, and just go
18 outside and you can see for yourself. This is
19 sunshine in Seattle.

20 So, without any further words, I'm going to
21 introduce George Conway who is going to give
22 some -- an overview for this session. Dr.
23 Conway is currently the Director of the Spokane
24 Research Laboratory for NIOSH and is also the
25 Chief of the Alaska Field Station, another

1 NIOSH facility out here in the west, and he's
2 going to give us an overview of the agriculture
3 sector from the NIOSH perspective.

4 **DR. CONWAY:** Thanks, Richard. Thank you, Dr.
5 Fenske. Let's see if can get this talk --
6 actually before that, since it is right after
7 lunch, I'll exercise a little bit of latitude
8 for postprandial awakesness, alertness. First,
9 there's copies of the NIOSH Worker Health Chart
10 Book in CD version out on one of the tables --
11 the table that's most against that wall. I
12 think there's enough for everybody to take.
13 This is a really nice -- nice thing. It gives
14 a lot of background information, so please feel
15 free to take that and any literature that's out
16 there.
17 And then secondly -- is it the prominence -- is
18 this your -- your brochure, Sid? For those of
19 you that, like me, immediately recognize --
20 it's more evident in the brochure, but on the
21 poster (off microphone) you can see this
22 (unintelligible) figure. (On microphone) And
23 those of us that have worked in agriculture
24 particularly have been the (unintelligible) for
25 farm chores will readily recognize the

1 specialized implement which is displayed just
2 above "Share Your Ideas," and I just want to
3 reassure that the intent of this was to point
4 out the panoply of complex ergonomic tasks that
5 face this workforce. And I want to personally
6 reassure you that we -- we regard every word
7 said today as golden.

8 So I've been charged with giving a background --
9 -- a little backgrounder. There we go -- so a
10 little background about the NORA and/or seeking
11 your input, and actually I want to acknowledge
12 someone. Is Sharon -- Sharon are you still
13 there? Sharon Morris is here, and Sharon was
14 the -- the organizer of the original town hall
15 meeting in Seattle -- what, ten years ago --
16 for NORA, so the mater familias of all the NORA
17 progeny in the upper left-hand corner is here
18 with us. Welcome, Sharon.

19 So the NORA vision -- the National Occupational
20 Research Agenda vision -- and forgive me, some
21 of this may be redundant for people that's on
22 the introductory talk this morning. But
23 because this is organized as two sessions with
24 different -- different speakers and invitees,
25 we wanted to repeat some of this, so forgive me

1 if some of this is redundant.

2 The NORA vision is a national partnership to
3 define and conduct and prioritize research.

4 Sector-based approach is as the second decade
5 way that we have of organizing this. Many
6 research needs differ by sector. I think more
7 pertinently, a lot of people's identity about
8 their work is about -- centers around their
9 occupation rather than some abstruse codes, and
10 occupation and certainly one's industry are
11 better captured by this sector organization
12 than these cross-cutting thematic categories we
13 used previously, which were redolent of our
14 science and much less of the identity of the
15 workers.

16 The intent of this is to focus research goals,
17 objectives, and results. Partnering is one of
18 the main themes, and so our holding these many
19 town hall meetings -- how many were there,
20 about a dozen?

21 **UNIDENTIFIED:** (Off microphone) Thirteen.

22 **DR. CONWAY:** Thirteen. And then taking an
23 efficient approach to try and work on the worst
24 problems first, with presumably some hierarchy
25 accorded to fatal and seriously disabling

1 injuries, and then working our way through the
2 other things. We seek stakeholder input,
3 identify research priorities for the nation,
4 work together to address those priorities, and
5 then leveraging funds. As I mentioned, this is
6 the NORA's second decade.

7 There will be research councils organized, and
8 I think Dr. Soderholm mentioned earlier the
9 tiered opportunities, but you have
10 opportunities to be involved today in providing
11 your oral input. You can provide written
12 testimony on hard copy. You can put it in via
13 the web -- via our web site, and then you then
14 you can also volunteer to participate in one of
15 the research councils -- correct? -- especially
16 if you have a particular area of expertise that
17 you think would be germane.

18 Now this afternoon -- well, this morning was a
19 multi-sector, cross-sectoral input opportunity.
20 This afternoon the primary thematic thread
21 through all the testimony and discussion this
22 afternoon is focusing on agriculture, forestry,
23 and fishing. These NORA councils will permit
24 people that have a real active interest,
25 germane expertise, or a really personal drive

1 to try to see something done in these areas to
2 actualize that.

3 Then I threw in a couple of slides of mine, I
4 just couldn't resist. One of them is on the
5 changing style of public health practice. You
6 can see this -- I don't know how -- it's a
7 pretty bad slide in the upper left, but it's
8 one of those benches that were in every public
9 health clinic around the United States when I
10 started my career in public health about 30
11 years ago, and that was the waiting area. And
12 the locus of control was in the practitioner's
13 hands, the government's hands, whatever. And
14 then we went through the -- basically the
15 HIV/AIDS era -- what, 20 some-odd years ago --
16 and came to the realization that -- that this
17 had to be much more participatory and
18 negotiated. And I think the same is true for
19 the application of any of the research results
20 to public health practice and to prevention.
21 And that's why in this second decade of NORA
22 thematically we're emphasizing partnerships
23 even more than we did previously, and then
24 placing a very special emphasis on research to
25 practice. We have a little logo for R2P, but

1 when we say R2P we're referring to research to
2 practice. We're talking about taking these
3 research results, not only the leverage -- we
4 mentioned leveraging funds -- but to leverage
5 action and to give people tools such as good
6 findings, good recommendations, as well as
7 surveillance so that you can look and see
8 whether or not you're making a difference in
9 what you're trying to work on. Then the locus
10 of control for that -- even we're, you know, in
11 the government and like to think that we know
12 something and that we're somewhat important --
13 really locus of controls is a shared one rather
14 than some hierarchical one. And the biggest
15 theme within that is gathering.

16 The significance of this sector -- and I
17 realize that given that one's identity,
18 professional identity, is often around an
19 occupation, I realize that agriculture,
20 fishing, and forestry are all big things in and
21 of themselves. But out of convenience and the
22 necessity of subdividing things, they have been
23 combined in this sector.

24 So this remains one of the most dangerous, most
25 hazardous in the U.S. And a particular concern

1 is the number of children killed each year.
2 There's been a joint -- a collaborative
3 initiative on children's agriculture injury
4 prevention for the last -- almost a decade now.
5 John Myers*, who is DSR* in the back there,
6 will be happy to answer specific questions. He
7 does reassure me that there's tangible progress
8 that's been made in that mortality and injury
9 rates of that very important population.
10 You can see that for high-risk industries,
11 agriculture, forestry, and fishing is a very,
12 very close second to mining. As a matter of
13 fact, I think currently it's roughly tied neck-
14 and-neck with mining -- significantly more
15 hazardous in rates per 100,000 workers than all
16 the other -- all the other industries.
17 We have one of the parts -- NIOSH is a large
18 organization, about 1,300, 1,400 people.
19 There've been around ten to a dozen folks
20 working in Alaska looking at commercial
21 fishing, which is at a very high relative risk,
22 about 20-plus-fold the rest of the workforce
23 for the U.S. And just without giving you
24 detailed lecture, I'll just point out that this
25 has been -- the very collaborative effort

1 that's involved. Regulatory changes involving
2 a lot of equipment and training required by
3 fishing vessels, implemented by the Coast
4 Guard, actualized the training by Alaska Marine
5 Safety Education Association -- you saw Jerry
6 Dzugan speak earlier -- and more locally the
7 North Pacific Fishing Vessel Owners
8 Association. And then studied by us and with
9 NIOSH holding up sort of the mirror of
10 surveillance and been able to demonstrate from
11 a research perspective that this has been a
12 very effective intervention with a very strong
13 downward trend. And then we also estimate that
14 if we impute from the trends that were extant
15 in the early '90's when we started this work,
16 and projected forward from the '80's and the
17 early '90's, the number of deaths that have
18 occurred in this industry have been about 250
19 less than we would have -- we would have
20 anticipated.

21 So I think both the child ag success and the
22 traction that that combination of legislation,
23 implementation, enforcement, education,
24 training, and research, all working together
25 with participation by governmental groups,

1 NGOs, community organizations has borne fruit
2 very much both in the child ag efforts and in
3 this focal effort in commercial fishing in the
4 far northwest. It makes me very optimistic
5 about that any additional concentrated efforts
6 that deconstruct the problems, make sense out
7 of them, and provide guidance in what to do in
8 the future will be very helpful in actually
9 preventing worker deaths, injuries, and
10 illness.

11 The NIOSH ag centers and children's ag centers
12 -- there's a special web site for them. There
13 are major NIOSH efforts to protect the health
14 and safety of agricultural workers and their
15 families. They were established starting in
16 1990, and they're distributed around the
17 nation. You can see here there's a photo with
18 the ag centers displayed, proudly displayed, on
19 them. And you can see the one in Wisconsin
20 specifically focusing on children's, and the
21 rest of them basically with a regional locale-
22 based identity.

23 So we're relying on those of you here today to
24 provide input and also to volunteer. And if we
25 don't get it right, if we don't respond in the

1 way that you're hoping the first time you say
2 something, then -- then bug us. And if other
3 people don't respond, then bug me. Bug Dr.
4 Michael Galvin, who's the co-coordinator for
5 this sector. Bug Dr. Lum or Dr. Soderholm.
6 Your input will be entered in the NORA docket
7 displayed on the web site, available in a
8 public docket in Cincinnati, provided as
9 substrate for the NORA sector research
10 councils, both as individual comments and then
11 also grouped into broad categories. And
12 there'll be a synopsis, synoptic celebratory
13 version of this rendered at the NORA Symposium
14 in April in D.C.. Right?
15 We're interested in your -- what you would
16 consider to be the top problems in diseases,
17 injuries, exposures, populations at risk,
18 failures of occupational safety and health
19 systems, key partnerships -- ones that are
20 logical, but things that are a stretch, too.
21 And feel free to be inventive and really
22 insightful in these. Research that you believe
23 might make a difference, also it can be
24 research that's in totally different fields but
25 that has an applicable either strategy or

1 technology that bear fruit when applied to this
2 industry, and then brief presentations.
3 I do want to thank everyone for coming today.
4 You can get updates after the fact by getting
5 onto NIOSH e-news; you can provide your
6 additional input. And in case of questions,
7 the NORA coordinator will I'm sure be thrilled
8 to answer them. Thanks.

AGRICULTURE, FORESTRY & FISHING SESSION:

STAKEHOLDER PRESENTATIONS

MODERATOR: GEORGE CONWAY

CLOSING: RICHARD FENSKE AND BARBARA LEE

9 We're going to move on to our docket of
10 presentations. Our first presenter this
11 afternoon will be -- forgive me if I miff some
12 of the names -- Shari Kuther -- do I -- have I
13 -- is it a first approximation of the --
14 **MS. KUTHER:** Shari.
15 **DR. CONWAY:** Shari Kuther, from the Progressive
16 Agricultural Foundation -- Agriculture
17 Foundation, speaking about children and farm
18 safety. I should point out that Dr. Lonnie
19 Bolt* -- Lonnie, do you want to wave your hand
20 -- is sitting front and center here. She is
21 the time commissar. She's a -- she's an

1 extremely polite person. I work with Lonnie in
2 Spokane, but she has a temper exemption from
3 all -- all manner of decorum and can elevate --
4 and can be progressively rude as you approach,
5 and worse yet, go over your five-minute
6 allocation. So Shari, go ahead.

7 **MS. KUTHER:** Well, I'll try not to get this
8 started off wrong and be tackled up here the
9 first -- first subject. Good afternoon. My
10 name is Shari Kuther, and I am here to
11 represent the Progressive Agriculture Safety
12 Day Program and its governing body, the
13 nonprofit Progressive Agriculture Foundation.
14 The Progress Agriculture Safety Day Program
15 trains local volunteers and provides the
16 resources needed to conduct one-day, hands-on,
17 age-appropriate community-based safety days for
18 children. This program relies completely on
19 corporate sponsors, such as Farm Plan, Bear*,
20 Case, IH, and it reaches children throughout
21 the United States, its territories, and into
22 Canada.

23 I have actually been involved in the program
24 since 1998 when I first applied to begin
25 coordinating a safety day for my community in

1 Nezperce, Idaho. We're proud that since its
2 inception in 1995, more than half a million
3 children and adults have participated in this
4 program.

5 Representing the Progressive Agriculture Safety
6 Day Program as a volunteer coordinator, I'd
7 like to tell you how my community and others
8 involved in this international program have
9 benefited from several NIOSH-funded
10 initiatives.

11 First of all, in 2002 NIOSH funding was awarded
12 to the University of Alabama's Institute for
13 Social Science Research to evaluate the
14 program, which was at that time called the
15 Progressive Farmer Farm Safety Day Program. My
16 community was one of 28 involved in this study.
17 At the same time, funding was awarded to the
18 University of Kentucky College of Nursing to
19 evaluate similar programs. These studies have
20 -- results have demonstrated that our programs
21 have a positive impact on children's knowledge,
22 attitudes, and behaviors.

23 Also, the Progressive Agriculture Safety Days
24 have benefited from NIOSH-funded materials and
25 program developed by the National Children's

1 Center in Wisconsin. First of those that we
2 use is the North American Guidelines for
3 Children's Agricultural Tasks, also known as
4 the NAGCAT Guidelines; Safe play areas on the
5 farms, a review of child safety -- a review of
6 the child safety section of the National
7 Agriculture Safety Database or NASD; and also
8 the multi-organization Childhood Agricultural
9 Safety Network. Many grants awarded to various
10 recipients through the National Children's
11 Center have also allowed our staff to develop
12 and/or evaluate new lessons and guidelines,
13 such as reaching migrant farm worker children,
14 evaluating the age appropriateness of the
15 program curriculum, reaching old order in a
16 Baptist populations, and developing a variety
17 of teaching resources. All of the evaluations
18 and projects that I've just listed would not
19 have been available to our programs or to my
20 community without the funding provided by
21 NIOSH.

22 At the same time NIOSH has probably funded many
23 other research studies having implications for
24 our program, and we need further guidance in
25 using these results. We do greatly appreciate

1 NIOSH support.

2 Thanks to NIOSH we have made progress in
3 teaching children to safe on farms located
4 across North America. However there's still
5 much to be done. We urge that NIOSH funding be
6 targeted toward continued evaluation of
7 programs such as ours that rely on corporate
8 donations. There should be greater
9 collaboration and advanced planning between
10 NIOSH and other federal agencies, such as USDA,
11 the Department of Education, and the Maternal
12 and Child Health Bureau. For example, both
13 NIOSH and USDA funded separate evaluations of
14 tractor certification programs.

15 Lastly we request that funding be available
16 directly to nonprofit organizations, such as
17 the Progressive Agricultural Safety Day and
18 Farm Safety for Just Kids. These organizations
19 have the capability and track record of
20 incorporating NIOSH-funded research results
21 into grass roots level programs that fulfill
22 NIOSH's research to practice goal. Thank you.

23 **DR. CONWAY:** Thank you, Shari. Our next
24 speaker will be Carol Dansereau from the Farm
25 Worker Pesticide Project speaking about farm

1 worker pesticide exposures. And I hope I
2 didn't slaughter your name.

3 **MS. DANSEREAU:** You actually pronounced it like
4 they do in Montreal, which is refreshing. It's
5 great. Here we say Dansereau. I am Carol
6 Dansereau. I'm with the Farm Worker Pesticide
7 Project, which is a nonprofit directed by farm
8 workers and their allies. And I want to urge
9 that high priority be given to increasing
10 research on farm worker pesticide issues.
11 Certainly the huge number of workers affected,
12 the high toxicity of the chemicals involved,
13 both in terms of acute and chronic effects, and
14 the documentation of (unintelligible) exposures
15 warrants this priority. That documentation
16 includes extensive urine and dust sampling, air
17 monitoring in California, pesticide instant
18 reporting; focus groups with farm workers here
19 in our state that found that three out of four
20 experience health effects from pesticides at
21 work, but most of that is not reported; and our
22 cutting-edge cholinesterase monitoring program,
23 which you're probably familiar with. But in
24 its first year, two years ago, one of five of
25 the workers who were monitored had the

1 significant depressions of cholinesterase after
2 handling the pesticides. This last year, with
3 a wider pool of workers, it's one in ten,
4 though the majority of workers have depressions
5 after they start handling.

6 I want to highlight two specific research
7 needs. One is related to exposure monitoring.
8 We have lots of general information about
9 exposures happening. We have the
10 cholinesterase monitoring evidence of actual
11 physiological changes from exposures. But what
12 we don't have is exposure monitoring itself
13 that shows the concentrations of chemicals to
14 which workers are being exposed.

15 It's very important to pay attention to the
16 California air monitoring and the results down
17 there. The California researchers have found
18 that very high percentages of the general
19 population are inhaling agricultural pesticides
20 in concentrations that exceed health
21 guidelines, and they warn that farm workers are
22 almost certainly inhaling at much greater
23 rates.

24 So we want to see research that focuses on
25 collecting this kind of data, which is sorely

1 missed in the policy discussions that we're
2 having. We also want the research to target
3 identifying what sorts of exposure methods
4 there are so that governments can establish the
5 kind of monitoring that we should be having as
6 a matter of course in these workplaces.
7 And it is ironic to me that we have in other
8 workplaces, industrial workplaces, air
9 monitoring, exposure monitoring, as a given
10 where we're talking about relatively small
11 concentrations of chemicals and unintentional
12 byproducts of manufacturing in general.
13 Whereas in this workplace we have intentional
14 massive direct releases right next to workers,
15 and yet we have no monitoring.
16 The second research area that I want to
17 highlight is the need for research related to
18 pregnant farm workers. The terrible events in
19 southern Florida with the severe birth defects
20 of farm worker children have spotlighted this
21 issue over the last year. I want to mention
22 that I work with farm workers in Mattawa, a
23 small town here, who are deeply concerned about
24 the very high levels of cancer in their
25 children. Now we have no idea whether the

1 birth defects in Florida, the cancers in the
2 children in Mattawa are caused by pesticide
3 exposures, but there is every reason to believe
4 that pesticide exposures are causing health
5 effects, birth defects, cancers, other health
6 effects in some farm worker children.
7 Because of the toxicity data that exists for
8 the chemicals that we are dealing with here and
9 because we know exposures are happening, we
10 need to focus on this very vulnerable
11 population and be gathering information such as
12 how many pregnant farm workers are there, what
13 kinds of concentrations are they being exposed
14 to, and what does that mean for their fetuses
15 and embryos. And as we do that, we need to use
16 things like focus groups and forums in which
17 farm workers can speak freely.
18 I'm sure I'm almost done with my time so I will
19 end by asking that the research institutions
20 here, NIOSH and others, and the researchers
21 also take seriously the need to go beyond the
22 research and to leverage action. And in
23 particular, I would urge you to advocate
24 government-mandated exposure monitoring and
25 collection of data that is sorely missing in

1 this area. Please speak out for air monitoring
2 and other exposure monitoring in this
3 workplace, which is lacking in this workplace
4 and that's really a travesty. Speak out for
5 national cholinesterase monitoring, pesticide
6 use reporting, and other data collection.
7 And also I would urge you to speak for the
8 precautionary principle. If ever there is a
9 time to break the silence and speak about the
10 need for precaution and advancing sustainable
11 agricultural alternatives to end these
12 exposures, this is the scenario because we are
13 talking about highly toxic chemicals. We are
14 talking about documented exposures. And we are
15 talking about exposures not only for farm
16 workers but for their extremely vulnerable
17 children. Thank you.

18 **DR. CONWAY:** Thank you. Thank you so much.
19 Our next speaker is Mary Miller. It says
20 Department of Labor and Industries; is it
21 Washington --

22 **MS. MILLER:** Yes.

23 **DR. CONWAY:** -- Department of Labor and
24 Industries -- talking about young workers.

25 **MS. MILLER:** Good afternoon. Thank you very

1 much for this opportunity to speak here today.
2 Most people who know me know that I can't say
3 anything in five minutes, so I want to thank
4 Sharon Morris for slicing and dicing some of
5 this, so -- sorry if I go over a little bit.
6 I'm speaking this afternoon on protecting young
7 workers. Those are the folks under 18, who are
8 our future adult workforce. I'll begin with
9 some general comments about young workers in
10 all industries, and then continue regarding
11 youth working in agriculture.
12 While focusing on issues of a specific
13 industry, it's important not to lose track of
14 the cross-cutting issues unique to this special
15 and vulnerable population, regardless of
16 industry sector, and the subgroups within them
17 such as immigrant workers. When I began
18 working at the Washington State Department of
19 Labor and Industries in 1991, I was assigned to
20 work on an advisory group to update our non-
21 agricultural child labor regulations; the
22 agricultural regulations had already been
23 updated in the previous couple of years. My
24 first question to ask was where is the data to
25 tell us where these kids are getting injured or

1 killed? Where are they working?
2 That set me on the path I continue today to
3 look at data trends beginning in 1988 to the
4 present in our workers compensation program, as
5 well as searching the literature for others
6 doing this work. The field at that time was
7 quite limited. In addition, at that time
8 health and safety professionals did not really
9 consider the issues facing young workers
10 typically, nor did those in pediatric or
11 adolescent injury prevention acknowledge that
12 work was an important contributor to morbidity
13 and mortality. We spent years trying to blend
14 these disciplines.
15 I want to acknowledge the remarkable work that
16 NIOSH has done to bring us to where we are
17 today regarding the body of knowledge about
18 teen workers. As a result we can proceed with
19 new directions in addressing causes of injuries
20 and prevention strategies. We've identified a
21 great deal about the patterns of injuries and
22 where they are happening, but not necessarily
23 the why or the how to fully prevent them from
24 happening in the first place.
25 My initial thought when I first began working

1 in this arena is what could be more mom or dad
2 and apple pie than keeping kids in school and
3 keeping them from getting injured or killed at
4 work. After all, isn't their primary job
5 supposed to be getting a basic education to be
6 able to have more job and career options
7 available to them.

8 My next realization when I started to look at
9 the data and the literature was dismay at how
10 many were getting injured, and often severely
11 and even killed. I work in a regulatory arena,
12 and jurisdiction is an issue that determines
13 where youth can and cannot work and when. And
14 so protecting them becomes a political issue no
15 different than for adults. However, I believe
16 youth are different and deserve special
17 protections by those who claim to be
18 responsible for their well-being. That would
19 be all of us.

20 Risk to youth should be addressed regardless of
21 industry and irrespective of regulations.

22 Youth face the same hazards as adults, but are
23 at a disadvantage to protecting themselves. We
24 know that there are different protections for
25 teens, depending on which industries they are

1 working in. In agricultural settings teens can
2 do far more dangerous activities and at a
3 younger age than they can do in non-
4 agriculture. On a family farm there are no
5 protections in the form of work restrictions,
6 unlike non-agricultural family businesses.
7 Teens under the age of 18 have been found to be
8 injured at a rate two times higher than adults.
9 A majority of the injuries may be minor, so to
10 speak -- lacerations, strains and sprains, and
11 contusions and burns. However, many that I
12 have found in this state have been amputations,
13 concussions, dislocations, fractures, head
14 injuries, and multiple injuries, injuries with
15 potential to have severe long-term
16 consequences. Like adults most of the claims
17 cover medical costs only -- approximately 85
18 percent for medical costs, 15 percent for lost
19 work time. But to qualify for work -- lost
20 work time or time loss payments, the injured
21 worker must have a specific number of days
22 lost. Here in Washington that's three days;
23 elsewhere it may be more.
24 However, we cannot compare the severity of
25 these injuries between youth and adults. Youth

1 do not work in the same pattern as adults.
2 They do not work consecutive days. So if you
3 think about it and they lose three days of
4 work, that may mean a more severe injury
5 because they're not working full-time. And
6 then they are missing more, just as important,
7 age-appropriate activities such as school,
8 sports, extracurricular activities and the
9 like.

10 There is little or no data on the consequences
11 of these early work experiences -- experience
12 injuries, either in terms of their
13 psychological impact including their general
14 attitudes about work and risk, the effect on
15 their future career options and potential loss
16 of earning power, and long-term disability and
17 associated costs. We need more research in
18 this area.

19 We in Washington State have an amazing database
20 on our workers comp claims with the majority of
21 Washington employers insured through the state
22 fund and managed by labor and industries. It's
23 an important database that can point us in the
24 right direction, but it has limited -- it has
25 limitations.

1 There is under-reporting. Teens may not -- may
2 be working informally and therefore not come to
3 the attention of the system. This is a
4 particular concern when young workers -- by my
5 anecdotal evidence and talking to hundreds of
6 teens over the years -- is that they -- they
7 are unaware of their right to file workers
8 compensation claims. Given that a large
9 proportion of youth are uninsured, teens need
10 workers compensation to be able to access
11 appropriate care for occupational injury as
12 soon as possible to mitigate the severity and
13 complication.

14 Are you the hook?

15 **DR. CONWAY:** That's a polite reminder of time,
16 fairness and probity.

17 **MS. MILLER:** And what?

18 **DR. CONWAY:** Probity.

19 **MS. MILLER:** Probity? What's that mean? Is it
20 -- okay, I'll get it to you in writing.

21 **DR. CONWAY:** Thanks. Our next -- our next
22 speaker -- thanks for being a good sport, Mary.
23 Our next speaker is Barbara Morrissey with the
24 Washington State Department of Health, speaking
25 about pesticide illness surveillance among

1 agricultural workers.

2 **MS. MORRISSEY:** Mary, you're a hard act to
3 follow. I will try and stay under five
4 minutes. So my name is Barbara Morrissey. I'm
5 a toxicologist at the Washington State
6 Department of Health, and I work in our state
7 pesticide program which conducts surveillance
8 for pesticide-related illnesses and injuries --
9 and that includes occupational and un-
10 occupational events. I just want to thank
11 NIOSH for funding pesticide illness
12 surveillance in general through the former
13 Pesticide Sensor Program and encourage your
14 future support for this activity and also
15 research that helps these surveillance programs
16 evolve and continue to be relevant and collect
17 relevant information.
18 Our state program is actually funded by state
19 general fund dollars. We have not been -- we
20 have not been a NIOSH sensor program, but we
21 have received two grants over the years to
22 enhance our surveillance program. One of these
23 grants helped us identify some of the gaps in -
24 - especially in under-reporting, and helped us
25 settle some of the issues that we've had in

1 coming up with good denominator data. And a
2 grant that we were just awarded is going to
3 help us dig a lot more deeper in our interview
4 strategy and how to dig up root cause for the
5 incidents that are occurring, and hopefully
6 help us get a better list of risk factors and
7 preventable causes of these illnesses.

8 I just want to make a few comments for why
9 pesticide illness surveillance should stay on
10 the NIOSH radar screen. One of course we've
11 already heard about, that farm workers are a
12 high-risk population, both in terms of their
13 pesticide exposure and in terms of their
14 ability to manage health effects. And if we
15 want to prevent pesticide-related illness in
16 this high-risk population, we really do need to
17 understand how their exposures are occurring
18 and what are -- what are the safety messages
19 and who needs to hear them.

20 And one of the reasons that our public health
21 program is really important in this area is
22 that we get the stories that the other
23 regulatory agencies often miss. That's because
24 our regulatory agencies, at least in
25 Washington, are largely complaint-driven. If a

1 farm worker calls and reports a safety problem,
2 then these agencies will go out and
3 investigate. But many farm workers are
4 unwilling to make a complaint. They are afraid
5 they will lose their jobs. Others just may not
6 know the workplace laws, or they may just fear
7 speaking to anyone in the government because of
8 their legal status.

9 And our program is different. If a farm worker
10 sees a healthcare provider for a pesticide-
11 related illness, then we're notified of that
12 and we call them. Then we hear their story and
13 we ask what could have prevented the exposure.
14 We offer to report the incident to regulatory
15 agencies, but for the most part they ask us not
16 to, and that -- at least in this case -- in
17 these cases, their experience is not lost
18 because we can then take the data that we
19 collect from them and we strip off the personal
20 identifiers, and then we can provide that to
21 the regulatory agencies in an aggregated way so
22 that they still get an idea of what's happening
23 in the field without the farm workers being put
24 at risk for loss of their job. And then of
25 course we also publish our data so that all of

1 our partners can use it as well.
2 Just to underscore this difference in our
3 ability to get stories, in a recent two-year
4 period our state OSHA program at LNI
5 investigated 30 complaints involving
6 agricultural workers. The other regulatory
7 agency that works in this area, the Department
8 of Agriculture, issued violations for 23
9 incidents of human exposure to agricultural
10 pesticides. And during this same time we
11 investigated 248 cases and sufficiently
12 documented 148 cases of illness or injury from
13 agricultural pesticides. So the numbers aren't
14 totally apples -- or they're a little bit
15 apples and oranges, but I think you get the
16 general idea.
17 Just a recent story -- this is a case that
18 happened in 2005 -- to just illustrate the
19 importance of being -- trying to be proactive
20 and get these stories. There was a group of
21 women told by their foreman to change the
22 sprinkler heads on an irrigation pipe in an
23 apple orchard. The orchard had been sprayed
24 the day with a potent organophosphate
25 insecticide. The re-entry level was -- the re-

1 entry interval was 14 days so the workers
2 should have donned their full PPE before
3 entering the field, but they did not. There
4 was a strong odor noted by the crew. Only one
5 woman in the group reported symptoms, and she
6 did seek healthcare so we found out about her.
7 Her symptoms were not severe, but they lasted
8 for about five days and she was pregnant. She
9 did not want to report the incident to
10 authorities because she lived in the orchard
11 and the foreman was her husband.
12 Now in this case we had the opportunity to talk
13 with the foreman and also the employer to make
14 sure that the -- what was broken there would be
15 fixed and that mistake would not happen again.
16 Then we were also able to, again without
17 personal identifiers, share that story with the
18 regulatory agencies.

19 Am I getting close?

20 **DR. CONWAY:** You're over.

21 **MS. MORRISSEY:** I'm over.

22 **DR. CONWAY:** That was a good story.
23 Compelling.

24 **MS. MORRISSEY:** So can I just tell you two more
25 things? So in terms of research for --

1 **DR. CONWAY:** Two more short things.

2 **MS. MORRISSEY:** -- for NIOSH, we would really
3 support some field research into engineering
4 controls that will prevent exposure to farm
5 workers, and I'll hand you the rest.

6 **DR. CONWAY:** Thank you.

7 **MS. MORRISSEY:** Okay.

8 **DR. CONWAY:** By way of reassurance, Sid, the
9 written material can take a normal person more
10 than five minutes to read. Right? If people
11 want to submit, you know, everything up to your
12 dissertation, feel free. Sorry, we just -- we
13 have a set docket and we have to stay on
14 schedule. Thank you.

15 Our next speaker is Anne Powell from the
16 Northwest Regional Primary Care Association
17 speaking on lack of data on agricultural
18 workers that migrate to Alaska to work in the
19 fishing and canning industries.

20 **MS. POWELL:** Hi. Thank you for the opportunity
21 to speak. My name is Anne Powell. I work for
22 Northwest Regional Primary Care Association.
23 We are a nonprofit member association of
24 community and migrant health centers in Region
25 10, and that includes Alaska, Idaho, Oregon,

1 and Washington. Just to let you know,
2 community and migrant health centers are public
3 and non-profit organizations that receive
4 federal funding under the Public Health Service
5 Act. They provide comprehensive health
6 services that are high quality, cost-effective,
7 and culturally appropriate to under-served
8 communities, without regard to financial or
9 immigration status.

10 My position at the Association is the migrant
11 health coordinator so I provide resources,
12 trainings, and do some conference planning for
13 healthcare providers that work in those health
14 centers. And just -- I wanted to thank NIOSH
15 for their support with our Western Migrant
16 Stream Forum, which is actually happening next
17 week in Portland, Oregon starting next Friday.
18 They fund our research and evaluation track at
19 that conference.

20 So anyway, I'm here today to speak briefly
21 about the issue of immigrant agricultural
22 workers who migrate to Alaska, or elsewhere
23 even, to work seasonally in the fishing and
24 canning industries.

25 First I will I will give a brief background on

1 farm workers, which -- I missed out on some of
2 the talks this morning so you may already have
3 heard some of this information, but there are
4 an estimated three million farm workers in the
5 United States. Within our region we have
6 probably over 583,000 migrant seasonal farm
7 workers. As you've heard before, they are
8 largely Hispanic, and according to the National
9 Agricultural Workers Survey, 81 percent of farm
10 workers reported Spanish as their native
11 language; 44 percent self-reported that they
12 could not speak English at all.

13 So due to a combination of factors including
14 poverty, language, and cultural barriers, low
15 literacy, frequent mobility, and fear of the
16 system, migrant farm workers have minimal
17 access to healthcare and social services. So
18 last spring, May 2005, in Anchorage, Alaska my
19 organization, Northwest Regional Primary Care,
20 held a organized discussion with some Alaskan
21 healthcare providers on the issue of migrant
22 fishery workers and cannery that also work in
23 the lower 48 as agricultural workers, and this
24 is basically what we found.

25 There's not a lot of data on this population

1 that is duly employed. Administrators and
2 clinicians from migrant health centers in
3 Washington, Oregon, and Idaho have seen these
4 patients that -- you know, they may mention
5 that they work -- they pick apples in
6 Wenatchee. And then during the off season they
7 head up to Alaska to the fisheries and maybe
8 canning salmon in Alaska -- in Kodiak, Alaska,
9 for example.

10 So they also reported that the fishery and
11 cannery workers came from many different
12 backgrounds and nationalities. They migrate
13 from cities all over the United States --
14 cities and states all over the United States
15 including North Carolina, Florida, California,
16 Salt Lake City, and Seattle of course, as an
17 example. And so I wanted to point out that a
18 lot of these same areas have high levels of
19 agricultural workers and agriculture industry.
20 So my recommendation is that there is a need
21 for data on the number of migrant workers that
22 work in both in agriculture and the
23 fishery/cannery industries because both of
24 these jobs are obviously extremely hazardous,
25 physically demanding, and require long

1 strenuous working hours. And they also have
2 the potential for exploitation and can result
3 in significant environment and occupational
4 related injuries, such as musculoskeletal
5 disorders.

6 I think this data would be useful for many
7 reasons, but for the purpose of today's
8 discussion, the workers who are employed in a
9 combination of agriculture and fishery and
10 cannery work may have multiple or more complex
11 occupational health problems. And these
12 problems are likely compounded by the barriers
13 to care that many migrating immigrant workers
14 suffer, which I mentioned earlier.

15 So anyway, thank you so much for the
16 opportunity to speak today.

17 **DR. CONWAY:** Thank you. Thanks. Our next
18 speaker is Mike Gempler from the Washington
19 Growers League. And I don't have the subject
20 for your talk, but I'm sure you'll tell us
21 that. Was that a clerical omission on our part
22 or did you keep the title obscured?

23 **MR. GEMPLER:** No. I wasn't aware I was to
24 submit a title. Sorry. All of the above is
25 the title.

1 My name is Mike Gempler, and I'm Executive
2 Director of the Washington Growers League in
3 Yakima, Washington. I also serve as Vice
4 President of the National Council of
5 Agricultural Employers, and I also serve as the
6 Chair of the EPA/OSHA Committee of the National
7 Council of Agricultural Employers. And I would
8 like to thank NIOSH and the researchers here
9 for doing the work that they do and for working
10 with our industry, and for the generally
11 cooperative and collaborative attitude that we
12 have seen put forth, especially here in
13 Washington State. I very much appreciate that.
14 I would like to speak generally about a few
15 perceptions and recommendations. First of all,
16 our industry supports measurable results in
17 research. We would like to see measurement of
18 how many agricultural employees are benefiting
19 from various types of research. We're
20 frequently asked to support or endorse research
21 projects or to cooperate to give access to
22 employers in our industry. And I think a lot
23 of the research projects kind of blend together
24 in the minds of the employers and start to lose
25 relevance, and I think it's a challenge before

1 all of you to measure the impact of this
2 research.
3 We will support safety research that brings
4 results. A few of the approaches related to
5 that. First of all, pesticides may not present
6 a hazard that impact as large a population of
7 employees as other hazards. It needs to be
8 recognized. The industry feels that research
9 on pesticides sometimes is disproportionately -
10 - or the research is sometimes
11 disproportionately focused on pesticides, to
12 the detriment of research on other hazards that
13 may in fact affect more agricultural employees.
14 We think that there should be a fresh look at
15 education and training, changing the culture of
16 the workplace, promoting and developing a
17 culture of safety within the agricultural
18 workplace. It's difficult with high turnover,
19 short duration of employment, a lot of factors.
20 We think there's a lot to gain there.
21 Also research should grow with the changes in
22 the technology of the industry. Some of that
23 is occurring now as we're looking at picking
24 platforms, harvest aide equipment. The new
25 technology that's coming on line, let's make

1 sure it's safe, let's make sure it's
2 ergonomically appropriate, et cetera.
3 Research implementation approach, in addition
4 to relevant basic research, our industry
5 supports research that brings -- that results
6 in practical solutions, that brings the
7 research to the field, if you will, and
8 implements it. In this way we can really
9 maximize the impact on the safety of the
10 agricultural workforce.
11 And lastly, communication -- communication, the
12 way researchers communicate, it affects trust,
13 affects cooperation, affects public policy, and
14 affects public attitudes about all this, and
15 especially the subjects of that research.
16 Media releases impact our industry but not
17 always positively. They don't, in and of
18 themselves, necessarily change behavior or
19 result in more safety. Sometimes they just
20 make people mad. And I think there needs to be
21 an examination of why media releases are
22 issued, what purpose they serve, how it
23 coordinates with the overall dynamic of a
24 collaborative approach to safety, and how it
25 relates to appropriate risk communication to

1 the public. And I think it's a very important
2 area that we need to explore together as we
3 move forward. Thank you.

4 **DR. CONWAY:** Thanks for your comments. Our
5 next speaker is Evi Licona from the -- please
6 correct me if I'm mispronouncing your name --
7 Columbia Legal Services on two subjects, heat-
8 related illness and outreach for health and
9 safety to indigenous workers.

10 **MS. LICONA:** Yeah, and I'd just like to correct
11 that -- and thank you, you got my name right.
12 I'm only going to be speaking on heat-related
13 illness in the -- in looking how much time
14 there was I didn't feel it was appropriate to
15 prepare for cramming those two topics together.
16 I work for Columbia Legal Services. Again, my
17 name is Evi Licona. I'm a staff attorney, and
18 I focus on issues of health and safety
19 affecting the farm worker population in the
20 state of Washington. I have spent some time on
21 working on pesticide issues and Carol very
22 adequately covered those. And I'd like to
23 second what she had said, and really offer my
24 support on that issue and the fact that it is a
25 huge risk to so many workers in our state, and

1 we really need to continue focusing on those
2 efforts to protect workers.

3 What my office works on again is we do what's
4 called impact litigation and legislation. We
5 work on issues that affect a broad range of
6 workers in the state of Washington. And within
7 our own client consultations and seeing what's
8 kind of coming in through our intake
9 procedures, we can get a gauge of what is
10 needed in this state, and heat-related illness
11 has come up as a really major topic in the
12 state.

13 There was a death in the summer of 2005 in the
14 state of Washington and this issue kind of came
15 to a head. It spurred (sic) a state agency,
16 Labor and Industries, to do an in-depth study
17 of accepted labor and industry workers
18 compensation claims over the past ten years,
19 and they've come up with a number of close to
20 450 claims that have been accepted. This
21 doesn't include claims that were just filed,
22 claims that were decided for whatever reason
23 weren't going to be investigated. This is a
24 widespread issue across the street -- across
25 the state, excuse me. Labor and Industries has

1 done a wonderful job with that study, and it
2 indicates the need for more focus and more
3 effort on these issues. Deaths for any reason
4 should be unacceptable in any occupation in our
5 state.

6 In light of the death that occurred in
7 Washington, there were also six deaths that
8 similarly occurred in the State of California.
9 California has passed an emergency rule-making
10 due to these heat-related deaths. And it's
11 starting to become an issue of greater
12 importance and an issue that's really come out
13 into the light, so to speak, because of recent
14 deaths unfortunately.

15 There are several factors that need to be
16 looked at when you think about heat-related
17 illness, and one of those is a provision of
18 water by employers. Although this is already
19 provided in the Washington Administrative Code,
20 it is certainly not abided by by all employers.
21 Also in the summer months, more water needs to
22 be -- to be going into the body to keep
23 hydration levels appropriate. There are also
24 needs to be access to cooled areas and shade
25 for our workers. They also need to experience

1 a few research -- research shows five to seven
2 days is appropriate for an acclimatization of
3 the workers to a hot environment. The same
4 would go for a cold environment.
5 And in this case workers that are working
6 during summer months become -- their body heat,
7 their core temperature rises significantly,
8 especially as in the case with Manuel Camacho*
9 who died this past summer. He'd worked in the
10 field for 40 years. He was wearing leather
11 chaps and swinging a machete, cutting down
12 weeds in the hot fields. And if there had just
13 been a little more knowledge on the part of the
14 worker and the supervisor to know that when
15 you're wearing leather in a really hot
16 temperature in a place where the air doesn't
17 escape very easily, the heat stays in this
18 area, they would have known that because of the
19 fact that he wasn't sweating and he was
20 experiencing other grave symptoms that death
21 was upon him very shortly and -- you know, so
22 we need education and training on the areas
23 that need to be focused on, which is water,
24 provisions of shade and cooled areas, training
25 and education specifically in those areas.

1 And also how do you access emergency
2 information. Do they have phone numbers where
3 they can call?

4 Do they also know how to treat on a first --
5 I'm thinking in Spanish right now -- on a first
6 response where you have your CPR and other
7 issues where if they start to notice that they
8 are experiencing these symptoms, they know how
9 -- okay, we need to get this person to the
10 shade, we need to take their clothes off.
11 They also need to be educated on what they're
12 wearing in these fields if they're not working
13 with pesticides, which is a separate issue.
14 You don't want the cotton and things that
15 really absorb the chemicals but when you're
16 dealing with just heat and not the application
17 of pesticides, you want to be wearing cool
18 clothing. And some workers are more aware of
19 these issues than others, but a lot of these
20 workers that don't even know the English
21 language and they're depended on to be bringing
22 in these products for us, we need to be
23 providing them with them with a safe
24 environment.
25 I didn't see anyone hold up the sign, but

1 anyhow...

2 **DR. CONWAY:** (Off microphone) The signs
3 (unintelligible) down here.

4 **MS. LICONA:** Oh, okay. Thank you. Well, thank
5 you all very much.

6 **DR. CONWAY:** Incidentally -- I mean almost all
7 the things that are being discussed here are,
8 you know, horrible, terrible problems, so we
9 don't mean to make light of any of these by
10 curtailing people's time. It's just a fairness
11 issue.

12 We have two more speakers in this section, and
13 I will point out that at the very end of the
14 program today there appears to be a little bit
15 of time if someone else has -- feels to very
16 urgent to speak today.

17 Our next speaker in this section is Susanna Von
18 Essen* from the University of Nebraska Medical
19 Center.

20 **DR. VON ESSEN:** Thank you for giving me the
21 opportunity to comment today. I'm a professor
22 of pulmonary and critical care medicine in the
23 department of internal medicine at the
24 University of Nebraska Medical Center, and I
25 have a long-standing interest in rural health

1 and safety.

2 I would like to propose that the food
3 processing aspect of agriculture be actually
4 included in the agriculture sector. And I say
5 that because the plants where meat packing
6 occurs or other food processing are usually
7 located in rural areas. They take the
8 materials that are brought in from farms and
9 turn them into what we know from the grocery
10 store. And many of the workers are rural
11 people. Often they also work in production
12 agriculture.

13 I'd also like to point out that meat packing in
14 particular has a very high rate of injury, both
15 repetitive motion injury and injuries such as
16 lacerations.

17 And finally many of the meat packing plant
18 workers, and I'm sure this is true for the
19 other aspects of food processing, have many
20 unmet health needs. In Nebraska a large number
21 of them are Hispanic. They do not have ready
22 access to healthcare because many do not have
23 health insurance. Many of the providers do not
24 speak Spanish. And their health needs include
25 such things as chronic illness like diabetes,

1 also infectious diseases such as tuberculosis
2 are on the rise again in the communities where
3 there are a lot of immigrant people working in
4 meat packing.

5 So those are my comments. Thank you.

6 **DR. CONWAY:** Thank you. Our last speaker in
7 this section is Paul Gunderson*, a North Dakota
8 farmer and agricultural center advisor.

9 **MR. GUNDERSON:** Good afternoon. I'm Paul
10 Gunderson, a farmer on the high plains and
11 advisory board member for the Inter-Mountain
12 Center for Agricultural Safety and Health
13 located in the great state of Colorado.
14 If you and I were to read the book entitled,
15 Through the Looking Glass and What Alice Found
16 There, by Lewis Carroll we discover that the
17 red queen runs frantically just to stay abreast
18 of circumstances. This futile race is
19 suggestive I believe of the evolutionary forces
20 that keep both pathogens and their targets on
21 the move. Such I believe is the case with
22 infectious zoonotic disease, largely because in
23 our life span changes in climatic conditions
24 and agronomic and husbandry practice have
25 permanently altered human exposures within

1 North American agricultural work sites.
2 Additionally, demographic changes in the
3 agricultural workforce in many regions of our
4 nation has permanently altered the perception
5 of risk by individual workers due to life
6 experiences from abroad that are different from
7 those here in the U.S.
8 Just this past summer the emergence of the B.
9 anthracis as an infectious bacterium in cattle,
10 cattle handlers, pen riders, and veterinarians
11 on the northern high plains, extreme
12 northeastern South Dakota, extreme southeastern
13 North Dakota is a reminder of a work site risk
14 that is perpetuated by both a profound change
15 from cool, dry weather to warm, moist
16 conditions on the northern high plains, and
17 changes in agricultural technologies which I
18 submit (unintelligible) by a geographic
19 diaspora. These are also due to workforce
20 interactions that are changing with livestock
21 and the emerging concentration of livestock
22 enterprises.
23 And if anthrax weren't enough, we have other
24 examples -- Q fever, several of the
25 hantaviruses, swine brucellosis, and katskats

1 disease or T fever. Because these infectious
2 diseases occur in populations exposed to
3 agricultural risk they're quite likely to go
4 unrecognized, at least initially, and under-
5 reported in this nation's disease reporting
6 networks. That's true because these networks
7 are notoriously unable to capture these kinds
8 of phenomena, and in the written comments I'll
9 detail that in more -- more adequately.

10 As a nation it's my postulate that we can do
11 better, and NIOSH is in a unique and favored
12 position to promote resurgence of our nation's
13 capability to detect and interdict these kinds
14 of infectious zoonotic outbreaks. NIOSH could
15 encourage its funded agricultural centers to
16 focus some resources, first of all on target
17 local surveillance tests within selected high-
18 risk agricultural settings. Secondly, NIOSH
19 could develop additional laboratory capability
20 which would be in a position to make critical
21 detection. And thirdly, NIOSH could develop
22 field-tested educational materials for
23 (unintelligible) centers to do that, materials
24 and strategies for use by working agricultural
25 populations and perhaps even clinicians who are

1 responsible for their healthcare.
2 Additionally, NIOSH itself needs to hold onto
3 its current laboratory capability as well as
4 its occupational hygiene capacity so that it
5 stands ready to assist state public health
6 departments, local public health agencies, and
7 perhaps local medical facilities and
8 veterinarians in interdicting zoonotic disease.
9 It's important to prevent spread and identify
10 opportunities for its prevention at the
11 agricultural work site and surrounding
12 environs, in part because of the infectivity
13 associated with some of these agents.
14 Included for NIOSH could be new laboratory and
15 field-base detection capability, as well as
16 development of new assays; laboratory assurance
17 and certification activities and development of
18 training materials for laboratory
19 technologists.
20 Thank you for the opportunity this afternoon.
21 It's always fun to appear before colleagues.
22 **DR. CONWAY:** Thanks for your remarks. Since we
23 did have two speakers that did not use all
24 their time, there is about two or three minutes
25 if someone has something they'd like to speak

1 about in a burning fashion. Yes, ma'am. If
2 you'd please identify yourself when you come up
3 so that we can get your name on the docket.

4 **DR. COHEN:** I'm Betty Ann Cohen. I'm a
5 physician with Central Washington Occupational
6 Medicine so I'm in Yakima. I'm in the middle
7 of the agricultural communities there. And one
8 of the things that -- you know, the Holy Grail
9 of occupational health is prevention. We don't
10 want people getting injured. But the truth is
11 we can -- we can go for that goal of zero, but
12 across all industries, we're not going to reach
13 zero. And I think one of the things that needs
14 to be addressed is how are these people
15 educated and taken care of after they are
16 injured.

17 There's a huge lack of information amongst the
18 physicians and clinicians out there providing
19 care to these people. They're not informed in
20 a way that helps them to get better, that helps
21 them to get back to work, and there's a lot of
22 research that could be done in this area.

23 Thank you.

24 **DR. CONWAY:** Thanks. We have about two more
25 minutes if anyone else has anything pressing.

1 Failing that, I failed to point out one other
2 important -- well, there's a number of other
3 important folks here from our team, but I want
4 to draw your attention to Delon Holland* in the
5 white shirt in the back row here of this
6 section. Delon is the NIOSH research to
7 practice evangelist. I think that's what they
8 call people that advocate that perspective in
9 Apple computer and what not. He heads our
10 Office of Technology -- Research and
11 Technology. And Delon is taking a really
12 helpful role in emphasizing taking research
13 results and bringing them to bear so that
14 people's health and safety actually improve, so
15 you may want to kick some of those ideas around
16 with Delon while you're here. Sid, yes?

17 **DR. SODERHOLM:** In case anyone missed my
18 announcement this morning -- and then we'll get
19 off to our break so hopefully we can get back
20 on time -- besides NIOSH working on research
21 agenda, our parent organization, the Centers
22 for Disease Control and Prevention, has a
23 research guide. That's the step where they are
24 in their research agenda-setting process. Go
25 to the CDC web site, www.cdc.gov, look at,

1 comment on the research guide, particularly
2 does it reflect the needs of occupational
3 safety and health research adequately, and all
4 the other public health issues. So in case
5 anyone missed that this morning, I'll say that
6 one last time, and I believe we're set to go
7 for a break, and we will return in 15 minutes.
8 Thank you.

9

10 (Whereupon, a recess was taken from 2:30 p.m.
11 to 2:45 p.m.)

12 **DR. CONWAY:** So I think if people wouldn't mind
13 filing in, taking your seats -- given by way of
14 a docket, we have to stay on time. Hello?

15 (Pause)

16 Okay, our next speaker is -- we really mean
17 business about time here, folks -- so our next
18 speaker is Ann Backus, an old friend, from the
19 Harvard School of Public Health, the ERC there.
20 And she'll be speaking about fishing and
21 agriculture in the northeast. Ann, please.

22 **MS. BACKUS:** Thank you, George, and thank you
23 to NIOSH and the Northwest Center for convening
24 us to provide input and to exchange ideas,
25 which is a wonderful forum. So greetings from

1 New England. Do I get the prize for coming the
2 farthest? I hope so, and so my name is Ann
3 Backus. I'm an instructor in occupational
4 safety and health at the Harvard School of
5 Public Health and director of outreach there in
6 the Harvard ERC.

7 Over the past five years I have worked with the
8 fishing community and the U.S. Coast Guard in
9 New England, and organized with George and the
10 NIOSH Alaska Field Station Anchorage the first
11 International Fishing Industry Safety and
12 Health Conference, which was held in Woods Hole
13 in 2000. And I currently write for Commercial
14 Fisheries News a column called "Fish Safe",
15 which appears every month or every couple of
16 months. And also I'm an active member of the
17 Maine Commercial Fishing Safety Advisory
18 Council which -- the members of which are
19 appointed by Governor Baldacci.

20 I bring you comments from a variety of people
21 of the Harvard School of Public Health and from
22 the fishing community. I would like to make
23 five points, and many of them you've heard from
24 Jerry and Ken this morning, so I want to echo
25 the importance of their remarks as well.

1 Number one, the NIOSH-funded centers are a very
2 important infrastructure and funding source for
3 research and agriculture and fishing sectors.
4 Number two, there's a need for generic, which
5 I'll -- what I'm calling generic research --
6 research agenda that crosses the industries
7 within this sector.
8 Three, there's a need for fishery-specific
9 research to reduce traumatic injury and
10 fatalities.
11 Four, there's a need for research on exposures
12 of bacterial origin and associated antibiotic
13 resistance.
14 And five, there's a need for toxicological
15 research on pesticides, volatile organic
16 compounds, hydrogen sulfide and other compounds
17 and chemicals that revisits the
18 (unintelligible) and time-weighted averages and
19 brings them into line with exposure levels and
20 types in today's workplaces.
21 First infrastructure, so the NIOSH-funded
22 education and research centers, of which I'm
23 one -- an employee -- and the centers for
24 agricultural disease, injury research,
25 education, and prevention are extremely

1 important, especially for the success of
2 research in rural and non-urban settings such
3 as farms, forests, and coastal villages. The
4 ability of our researchers to gain the
5 confidence of prospective research subjects and
6 to be seen as having a substantive hypothesis,
7 integrity relative to the research process, and
8 competence for the analysis and interpretation
9 of research is greatly enhanced and supported
10 by the presence of these centers and by coming
11 from them.

12 Melissa Perry, who is a colleague of mine, is
13 doing hearing loss research in the Vermont
14 farms, and I've been doing some work with the
15 fishing community. Both of us have been funded
16 by NIOSH pilot project money.

17 In terms of NORA research areas, the area I
18 called generic, some of the research needed is
19 common to agriculture and fishing. One, work-
20 related hearing loss from exposures to
21 tractors, conveyors, engines, and winches; two,
22 particulate matter, PM 2.5, and ultra-fines
23 associated with grain dust and pot buoy sanding
24 and branding; three, polycyclic aromatic
25 hydrocarbons, PHs from diesel exhaust and heat-

1 branding styrofoam pot buoys; four, endotoxin
2 from cotton, grains, and algae-covered rope;
3 and five, volatile organic compounds such as
4 paints, degreasers, and solvents.

5 In both industries -- that is agriculture and
6 fishing -- there is a major concern about child
7 labor and childhood exposures. Kids on farms
8 and in fishing communities are often pressed
9 into service at an early age. And very young
10 children -- in the fishing communities, anyway
11 -- are often in their parents' workshops
12 working right alongside the sanding and heat-
13 branding of styrofoam pot buoys and the
14 painting and being exposed to particulates,
15 PAHs, VOCs, and endotoxins. High school
16 students who are apprentices often sleep above
17 the workshop and are exposed during the night
18 as well.

19 Is that a one-minute sign already? Okay.

20 So fishery-specific work is very important and
21 the work platform, as Jerry Dzugan told us this
22 morning, is very dynamic in the fishing
23 industry so we need fishery-specific research
24 to help us understand that work platform.

25 In terms of the biologics, the warming of the

1 oceans, bacterial infections once confined to
2 tropical latitudes are going to be with us in
3 the temperate zones. And we have had deaths --
4 one death, anyway -- in Chesapeake Bay from
5 vibrio vulnificus, which is an exposure that we
6 noticed was in the Gulf Coast during Hurricane
7 Katrina.

8 And in terms of toxicology, the researchers at
9 the Harvard School of Public Health are very
10 interested in having this be a decade in which
11 we relook at the (unintelligible) and TWAs and
12 try to put those in line with what's happening
13 in terms of the current day technology and
14 research on low level exposures. So thank you
15 very much.

16 **DR. CONWAY:** Thank you, Ann. Our next speaker
17 will be Eric Blumhagen from Jensen Maritime
18 Consultants, which I believe is in Ballard --
19 is that right, Ballard or Seattle?

20 **MR. BLUMHAGEN:** Seattle.

21 **DR. CONWAY:** Seattle -- talking about fishing
22 vessel safety.

23 **MR. BLUMHAGEN:** Thank you. I'd like to thank
24 NIOSH for the opportunity to talk today and
25 also to work with you over the last five years

1 on your deck safety project. I'm a naval
2 architect, which means that I provide
3 engineering work to boat owners. My company
4 works with the majority of the Bering Sea
5 fishing fleet that is based in Seattle.
6 There's an awful lot of boats here that go up
7 to fish up there and then come back in the off
8 seasons.

9 We've heard a lot about issues earlier. Two
10 I'd like to focus on are traumatic injury and
11 fatigue. And then I have a couple of other
12 items to talk about as well.

13 When we talk about traumatic injury, one thing
14 to remember is that these are distant water
15 fleets. If somebody's hurt on a boat in the
16 Bering Sea, if they're lucky there'll be Coast
17 Guard fixed-wing plane overhead in about three
18 hours. If they're -- the nearest person with
19 first-aid treatment on Coast Guard cutter or
20 helicopter may be six to 12 hours away. The
21 nearest hospital may be a day. So we're
22 talking -- a traumatic injury that may be
23 painful and causing damage locally when you're
24 near a hospital could be disabling when you're
25 out on the ocean.

1 The other issue is fatigue. On one of the
2 boats I was on, the crew told me the captain's
3 really nice to us; we sleep four hours a night.
4 They had about three half-hour breaks for meals
5 in there; the rest of the time they were
6 working doing heavy manual labor.
7 Now onto the other issues, the first of them is
8 stability. About half of the fatalities on
9 board fishing vessels are from stability
10 issues, whether because the boat capsizes and
11 rolls over; or because of watertight integrity,
12 the boat floods, the water gets where it's not
13 suppose to be and the boat goes down. We need
14 to focus on training as far as making sure the
15 people are following their stability booklets.
16 We also need to make sure that stability
17 instructions are within the reach of the
18 fishing vessel owners. We heard Ken Laurencen
19 this morning talk about how he has about 2,000
20 fishing boats in his district. Virtually none
21 of those boats has any kind of engineering
22 plans that we can use to develop stability
23 instructions. If we have to do a stability
24 tests on those boats so that we can give them
25 instructions, we have to recreate those plans.

1 And that drives the cost of the stability tests
2 and instructions up to about the same as it
3 would be for a factory trawler. So the cost of
4 stability instructions for a 50-foot boat is
5 about the same as it is for a 300-foot factory
6 trawler.

7 And I don't know how you tell -- I've had
8 people who say to me I can't afford that; I'm
9 sorry. And we have to say I'm sorry, we can't
10 do it for less money than that. We can't give
11 you a good answer. There are probably ways we
12 can get that within -- the stability
13 instructions within their reach, and we need to
14 look into how we can do that.

15 Another third of the fishing vessel fatalities
16 are from man-overboard incidents. NIOSH has
17 done some research on factors that influence
18 survival of crew members once they go in the
19 water. But there's been little research into
20 what separates the near-miss from the accident,
21 and even less research into what separates the
22 accident from the fatality. Knowing these
23 differences is key to reducing the fatalities
24 from man-overboards.

25 Finally, we also heard about the issues of

1 using high-tech fiber ropes in -- from the
2 people in the forestry industry. These are
3 also moving into the fishing industry. But one
4 of the problems is that there's no retirement
5 criteria for these ropes. And on fishing boats
6 these could be lifting anywhere from 10,000 to
7 200,000 pounds. They're under a lot of strain
8 and if they break and there's someone in the
9 way, there's going to be an injury or fatality.
10 Right now the only way to look at whether these
11 boats (sic) need to be retired and replaced is
12 a guy looking on and saying yeah, that looks
13 like it's okay. That's not going to do it,
14 especially with fishermen who are known for
15 reusing things well beyond when they're
16 supposed to be. We need to do some research
17 into when we should be replacing these -- these
18 ropes and when they need -- if they can be
19 spliced, if they can be repaired, or a --
20 damage means that they just need to be
21 replaced.

22 And I appreciate the opportunity to provide
23 comments here. My last comment is that if
24 you're providing anything to an industry, it
25 needs to be in the language that the industry

1 can understand. Most of the people in this
2 room are scientists and you can -- it's really
3 easy to talk to scientists. It's easy to talk
4 -- for an engineer to talk to engineers. What
5 we need to do is learn to talk to the workers.
6 Thank you.

7 **DR. CONWAY:** Thanks, Eric. Our next speaker is
8 Steven Gilbert -- all I have is an acronym,
9 INND; perhaps you'll tell us what that is --
10 speaking about pesticides and persistent
11 bioaccumulative toxins, health effects/exposure
12 reduction.

13 **DR. GILBERT:** Well, thank you, and indeed INND
14 is the Institute for Neurotoxicology and
15 Neurological Disorders. INND is much easier.
16 So thank you very much for having me here, and
17 I wanted to thank NIOSH and the other
18 representatives for coming here and listening
19 to us.

20 Like I said, my name is Stephen Gilbert. I
21 have a PhD. in toxicology. My research and
22 professional activities are focused on ensuring
23 that people live and work in environments that
24 allow them to reach and maintain their full
25 potential. In addition to be a researcher,

1 I've owned and operated a biopharmaceutical
2 contract research company, so I'm very familiar
3 with a lot of OSHA and NIOSH regulations, which
4 is very good, very helpful. And I'm currently
5 an affiliate associate faculty member at the
6 University of Washington. I left my full-time
7 academic pursuits, in part because I wanted to
8 put more effort into translating research
9 findings into policies and practice that
10 protect public health. You know, for example,
11 advances in the knowledge about the adverse
12 health effects of low-level lead exposure have
13 implications not only for our children, but for
14 also workers in the lead industry. Lowering
15 the Center for Disease Control blood lead
16 action level from 10 micrograms per dust liter
17 reflecting the current scientific understanding
18 of the effects of lead on childhood learning
19 has implications for lead industry workers and
20 take-home. It's a great example of translating
21 our scientific knowledge into relevant policy
22 matters, and it's real interesting that it's
23 not happening.

24 I'm also a member of the Washington State
25 Pesticide Incident Report and Tracking Panel,

1 commonly referred to as PIRT. The PIRT Panel
2 was formed to ensure that the state agencies
3 responsible for pesticide regulation coordinate
4 their incident investigation reporting and
5 educational activities in a timely manner to
6 protect worker and public from pesticide
7 misuse. Mostly recently Washington state --
8 and you heard a little bit about this --
9 established a cholinesterase monitoring program
10 for farm workers exposed to pesticides, and
11 found that a significant number had depressed
12 cholinesterase levels. A number of commonly-
13 used natural and synthetic pesticides, and
14 indeed weapons-grade nerve gases work by
15 blocking the activity of cholinesterase, which
16 is essential for normal nervous system
17 function. (Unintelligible) monitoring effort
18 demonstrated that some workers are being
19 exposed to pesticides despite applications,
20 regulation, and efforts to reduce exposure and
21 spray drift. The unintentional exposure to
22 pesticides from drift following aerial and
23 ground-based applications are of particular
24 concern to applicant workers, communities,
25 homes, and schools. Policy makers, such as the

1 PIRT Panel or the Washington State Legislature
2 and DR agencies, need more information on the
3 (unintelligible) of exposures, such as the work
4 done by Drs. Rich Fenske and Michael Yoth* at
5 the University of Washington, which examined
6 community exposure to pesticide drift. We need
7 to know how to translate this research into
8 best practices and to evaluate the
9 effectiveness of regulations and guidelines
10 once they're in operation.
11 NIOSH has an important role to play in
12 encouraging research in program evaluation
13 methodology, translating research to best
14 practice techniques, and procedures that can be
15 implemented to reduce or eliminate exposure.
16 The challenge of pesticides is evident in that
17 there are about 900 pesticide active
18 ingredients currently registered.
19 Approximately 88 million pounds of active
20 ingredients were used in the United States in
21 2001. Our experience with pesticides indicates
22 that NIOSH should invest in research that moves
23 beyond the classic risk-assessment approach to
24 ensure workers' safety and community health and
25 safety. NIOSH can be a leader in the paradigm

1 shift away from the standard hazard evaluation
2 toward research and effective exposure
3 prevention. Workers and community members
4 receive multiple chemical exposures that are
5 not well characterized by the classic hazard
6 and risk-assessment approach. The
7 uncertainties related to the hazards of
8 multiple chemical exposures will not resolve
9 soon, which argues for preventive approach. We
10 have a vast amount of knowledge from the
11 biological and toxicological sciences. We need
12 to heed the lessons learned and take a
13 precautionary approach to pesticide as well as
14 the potent compounds, such as those emerging
15 from the biopharmaceutical industries and more
16 recently from the nanotechnology materials. So
17 I think we have a lot of work to do, and I
18 really think we need to work on translating
19 research into good best practices and
20 prevention in this area. Thank you very much.

21 **DR. CONWAY:** Thank you. Our next speaker will
22 be Kevin Keaney from U.S. Environmental
23 Protection Agency talking about pesticide
24 worker safety.

25 **MR. KEANEY:** My name is Kevin Keaney. I'm the

1 chief of the Pesticides Stewardship and
2 Pesticide Worker Safety Programs in the office
3 of pesticide programs in EPA headquarters. The
4 office of pesticide programs headquarters is a
5 900-person -- has a 900-person staff, which I
6 think would indicate the importance that the
7 agency places on concerns related to the use of
8 pesticides, the safe use of pesticides. This
9 program also has staff in ten regional offices.
10 My particular focus in our group's focus is
11 driven by two regulations -- three regulations,
12 I'm sorry. One a recent regulation related to
13 pesticide containers and containment, a soon-
14 to-be-proposed regulation on pesticide
15 recycling, and two regulations that focus on
16 the span of labor that work with and around
17 pesticides and the health implications that are
18 inherent in working with and around pesticides.
19 The regulation governing the agricultural
20 worker protection, the field worker, and the
21 regulation that tries to establish standards
22 for certification of competency of pesticide
23 applicators.
24 We also have an aggressive grant initiative in
25 the healthcare provider arena to better prepare

1 healthcare providers to recognize and manage
2 pesticide poisonings and to gain information
3 from the field clinician level of the effects
4 of working with and around pesticides.

5 My remarks today are focused primarily on the
6 practice aspect of research to practice. And I
7 would like the theme that NIOSH and those here
8 would carry away would be the more aggressive
9 collaboration between agencies on -- in these
10 areas and capitalizing on scarce resources to
11 better effect the changes that we think would
12 be necessary to better protect human health and
13 the environment.

14 We are about the business in my office of
15 trying to establish competencies, competencies
16 for applicators, competencies for workers, and
17 competencies for healthcare providers that have
18 to deal with both of these segments of labor.
19 We do support financially a number of NIOSH
20 projects. We are in a long-term grant
21 relationship with -- recently established a
22 long-term grant relationship with PNASH to
23 better affect the way healthcare providers are
24 trained and to establish essentially champions
25 in the area of public health for better

1 training in the awareness -- the raising of
2 awareness of how to deal with the implications
3 of working with pesticides.

4 We also support the Migrant Clinicians Network
5 that you'll hear a spokesman for later today in
6 their efforts to bring tools that would be
7 developed and awarenesses that would be
8 developed to the clinician level and feedback
9 that information to us in the pesticide
10 program.

11 What I work with are field programs, and the
12 value of field programs is the information that
13 can be brought back into the agency so that the
14 registration of pesticides and the specific
15 directions of use for pesticides and the
16 mitigation measures that are incorporated in
17 the training for pesticide users can be more
18 realistically shaped and functioned by the
19 field information that we get. To that extent
20 we help, through an inter-agency agreement, the
21 funding of the sensor network, the Sentinel
22 Event Notification System of Occupational
23 Risks, and the pesticide aspect of that. And
24 we would -- we heartily endorse that project
25 and are committed to funding -- helping fund

1 the expansion of that project so that at some
2 point we can have SENSOR network information
3 coming from the agricultural -- the states
4 where there is high labor working with and
5 around pesticides. and we can get a better
6 sense of the actual incident -- incident
7 picture in the country, which we don't have at
8 the moment, and we can use that then to better
9 regulate pesticides.

10 We also have an extensive training network that
11 we've established through grants under the
12 umbrella of AmeriCorp. We have safety trainers
13 -- bilingual safety trainers dealing with
14 agricultural workers in a way that provides
15 them with the basic principles of safety so
16 that they can help protect themselves, but also
17 tries to engage them in ways that would make
18 them active participants in a safety net of
19 safety training, safety -- safe clinicians
20 services, and so forth. We would benefit by
21 research in that area from NIOSH into methods
22 that would better reach this -- into this
23 community, it's a challenge, as -- as many of
24 you know, the nature of the agricultural
25 workforce is varied from the migrants coming in

1 to work a seasonal -- a seasonal session to the
2 resident labor to the fairly sophisticated
3 applicators in aerial settings and ground rig
4 settings. So it's a challenge to reach into
5 that community and actively engage them in the
6 matter of protecting themselves and by
7 protecting themselves protecting human health
8 and the environment.

9 So I would heartily endorse your move to bring
10 research to practice, and we should be more
11 aggressively collaborating in these -- in these
12 efforts, and I hope that we will.

13 **DR. CONWAY:** Thanks. Our next speaker will be
14 Mitch Ricketts, Kansas State University
15 Research and Extension, speaking on
16 opportunities for reducing fatal and nonfatal
17 injuries in agriculture.

18 **MR. RICKETTS:** Thank you. I've got a lot more
19 things to say than I have time for, which is
20 why I've given you the comments there. I'm
21 Mitch Ricketts. I am a Certified Safety
22 Professional. I work for Kansas State
23 University Research and Extension. One of the
24 things that I would like to say -- and I think
25 we probably all know it but maybe don't think

1 about it enough -- is that there is a real
2 disconnect between the way that we think and
3 the way many farmers think, particularly in
4 terms of safety and health. And to illustrate
5 that I want to tell you a little bit of a
6 story.

7 Last summer an agricultural worker that I know
8 was using a PTO-driven auger to transfer
9 soybeans from a grain bin into a truck. He and
10 his coworkers started the auger and soon they
11 found that it was not quite in the right
12 position to dump the grain into the truck.
13 They were in a hurry so rather than shutting
14 off the PTO, the man in charge -- who was a
15 middle-aged worker; he's about my same age, he
16 had done this work all his life -- without
17 shutting the power off, he leaned over the
18 auger to try and move it by hand.

19 Now this same worker -- about a week before a
20 pin had sheared off the drive on this auger and
21 about a week before he had taken the shield off
22 and he replaced the pin with a long bolt, and
23 he didn't have time to put the shield back on.
24 So as you can imagine, he went to lean over the
25 auger and as he did, the bolt grabbed his

1 clothing -- I wasn't there, but there were two
2 witnesses and what they told me is it flipped
3 him head over heels and slammed him against the
4 ground. Then something very fortunate
5 happened, which probably saved his life. The
6 auger ripped his clothing completely off. Had
7 it not done that it would have wound him up in
8 there, and he probably would have been crushed
9 or suffocated. To give you an idea of the
10 power of this auger, he was fully clothed
11 before this happened, but when he ended up on
12 the ground he had two things on. One was the
13 collar of his tee shirt -- not the rest of the
14 tee shirt -- and the other was his left boot.
15 Okay? Everything else was gone, everything but
16 the collar of the tee shirt. He tells us he
17 was wearing underwear and even those weren't
18 there anymore. But that probably saved his
19 life.

20 This -- as I said, this was a very experienced
21 worker. He never would have let his employees
22 do that. He knew better than to do that. As I
23 visited with him the next week, you know, I
24 asked him what -- what can we do to make sure
25 that this sort of thing doesn't happen in the

1 future, and he told us the kind of things that
2 I'm sure every one of you in here have heard.
3 He said, you know agriculture is a very
4 dangerous business. He said sometimes we have
5 to take risks in order to get our work done.
6 Most of us would not agree with that. Most of
7 us believe that there's no job worth risking
8 our -- our health for in order to get the work
9 done.

10 So I think the safety culture in agriculture is
11 something that we really need to work on. One
12 of the questions is how -- how can we deal with
13 the safety culture among farmers. I think the
14 only way that we're ever effectively going to
15 do that is if we enlist farmers and laborers to
16 help us -- or to help develop approaches that
17 are practical and profitable in their own
18 workplaces. Farmers are not going to adopt
19 methods unless those methods make sense to
20 them. It's not enough for those safety methods
21 to make sense to us. Farmers will not adopt
22 new methods for improving safety unless those
23 methods are also efficient, profitable, and
24 realistic in relation to the goals and
25 resources on the farm.

1 In that regard, I would like to encourage NIOSH
2 through NORA to encourage more partnerships
3 with the agencies and organizations that are
4 already set up to work with agriculture. In
5 particular, the Cooperative State Research
6 Education Extension Service through the
7 Department of Agriculture has a system of local
8 extension offices, experiment stations, and
9 land grant universities throughout the United
10 States. I know in Kansas, the state that I'm
11 from, we have agents in every county of the
12 state, and I think -- I think most states are
13 like that as well. Farmers are already used to
14 working through this network, and typically
15 when rural people have questions, their first
16 call is to a county agent or to the land grant
17 university or to the agricultural experiment
18 station.

19 This is a great resource that's out there.
20 Most of the big changes that have occurred in
21 agriculture have taken place through the
22 efforts of the cooperative extension service,
23 the agricultural experiment station, the land
24 grant universities. It is difficult to imagine
25 how we're going to make any major changes in

1 agriculture without getting this group of
2 people more involved. I realize that in some
3 regions of the country that -- that group of
4 folks has been very much engaged, but not in
5 every region of the country.

6 So my challenge to NIOSH and to NORA is to go
7 ahead and get this group of folks more involved
8 in every region of the country. Thank you.

9 **DR. CONWAY:** Thanks. Our next speaker is
10 Sharon Hughes from the National Council of
11 Agricultural Employers speaking about
12 adolescent farm workers, media reports, and
13 policy-relevant research -- tongue twister.

14 **MS. HUGHES:** I believe it's everything, yes.
15 Hi, my name is Sharon Hughes and I represent
16 the National Council of Agricultural Employers,
17 which is the only national organization that's
18 devoted to representing agricultural employers
19 from the farm management viewpoint in
20 Washington on labor and immigration issues. We
21 represent the growers and producers interests
22 before the federal government on -- on these
23 issues and work closely with Congress, the
24 Immigration and Customs Enforcement Bureau, the
25 Departments of Labor and Agriculture, the

1 Occupational Safety and Health Administration,
2 and the Environmental Protection Agency. NCAE
3 membership is open to growers, producers,
4 processors, cooperatives. Other agricultural
5 organizations at the state, local, and national
6 level belong to the council, and we thereby
7 have membership in all 50 states dealing with
8 labor-intensive agricultural crops.

9 As NCAE's Executive Vice President I've had the
10 opportunity to work with the Department of
11 Labor's review of the hazardous occupations
12 orders regarding children's work in
13 agriculture, advised on the USDA's Hazardous
14 Occupational Safety and Training and
15 Agriculture Initiative. I was one of the
16 employer representatives to the International
17 Labor Organization when they developed their
18 safety and health in agriculture protocol a few
19 years ago. And I also served as advisor on
20 several NIOSH-funded projects, including our
21 current project with the Marshfield-based
22 National Children's Center for Rural and
23 Agricultural Health and Safety where we're
24 testing a strategy to motivate employers to
25 improve the safety conditions for hired

1 adolescent workers.

2 Our organization has a vested interest in the

3 safety and health of agricultural workers.

4 For today's statements I have five key points

5 to make. First, NIOSH-funded investigators

6 should be required to partner with agricultural

7 businesses and organizations, such as NCAE, to

8 plan and implement studies; then disseminate

9 the results that have practical application for

10 agricultural producers and hired workers. Too

11 often there's a disconnect between what

12 academics want to study and what is of real

13 importance to agricultural producers and/or

14 their hired workers. Many papers and

15 scientific journals have virtually no impact on

16 the health and safety of agricultural workers.

17 Second, NIOSH research should identify

18 effective health and safety interventions

19 targeted for hired farm workers, especially

20 those with short-term employment requiring

21 skills and machinery operation. For many of

22 our crops there's a brief window of time for

23 planting or harvest. Workers circulate through

24 quickly and may not return for a second season.

25 We need help in providing effective training

1 and safety interventions for these employees.
2 Third, the NIOSH agricultural research agenda
3 should address cross-training of workers in
4 agriculture. Skills building, including safety
5 aspects, would improve worker options for
6 promotion and longevity in agricultural work.
7 Many of our trained employees have moved out of
8 agriculture into construction, retail, and
9 other occupations that offer full-time, year-
10 round employment. Ideally, farm workers would
11 be cross-trained and given opportunity for
12 upward mobility within the agricultural
13 industry, and we need help with this type of
14 research into doing that type of skills
15 training.

16 Fourth, NIOSH should maintain designated funds
17 to test and disseminate effective programs that
18 agricultural producers can use for promoting
19 health and safety among legally employed young
20 workers ages 12 to 17. Many employers flatly
21 refuse to hire teen workers because of all the
22 regulations, the liability concerns, the
23 inability to get workers compensation coverage,
24 et cetera. But agriculture can provide safe,
25 meaningful employment opportunities for the

1 local youth. The NIOSH children's initiative
2 has ensured a focus on this topic, and we
3 support its continuation.

4 Finally, new strategies are needed to bridge
5 the research to practice gap. You may want to
6 consider jointly-funded projects between USDA
7 and NIOSH. As the speaker before me indicated,
8 working with extension personnel at the state
9 and local levels would be very effective in
10 being able to actually implement the practices,
11 you know, with the growers. The USDA HOSTA
12 initiative for youth, tractor, and machinery
13 certification might benefit from further
14 testing, evaluation, and promotion via the
15 NIOSH regional centers. And there are other
16 health and safety issues that could benefit by
17 linking NIOSH, you know, with the cooperative
18 extension personnel.

19 I will go ahead and submit the written comments
20 at a later date, but I do want to thank you for
21 this opportunity to address.

22 **DR. CONWAY:** Thank you.

23 **MS. HUGHES:** Thank you.

24 **DR. CONWAY:** Our next speaker and penultimate
25 for this block is Jane Elam from the Fayette

1 County Farm Bureau speaking about health and
2 safety issues for farmers and farm families in
3 Kentucky.

4 **MS. ELAM:** Good afternoon. It is indeed a
5 privilege to be here. I am Jane Elam from
6 Lexington, Kentucky, the horse capital of the
7 world. I have been involved with Kentucky Farm
8 Bureau for many years. I'm serving currently
9 on the State Safety Advisory Committee. My
10 husband and I farm a six-generation horse,
11 cattle, and tobacco farm.

12 I introduced myself stating that I am from the
13 horse capital of the world, but my state holds
14 another record, being near the top for all
15 terrain vehicles accidents and deaths. We have
16 many reclaimed coal mines and fast, hilly
17 country sides that promote ATV riding --
18 abundant tourists and locals riding at these
19 sites that promote ATV riding and cause injury
20 problems.

21 The sites are always glad to collect a few
22 bucks from riders who use them. We have the
23 largest ATV dealership in the nation located in
24 eastern Kentucky. ATV accidents, which are
25 usually head injuries, can be greatly reduced

1 with proper use of helmets, especially on
2 farms, but they are not widely used by ATV
3 drivers.

4 Motorcyclists are another problem group -- a
5 group that has gotten the lawmakers to rescind
6 the mandatory helmet law in Kentucky. This is
7 a problem in itself.

8 My message today is education and research,
9 because that is the only way to reduce injuries
10 and deaths. We were at the top of the nation's
11 farm deaths and injuries before our Department
12 of Agriculture started an education program.
13 Now we have cut these statistics in half since
14 farmers are using roll bars and seatbelts on
15 tractors.

16 Other ways we are educating are by holding
17 safety camps, health and wellness camps for all
18 the ages, and working with 4-H and FFA in pilot
19 programs. Many people think farm accidents are
20 inevitable, but they are preventable when
21 children and adults are educated how to be safe
22 on the farm.

23 Being from a top tobacco and coal mining state,
24 we again hold another record as being near the
25 top for lung cancer and related health

1 problems. We are working on solutions through
2 education and research. Results will be slow
3 coming because of habits and peer pressure. We
4 are asking our General Assembly, as they go
5 into session this year, for monies for research
6 and education to help deliver the health and
7 safety story. Thank you.

8 **DR. CONWAY:** Thank you. Our last speaker in
9 this section is Regina Luginbuhl* from the
10 North Carolina Department of Labor.

11 **MS. LUGINBUHL:** Good afternoon, everybody. I
12 thank you for the opportunity to speak today.
13 I'm Regina Cullen Luginbuhl from the North
14 Carolina Department of Labor. I'm representing
15 my agency and also ECU, the agrimedecine
16 program.

17 I want to tell you a little bit about
18 agriculture in North Carolina since we're on
19 the other side of the universe in this kind of
20 a different place. In North Carolina
21 agriculture accounts for 22 percent of the
22 state's revenues and employs 18 percent of the
23 workforce. You probably know we're tops in
24 tobacco, but also turkey, some really delicious
25 healthy fruits, blueberries, and some good

1 vegetables -- my personal favorite, cucumber
2 pickles. And our Christmas trees go as far as
3 Hawaii and Japan, and they usually end up in
4 the White House for whoever is the President.
5 It's going to be his tree, too.
6 But I also want to talk about the migrant labor
7 workforce that puts all these things on our
8 tables. It's an indeterminate number. Some
9 people say it's a 100,000, and some people say
10 it's 300,000, and some people just tell us to
11 go and find out. We don't really know, but we
12 do know they start -- they all start in the
13 agricultural area pretty much. Most of them
14 are from Mexico. Some of them now from Laos
15 and Cambodia, and the ag start is at the bottom
16 of the ladder. They might go on up into
17 construction. We don't really care about that,
18 either. We just -- our job is to make sure
19 they stay safe on whatever step they're at.
20 I want to talk about three safety topics -- the
21 ones we know about. The first one has to do
22 with injuries and death from heat stress and
23 heat stroke. That's been addressed earlier,
24 but I can tell you from our point of view it's
25 pretty important. We had three workplace

1 fatalities this past summer, 2005, and they
2 were all heat stress/heat stroke. And these
3 were guest workers, people that were guests in
4 our country. They came here under the H2A
5 Program.

6 At the time of their death they were working
7 for the farmer that hired them, and they were
8 doing the job they were paid to do. They were
9 not alone when they died. Their fellow workers
10 were with them, and in two of the three cases
11 their employer was with them as well. I really
12 don't think anybody ever intended that these
13 deaths would take place.

14 So why did they take place? Studies point to
15 work rest cycles, adequate hydration, job
16 cross-training. My personal bet, recognition
17 of the hazard. I think if anybody knew, any of
18 the workers knew, if the worker himself knew,
19 and the farmer knew that some of those symptoms
20 were going to be life threatening, they
21 wouldn't have put them at the end of the field
22 to rest.

23 Our second pick is pesticides. That's also
24 been a theme this morning -- this afternoon.
25 Some of my colleagues in North Carolina are

1 really convinced that we do need a reporting
2 system that works. Right now we don't have
3 one. We need chemical exposures that can be
4 traced, understood, and prevented. We need to
5 record exposures. We need a record. In
6 California we have a state law that mandates
7 doctors' reporting illnesses from occupational
8 exposures to local health offices. So
9 surveillance is important.

10 The Department of Labor takes care of the
11 HazCom, Hazard Communication Standard, and
12 we've noticed when we issue citations there's
13 three of them that typically occur. Most
14 growers don't have a written program. They
15 don't post their Material Safety Data Sheets,
16 and they fail to train their employees.

17 Third topic -- this actually is a -- it's going
18 to end on a happier note. In North Carolina
19 we've created a gold star grower program.
20 Those are the guys who do it right. They would
21 do it right whether we were there or not, and
22 they sort of manage us. Sometimes I like to
23 think we're managing them, but of course that's
24 not the way it is. And in many of our
25 conversations they told us what their most

1 serious workplace safety issue was. How nice,
2 and I didn't guess it anywhere close. It was
3 driving their vehicles on the rural roadways.
4 Everybody in the room I guess was on top of
5 that.

6 So we got some government money, state
7 government money, and got some slow moving
8 signs out, and they promised not to put them on
9 their mailboxes. And we educated the public,
10 which was their number one issue. And the
11 grant provided us with an intern so I think
12 that's always useful, a useful way to put your
13 money, and she got her MPH out of it. So I'd
14 like to see that sort of effort continue and
15 maybe move on in North Carolina to the roll-
16 over protection.

17 So I think there's a few things that are
18 important to us. One is that the education we
19 have, we share it with farm workers and with
20 farmers, and that we listen to both groups, and
21 that we get additional help from folks like
22 you. Thank you.

23 **DR. CONWAY:** An artful transition. Speaking of
24 artful transitions, given that there was no
25 time put in for a break on our docket schedule,

1 we're going to take a five-minute, as Dr. Bolt
2 says, bio and caffeine break. Please try to
3 get back in five minutes so we don't fall much
4 further behind. Thank you.

5 (Whereupon, a recess was taken from 3:35 p.m.
6 to 3:43 p.m.)

7 **DR. CONWAY:** If we can take our seats we'll rev
8 right up again here. I'll be back in just a
9 second.

10 (Pause)

11 Take an opportunity to recognize some of our
12 colleagues that have helped out with this
13 process. If people can file in, or not, we'll
14 get going again. First I want to thank our
15 gracious host and PNASH. Drs. Fenske and Lee
16 will also be providing concluding remarks,
17 which will be a near impossible challenge at
18 the very end of a grueling docket when people
19 are worried about rush-hour traffic and day
20 care of all the other stuff that people of a
21 certain age have to worry about.

22 The other folks I'd like to acknowledge are
23 some of the NIOSH team that have worked either
24 front and center or behind the scenes. Dr.
25 Steve Owentrock* is the long-term, long-time ag

1 coordinator for NIOSH, which historically has
2 been more pure terrestrial agriculture, but
3 with the advent of the sector orientation has
4 been broadened to include fishing and forestry.
5 And then Commander, now Dr., Jennifer Lincoln
6 just received her PhD. in health policy from
7 Johns Hopkins this week, recently defended her
8 dissertation successfully, who's the ag
9 forestry and fishing co-coordinator, and I
10 think really emphasizing the fishing and
11 forestry components of that. Dr. Lee Husting*
12 who was here a moment ago -- I don't see Lee.
13 I guess he's still out in the -- oh, hi, Lee;
14 sorry, spotlights -- who oversees the
15 agriculture components of the office -- NIOSH
16 office of extramural programs. And then a tale
17 of two Christy's, Christy Bowles, who's in our
18 office of communications, works very closely
19 with Max Lum, staffing and assembling this
20 whole -- the whole town hall thing, and then
21 Christy Forrester who's on detail to our OD
22 from EID to help us make sense out of and
23 organize the entire docket, a formidable task.
24 She's going to apply her epidemiologic skills
25 to that fore.

1 And then I'd like to introduce my co-consul in
2 for the ag sector management, Dr. Michael
3 Galvin, whom you've heard about earlier today,
4 but I don't think seen. He has an exciting
5 initiative to tell us about.

6 **DR. GALVIN:** We never talk more than a minute.
7 Thank you. Yes, I worked with George on this,
8 but we did have an interesting thing that we
9 have announced. We have launched a national
10 tractor initiative program. Lee Husting again
11 -- we mentioned his name -- he is the program
12 manager. We have Max Lum is our in-house
13 advertising agent, and we'd like to announce
14 that I think Steve Olinchuck* has been involved
15 in this helping us set this up, and Steve
16 Reynolds and a collection of everybody in ag as
17 working with this. And Steve, did you want to
18 maybe give us a one-minute summary of where we
19 are with this? It's something exciting. It's
20 our first national initiative. We're very
21 excited to have it and hopefully it'll be very
22 successful. Steve.

23 **MR. REYNOLDS:** Thanks, Mike. One of the
24 biggest problems in agriculture has, and
25 continues to be, fatalities and injuries

1 associated with agricultural tractors. There
2 are engineering solutions that have been
3 adopted in some countries virtually eliminating
4 the problem, but the U.S. has an agricultural
5 economy and a society that's much more complex.
6 The agricultural centers, all ten of them,
7 quite a while ago actually decided that we
8 wanted to work together to try to really
9 address this most pressing problem. And after
10 a lot of work by a lot of people, we finally
11 are at the point today where we are able to do
12 some of the initial work. We have funding from
13 NIOSH to put a couple of years' effort into
14 filling in some of the gaps, and especially
15 focusing on some key areas such as social
16 marketing where we really need to look at, you
17 know, what does it take to get people to adapt
18 and to use the technology that is out there.
19 What we're hoping to do at the end of this time
20 period is to be prepared to launch a national
21 public health campaign similar to what has been
22 done to attack other problems, like smoking and
23 seatbelts. So that's our goal.
24 One of the major issues that we need to address
25 right away with this activity, though, is

1 bringing partners in to work with us. This is
2 only a small group. NIOSH is only able to
3 support a small amount of resources to direct
4 at it, and it's going to take a lot of partners
5 to do this. So we're looking for partners. We
6 haven't done very much yet with this because we
7 hadn't received the funding and we didn't want
8 to move ahead too quickly. But we're wide
9 open. If anybody has a particular interest in
10 it, I'm sitting up in the back of the room and
11 have lots of cards with e-mail and phone
12 numbers. So again, we're looking for lots of
13 partners and hoping to make some progress in
14 this area that we think has been one of the
15 biggest issues facing agriculture in the U.S.

16 **DR. CONWAY:** Thanks.

17 **MR. REYNOLDS:** Thanks.

18 **UNIDENTIFIED:** (Off microphone)

19 (Unintelligible)

20 **MR. REYNOLDS:** All walks of life. We are and
21 have been -- initially in terms of developing
22 this we've been talking and working with the
23 manufacturers, with farm bureaus, with the
24 distributors, with owners that are using and
25 operating the tractors, all kinds of partners.

1 We're wide open.

2 **DR. CONWAY:** Great news. Thanks. So our next
3 speaker is Marilyn Adams from Farm Safety 4
4 Just Kids -- I hope I got that right --
5 speaking about childhood agricultural
6 community-based intervention.

7 **MS. ADAMS:** Well, that's 10 seconds.

8 **DR. CONWAY:** We'll give you a credit. We'll
9 start the clock now.

10 **MS. ADAMS:** Good afternoon. My name is Marilyn
11 Adams, and as the spokesperson and founding
12 president of Farm Safety 4 Just Kids, I take
13 this opportunity to thank NIOSH personally for
14 the past efforts that you have given to the
15 child farm safety and health issues. The
16 majority of our funding comes from
17 agribusiness, but we also depend upon NIOSH.
18 We depend upon the studies to justify ongoing
19 corporate donations and to justify the
20 categories that we need to address. We feel
21 strongly that NIOSH should continue its
22 dedicated and separate focus on children in the
23 coming years.

24 The Children's Farm Safety and Health
25 Initiative was appropriated by Congress as

1 separate funds and for the sole purpose to be
2 sure children were not forgotten. I know
3 because I was there. I was one of the members
4 of the national committee that worked really
5 hard to make this happen.
6 Collaboration and support from others in the
7 agricultural safety and health field is crucial
8 at this time. Networking and project funding
9 provided by NIOSH centers, such as the National
10 Children's Center, the Great Plains Center, the
11 Southeast Center, have really directly
12 benefited the Children's Farm Safety and
13 Health. For example, the network created by
14 the National Children's Center has given Farm
15 Safety 4 Just Kids the opportunity to work with
16 under-served world populations with educational
17 materials both for Spanish-speaking populations
18 and for the Amish.
19 Community outreach programs that address the
20 entire family and protect children are
21 extremely vital to preserving the rural
22 environment. Farm Safety 4 Just Kids has
23 nearly a 140 grass roots volunteer chapters
24 across North America that reach more than one
25 million rural children, youth and farm families

1 each year with life-saving educational
2 materials based on research to practice
3 principles. In year 2000, Farm Safety 4 Just
4 Kids developed an educational packet called
5 "Farm Tasks, When Are Kids Ready?" This
6 educational tool is based on the North American
7 guidelines for agricultural tasks created by
8 the National Children's Center.
9 Farm Safety 4 Just Kids has also created a farm
10 safety day camp manual in response to a two-
11 year evaluation done by the Southeast Center on
12 farm safety day camps. Some of our chapters
13 who conducted these day camps were trained by
14 the Progressive Agriculture Camp Program. I
15 mean, excuse me, the Progressive Agriculture
16 Safety Day Program.
17 ATVs are extremely important. You've heard
18 that more than one time today. Along with the
19 Great Plains Center for Agricultural Help, Farm
20 Safety 4 Just Kids surveyed the attitudes and
21 behaviors of youth on ATVs during the recent
22 national FFA convention. Two educational
23 sessions with peer to peer education were also
24 conducted. The results are being used to shape
25 up -- shape an upcoming educational tool that

1 includes a community planning guide, paper and
2 pencil activities, demonstrations, posters,
3 presentation materials, a brochure -- and the
4 list goes on.

5 Our vision at Farm Safety 4 Just Kids is simply
6 keeping rural kids safe and healthy. For
7 agriculture to continue as a viable and
8 prosperous industry, we need to make sure
9 agricultural safety and health outreach
10 programs are researched, implemented, and
11 evaluated on an ongoing basis. We also need to
12 make sure our efforts are geared at reaching
13 all generations within the family unit from the
14 curious toddler asking for a ride with grandpa
15 on the tractor, to the 12-year-old wanting to
16 ride an ATV, all the way up to the teen that's
17 working around the power take-off for the first
18 time. Our education and awareness efforts are
19 timely and effective.

20 In closing, Farm Safety 4 Just Kids is working
21 to deliver grass roots programs based on
22 research to practice. We take pride in
23 creating programs and educational materials
24 that are based on the foundation of networking,
25 research, evaluation, and awareness about farm

1 safety and health issues. Farm Safety 4 Just
2 Kids is prepared to expand our organization to
3 implement the intervention that has already
4 been tested, while continuing to specifically
5 address the needs of children, youth, and farm
6 families through community outreach programs.
7 In addition to my verbal comments, I have a
8 handout that describes our priorities in ATV
9 safety, rural health, and tractor safety. And
10 yes, we would love to partner with you on the
11 tractor safety initiative.

12 Three key points that I'd like to quickly make.
13 Children's initiatives should remain viable and
14 separate. Recipients of NIOSH research funds
15 should strongly encourage the partner -- to
16 partner with organizations such as Farm Safety
17 4 Just Kids and the Progressive ag Foundation
18 day camp program to ensure that the knowledge
19 gained through research is implemented at the
20 grass roots level. Even better yet, direct
21 funding to the nonprofit organizations to be
22 provided to organizations such as ours that
23 have had proven track record conducting and
24 disseminating educational programs based on the
25 NIOSH funding -- funded research. Okay. I

1 firmly believe that if steps are taken in this
2 direction -- and I wanted to say this most
3 importantly to you, sir -- the modest reduction
4 of children's agricultural injuries and
5 fatalities, together we can assure that
6 agriculture will remain a strong, viable
7 industry for years to come. Thank you, Sir.

8 **DR. CONWAY:** Good recovery. Do you want those?

9 **MS. ADAMS:** Yes, I want to keep these.

10 **DR. CONWAY:** Thanks. Our next speaker will be
11 Deliana Garcia from Migrant Clinicians Network
12 speaking about migrant workers and child
13 agriculture safety.

14 **MS. GARCIA:** Good afternoon. As he just said,
15 my name is Deliana Garcia, and I represent the
16 Migrant Clinicians Network based in Austin,
17 Texas. The Migrant Clinicians Network is the
18 nation's oldest and largest clinical network
19 dedicated to improving the health of the mobile
20 under-served. For 22 years we have worked to
21 prepare clinicians to meet the healthcare needs
22 of migrant farm workers, those persons who
23 cross a prescribed geographic boundary and stay
24 away from their normal residence overnight to
25 perform farm work for wages, and other mobile

1 under-served workers.
2 Occupationally-related illnesses and injuries
3 continue to be some of the most complex and
4 frustrating healthcare events handled in the
5 primary care setting. I am grateful for the
6 opportunity to address you today with our
7 recommendations for the agricultural section --
8 sector, excuse me -- of the National
9 Occupational Research Agenda under development.
10 Your work will have enormous impact for many
11 years to come. We have seen this in the
12 critical pesticide-related research that has
13 been conducted over the last ten years based on
14 the previous NORA. The body of knowledge has
15 greatly improved, yet those crucial advances
16 must be taken to the next level. Understanding
17 of the impact and effects of pesticide exposure
18 must be translated into critical evaluation
19 strategies, and treatment and management
20 protocols for the clinician in the field.
21 Related to the research to practice initiative,
22 our first recommendation would be that NIOSH-
23 funded research include an applied component to
24 swiftly translate findings into clinical
25 practices. We ask that future requests for

1 proposal include requirements to seek to link
2 research findings to programs or organizations
3 that can apply the results. In this way, as
4 studies are defined and executed they will have
5 a specific aim, the rapid deployment of major
6 findings into the settings where they will have
7 the greatest benefit.

8 Currently MCN is involved in a five-year
9 partnership with the U.S. Environmental
10 Protection Agency to integrate pesticide
11 practice guidelines into the primary care
12 setting. We would like to see additional
13 partnerships with NIOSH that take the cutting-
14 edge research sponsored by your agency to the
15 front line provider.

16 Our second recommendation is that the
17 agricultural sector of the NORA redouble its
18 effort to study injuries and illnesses
19 resulting from occupational insults to workers.
20 When caring for migrant workers it is critical
21 that the clinician look beyond pesticide
22 exposure at incapacitating injuries resulting
23 from rapid and repeated motion, awkward body
24 mechanics, and the strain of supporting
25 excessive weights. And it would be important

1 at this moment to also highlight that this work
2 has not been conducted looking at female farm
3 workers and female workers in other occupations
4 who are currently pregnant.

5 These work requirements result in a whole host
6 of traumatic injuries and musculoskeletal
7 disorders that greatly impact the longevity of
8 workers in many segments of the agricultural
9 industry. The long-term effects on the human
10 body are not fully understood, yet due to these
11 injuries we see a growing number of workers no
12 longer able to maintain employment either in
13 agriculture or in any other work setting. For
14 many of these individuals their very survival,
15 and that of their family, depends on the
16 ability to work at whatever job is available to
17 them. The field of workers compensation and
18 rehabilitation has far to go. I would again
19 urge that future research incorporate the
20 identification of strategies for the
21 prevention, as well as the treatment and
22 clinical management, of these injuries. The
23 NIOSH Northeast Center for Agricultural and
24 Occupational Health, NICAM, provides an
25 outstanding example of research in this area

1 that has been translated into clinical
2 recommendations, and more efforts like this are
3 needed.

4 While there is enormous concern about the
5 impact of injuries and exposures on adult
6 workers and the young children and their
7 families, little attention has been paid to
8 adolescent workers, older children ages 14 to
9 17 functioning as emancipated minors. Reports
10 of studies looking at the changing face of
11 migration repeatedly indicate that the migrant
12 population is getting -- that is increasingly
13 not English speaking and also non-Spanish-
14 speaking, is getting younger. These young
15 workers are not yet fully developed either
16 physically or physiologically -- or
17 psychologically, excuse me -- but life
18 circumstances have required that they function
19 in the adult world of work. They are, however,
20 children unfamiliar with worker protections and
21 often incapable of requesting assistance, and
22 additional research is needed to understand the
23 impact of occupational injuries and illnesses
24 on the adolescent worker.

25 Our third recommendation is that research

1 funding targeted at children remain in place,
2 with a special focus on an older child because
3 we do not believe that this population can be
4 adequately addressed in adult research. We
5 have benefited enormously from our partnership
6 with the NIOSH National Children's Center in
7 Marshfield, Wisconsin and our active
8 involvement in the Childhood Agricultural
9 Safety Network. This partnership led to the
10 development of highly sought-after resources to
11 help educate farm worker families.
12 Continuation of this kind of intervention is
13 critical. Again it is important that research
14 work to assist the healthcare provider in
15 understanding the effects on the developing
16 body and identify strategies to prevent and
17 clinical management.
18 So as I'm closing I'd like to reiterate linking
19 studies to organizations able to translate the
20 findings into critical strategies -- clinical
21 strategies, broadening the research agenda
22 beyond pesticides, and expanding the child-
23 focused initiatives to include the adolescent
24 worker who is functioning essentially as an
25 adult. Thank you.

1 **DR. CONWAY:** Thank you. Our next speaker is
2 Robert Petrea from the University of Illinois
3 Urbana-Champaign speaking about older and
4 elderly farmers. Mr. Petrea.

5 **MR. PETREA:** I've already said I might sound
6 like God with this and so I hope you listen
7 appropriately. It's -- we pronounce it
8 "Petrie," but I've had Greeks and Romanians
9 both tell me that they know people in their
10 countries with that name and gave me that
11 pronunciation. I asked my dad about it, and he
12 just said I'm American, so -- I am Chip Petrea
13 from the University of Illinois at Urbana-
14 Champaign, the Department of Agriculture and
15 Biological Engineering. I also have an
16 appointment with the Centers for Environmental
17 and Occupational Safety and Health at the
18 University of Illinois Chicago, which is an ERC
19 center for NIOSH.

20 I would like to speak about older farmers, that
21 being those farmers older than 55. I know lots
22 of places older farmers -- or older guys, and
23 older white guys in particular, don't get a lot
24 of good press, but there's a lot of them out
25 there. The average age in Illinois is

1 something over 55 for farmers, going up. So I
2 have four particular factors related to that
3 category of farmer that I'd like to address.
4 One is the physiological changes of aging. We
5 have a lot of data on lots of things that takes
6 place as the body ages. However, related to
7 farming we do not know how these changes may
8 interact with the continuing workload and the
9 long hours that farmers tend to do. I served
10 on a dissertation committee of farmers in
11 northern Illinois, and they routinely put in 40
12 to 60 hours, which is similar to what my dad
13 does and he's 74.
14 There's lots of information on chronic diseases
15 and the increasing prevalence of those diseases
16 as individuals age. However, we do not know
17 what the impact of those may be on the older
18 farmers. We know that lots of farmers,
19 particularly the older generation, are not
20 typically preventative health oriented. They
21 only go when they need to to a physician or
22 clinic, and sometimes not then, and whether --
23 the suspicion is that there's lots of
24 undiagnosed chronic diseases out there that are
25 -- in fact there are some of them that have

1 been diagnosed but may be under-medicated, and
2 so there's -- their relationship of those
3 factors to injuries and fatalities is not well
4 understood.

5 The relationship between prescriptions and
6 over-the-counter medications with farming and
7 farm health and safety, in Illinois we lose 10
8 or 12 older farmers every year from tractor
9 overturns, and it would be nice to know what
10 kinds of medications those individuals were
11 taking and whether there was any impact of that
12 on their particular situations.

13 And also the role of stress and mental health
14 problems such as depression and anxiety. We
15 know that farmers spend more of their times
16 being humans than they do being farmers, but
17 they do have a particular set of circumstances
18 that bears to be better understood.

19 I would recommend that there be a specific NORA
20 research target of older farmers in production
21 agriculture to assess the role of physiological
22 changes of aging, health status, chronic
23 disease, mental and physical health effects,
24 and the effects of medication as they relate to
25 occupational injury and mortality. I would

1 like to see the continued work on better
2 mechanisms to document farm and farm-related
3 injuries and fatalities. We of course
4 recommend a collaborative approach between
5 nursing, medicine, agrimedecine, agricultural
6 safety and health, agribusiness, public health,
7 and of course the farmers, their spouses, and
8 their family members. And NIOSH has a nice
9 publication on community-based participatory
10 research that I think offers a model that we
11 can follow. And I would also offer that the --
12 related to older and aged farmers that
13 something similar to the current previously
14 work related to the rural and agricultural
15 children's efforts would provide a good model,
16 and also the USDA cooperative states research,
17 education and extension service AgrAbility
18 project, which relates to disabled farmers and
19 their families, are both good models for
20 furthering the research that we need and the
21 appropriate mechanisms for guiding both
22 preventative as well as facilitative programs.
23 Thank you very much.

24 **DR. CONWAY:** Thanks. Sorry about mauling your
25 name. Our next speaker is Dee Jepsen from Ohio

1 State University speaking about -- from an
2 extension perspective.

3 **MS. JEPSEN:** Glad to see there's so many people
4 still here, and thanks for the opportunity to
5 speak this afternoon. I am Dee Jepsen, the
6 director for agricultural safety and health
7 programs at Ohio State University. I am also
8 the President of the National Institute for
9 Farm Safety, which is the leading professional
10 organization in the nation that's dedicate to
11 occupational safety of agriculture workers.
12 When asked about the topic of my session I
13 simply chose to speak from an extension
14 perspective, so I may be echoing some of the
15 remarks from my colleague, Mitch Ricketts. For
16 those of you who are not familiar with
17 extension, oftentimes referred to the
18 cooperative extension service, let me just
19 briefly summarize it as an educational research
20 and service organization that receives funding
21 from federal, state, and local budgets to
22 address issue-based initiatives.
23 Extension receives financial and programmatic
24 support from the stealth government agency
25 familiar with ag, that being the USDA. From a

1 historic perspective the extension service came
2 about in 1913 through the Smith Lever Act.
3 This Act called for the information generated
4 by the research and the academic communities to
5 be disseminated to the citizens and put into
6 use. So with no disrespect to my NIOSH
7 officials who I know and who developed that R2P
8 logo, I would like to say that extension and
9 the federal land grant institutions are the
10 original crafters that the research to practice
11 model.
12 Now in all seriousness, I do want to
13 acknowledge NIOSH and their efforts to
14 incorporate R2P in the current research
15 expectations. Basic and applied research
16 findings through the R01, R21, and feasibility
17 studies are much needed in the ag sector. Each
18 study, whether it's farm-related asthma, injury
19 surveillance, noise-reduced hearing loss -- I
20 could go on and on -- these studies are just
21 bricks that help form the wall of research in
22 the agricultural workplace.
23 But this wall that we built can also be a
24 barrier between the researcher and the
25 workforce. Perhaps the findings are there, but

1 the common practitioner does not know what to
2 do with them.

3 This is where extension comes in. Extension
4 professionals can take those findings and
5 transform them into a more palatable format for
6 teaching and training. Utilizing the R2P
7 model, researchers can be sure that the
8 occupational workforce, including farm
9 families, are getting the most current findings
10 and strategies for prevention of illness and
11 injury. Extension is comprised of four major
12 program areas, not just agriculture, but also
13 family, youth, and community development.
14 Extension has a presence in every state of the
15 nation and often in every county or parish.
16 Extension professionals work directly in the
17 communities that they serve. They are faculty
18 members of their state land grant universities
19 and are familiar with methodology, program
20 planning, and systematic evaluation. I heard
21 this morning and then again this afternoon that
22 the NORA agenda will encourage partnerships. I
23 recommend that extension be that logical and
24 effective partner when it comes to the
25 agriculture sector.

1 The regional agriculture centers have the
2 ability to work directly with the extension
3 professionals in their area. These
4 professionals have access and the rapport with
5 the agricultural workforce.

6 The mission of extension program is to take
7 information to the people. Extension can
8 effectively target the appropriate audience and
9 utilize research findings in their local
10 communities. On the local level, extension
11 professionals have community linkages with the
12 veterinarians, the public health departments,
13 and local clinics or hospitals. Including
14 extension in the R2P process ensures that the
15 researchers that have valuable occupational
16 findings -- that their findings are being put
17 to use. In a nutshell, extension can be those
18 wheels for research, knowledge, and safe
19 workplace practices.

20 Let me conclude by saying that long after the
21 grant dollars expire, and we all know they do,
22 extension offices will still have a presence in
23 the communities. and can keep the information
24 and the best management practices set by NORA
25 progressing. Thank you.

1 **DR. CONWAY:** Thanks. Our next speaker will be
2 Anne Greenlee from the Oregon Health and
3 Science University speaking about agricultural
4 health risks in northeast Oregon and southern
5 Idaho.

6 **MS. GREENLEE:** Good afternoon, everybody. And
7 I certainly would like to thank NIOSH for
8 organizing this opportunity for listening, and
9 for myself for learning from many of you from
10 the audience, and also an opportunity to
11 contribute to the next ten years of research
12 with regards to agricultural health and safety.
13 I am Anne Greenlee, and I'm an Associate
14 Professor with Oregon Health and Science
15 University within the School of Nursing. I
16 have a secondary -- that's my primary
17 appointment. My secondary appointment is with
18 the Center for Research on Occupational and
19 Environmental Health, and I am located on the
20 LeGrand Campus. That's about four and a half
21 hours away from the Portland Campus. And I'm
22 developing a new program of research there
23 within the School of Nursing, so I've had an
24 opportunity with over the last year and a half
25 to talk with some of the producers and

1 veterinarians in the area. So I have kind of a
2 short message that underscores really what has
3 already been discussed with regards to beef
4 production and dairy industry in northeast
5 Oregon and southern Idaho.

6 And essentially the issues as far as health and
7 safety, traumatic injury, really stem from
8 animal handling issues, understanding the
9 animals and moving the animals about,
10 bull/human interactions and yard maintenance
11 with regards to heavy equipment. So many of --
12 I guess what I'm -- what I'd like to underscore
13 is just the need for translating the message of
14 safety and how to get it into the more remote
15 areas of efforts that are occurring in
16 northeastern Oregon and Idaho.

17 Long hours, personnel turnover, harsh work
18 environments, and training opportunities that
19 may or may not result in behavioral change,
20 those appear to be issues on the minds of
21 producers.

22 An area in which I am more familiar in that I'm
23 more of a laboratory-based scientist and I have
24 not heard yet today, sort of two areas that are
25 emerging as far as knowledge gaps, that I think

1 need to be on the agenda. And that is the
2 fetal basis of adult diseases, those exposures
3 that occur in the workplace with regards to
4 heavy metals and solvents and agrichemical
5 exposures; and those exposures that pertain to
6 or have the possibility of trans-generational
7 effects, those heritable changes that occur
8 during in-utero exposures that are -- not only
9 affect the offspring but also have the
10 potential for affecting future generations as
11 well.

12 And there's increasing evidence that some of
13 the environmental agents, especially those with
14 hormone-like activities, may alter
15 developmental programming. They do not result
16 in overt malformations, rather they alter the
17 developmental program and result in functional
18 deficits. And the functional deficits are
19 expressed later in life as an increase in
20 susceptibility to disease and dysfunction. And
21 the mechanism proposed for this phenomenon,
22 i.e., the fetal basis of adult disease, is
23 believed to be epigenetic alterations in the
24 genetic -- in gene expression; that is, altered
25 DNA methylation. And in some instances these

1 exposures may result in transgenerational or
2 heritable changes in the germ line.

3 So I think my point is, my suggestion is with
4 regards to the agenda, the future agenda, is to
5 look at those exposures, those low-dose,
6 chronic exposures that don't result in an overt
7 malformation but yet may lead to the risk of
8 the interaction with those exposures and later
9 susceptibility, or increased heightened
10 susceptibility to cancer, to reproductive
11 changes, fertility, decrements in fertility,
12 and neurological health. So I think there's a
13 lot -- just having my foot in the arena of
14 toxicology and reproductive health and
15 agricultural health, it's becoming very obvious
16 -- this -- this is a hot topic. This is what
17 is really on the agenda of many upcoming
18 meetings and is going to be building over the
19 next few years. And I think that low-dose,
20 chronic exposures really need to be kept at the
21 forefront as far as disease susceptibility.
22 Thank you very much.

23 **DR. CONWAY:** Thanks. Our next speaker is Don
24 Villarejo, no affiliation listed, speaking
25 about occupational health and safety of U.S.

1 hired farm workers.

2 **MR. VILLAREJO:** Perhaps the most important
3 change in U.S. agriculture during the past 30
4 years is the dramatic increase in the
5 importance of labor-intensive agricultural
6 production and the associated greater reliance
7 on hired workers.

8 Three major factors account for this greater
9 utilization of hired workers. First, there's
10 been a steady increase in the proportion of
11 U.S. crop farm cash receipts derived from the
12 sale of fruits and nuts, vegetables, nursery
13 and greenhouse products. In 1974 that total
14 was about 17 percent of total crop farm sales.
15 By 2002 that share had more than doubled, and
16 is now 40 percent.

17 Second, increased farm size often requires
18 supplementing farmer and family labor with
19 hired workers. Among fruit and vegetable
20 producers there has been a dramatic increase in
21 size concentration in recent years, and
22 correspondingly a greater reliance on hired
23 labor.

24 Third, the labor supplied by hired workers on
25 U.S. farms today probably now exceeds the labor

1 input of farmers and unpaid family members.
2 The 2000 U.S. Census of Population indicates
3 that just 587,000 persons said their occupation
4 was farmer or rancher, down from roughly
5 830,000 ten years earlier. In contrast, the
6 number of persons reported in the census of
7 agriculture working 150 days or more directly
8 for farmers -- these are regular hired workers
9 -- was reported to be 928,000 in 2002. That's
10 up from about 700,000 25 years earlier. Of
11 course the latter figure does not include the
12 one million or more short-term or temporary
13 hired farm workers who labor on U.S. farms.
14 What do we know about this population? First,
15 most U.S. hired farm workers are characterized
16 by low socioeconomic status, long associated
17 with adverse health outcomes. The National
18 Agricultural Workers Survey of the U.S.
19 Department of Labor finds that the typical
20 hired crop farm laborer today is a young, low-
21 income, foreign-born, mostly Mexican male with
22 low educational attainment and who has only
23 recently migrated to the United States. In
24 California the most significant development in
25 the farm labor market is the sharply increased

1 flow of indigenous migrants from the southern
2 Mexican states of Chiapas, Oaxaca, Guerrero,
3 Puebla and Veracruz. Approximately 20 percent
4 of California farm workers today are believed
5 to be indigenous migrants. Many speak only
6 their indigenous language, not Spanish, not
7 English, languages that very often doesn't even
8 have a written form.

9 The seriousness of farm labor occupational
10 hazards was underscored in California during
11 the past summer when a statewide attention was
12 directed to multiple deaths among workers who
13 suffered heat illness while hurrying to pick
14 crops in the San Joaquin Valley. My belief is,
15 and this underscores something that Bill Krycia
16 said earlier, that there is persuasive evidence
17 that vigorous enforcement of occupational
18 safety laws can reduce workplace injuries and
19 illnesses throughout industry.

20 An economic -- an econometric multi-varied
21 analysis of non-cumulative injury, Workers
22 Compensation claim frequency for all industries
23 in California conducted by the Workers
24 Compensation Insurance Rating Bureau, showed
25 that Cal-OSHA enforcement and education was the

1 single largest factor contributing to
2 reductions in paid claims. But unfortunately
3 there's been relatively little progress in the
4 recent past among hired farm workers. In
5 particular, the number of fatalities on
6 California farms among hired workers in the
7 ten-year period 1988 to 1998 was 442, an
8 average of 40 fatalities per year, and that
9 rate has not decreased subsequently.

10 I believe that hired farmers are a special
11 population based on the unique demographic
12 features, the lack of access to care, the lack
13 of health insurance, the high rate of
14 occupational injury, and the poverty status. A
15 major factor of course is the extent of poverty
16 in this group. This has its impact in
17 different ways, including lack of access to
18 healthcare, limited nutritional choices,
19 decrease in preventive health services,
20 dental/vision care, vaccinations, and poor
21 housing conditions.

22 If we're going to understand the pattern of
23 disease and illness in this population, we
24 can't only look just at the occupational
25 exposure. So here's my recommendations.

1 One, I think we need to support prospective
2 cohort studies of this population that includes
3 workplace and living condition exposures, as
4 well as acculturation and risk behaviors.
5 Second, I think we need to insist that future
6 cross-sectional studies in this population
7 should include comprehensive physical exams.
8 We are seeing a pattern of infectious disease,
9 tapeworm, tuberculosis, and other illnesses
10 endemic in the sending countries now appearing
11 in our state.
12 Third, NIOSH should add a periodic occupational
13 health supplement to the National Agricultural
14 Workers Survey, perhaps every three or four
15 years, and take advantage of the wonderful work
16 that that organization has done.
17 And fourth, and this I'll end on, NIOSH should
18 immediately provide public access to raw data
19 files already collected, such as the 1999
20 Occupational Health Supplement to the NAWS,
21 subject of course to privacy protection, as is
22 the standard practice in the Census Bureau's
23 PUMS files and the NAWS has recently put on the
24 web all of the raw to data files for the past
25 ten years. Thank you very much.

1 **DR. CONWAY:** Thank you, sir. Our next speaker
2 is Richard Dressler from the Association of
3 Equipment Manufacturers speaking about
4 agriculture and forestry.

5 **DR. DRESSLER:** Good afternoon, everybody. My
6 name is Dick Dressler, and I'm speaking to you
7 on behalf of AEM, the Association of Equipment
8 Manufacturers. We appreciate the opportunity
9 to speak today on agriculture and forestry, and
10 will briefly highlight some of the recommended
11 -- recommendations we have for the agenda.
12 AEM is a nonprofit manufacturers trade
13 association, headquarters in Milwaukee,
14 Wisconsin. We also have offices in Washington,
15 D.C.; Ottawa, Canada and Beijing, China. AEM
16 serves the agricultural, forestry,
17 construction, mining, and utility equipment
18 sectors. We have over 700 corporate members
19 with 375 original equipment manufacturers and
20 the rest suppliers of goods and services for
21 the industry.
22 Agriculture, as you've heard today, ranks among
23 the most hazardous industries. In addition to
24 adults, children and teenagers are regularly
25 performing work on many family farms and are

1 exposed to potential illnesses and injuries.
2 NIOSH currently supports research and
3 prevention programs, but more can be done.
4 The following topics are recommended for the
5 NIOSH agenda. One, high productivity
6 equipment. Ag equipment working and traveling
7 at higher speeds requires special consideration
8 for steering, braking, hitching, lighting,
9 marking, and training the operator.
10 Two, global positioning and other automated
11 systems. Automated functions allow machines to
12 perform complicated tasks with minimal or no
13 operator assistance. Unexpected movements or
14 occurrences must be addressed in the operator's
15 training and re-training.
16 PTL, drive lines and other hazards. Guarding
17 and warning for preventions of entanglement,
18 crushing, or cutting industries have improved
19 dramatically over the last 20 years. They must
20 continue to be evaluated and tested for safety,
21 functionality, and comprehension.
22 And as we talked before, training. NIOSH needs
23 to identify the most effective means for
24 operator and technician training. Examples
25 could be classroom, web-based, or interactive

1 training. Consideration also needs to be made
2 for the non-English-speaking individuals.
3 Operator visibility studies. NIOSH has
4 supported visibility studies for the
5 construction and mining equipment sectors.
6 This should also be done for ag equipment.
7 Run-over, backing injuries and fatalities
8 continue to plague the ag industry. Closed
9 circuit monitoring is available. A human
10 factor study needs to be done in an ag
11 environment.
12 Public acceptance requiring cultural changes.
13 The public must be educated to accept that ag
14 equipment manufactured in recent years,
15 probably since the mid-1980s, is inherently
16 safe. It should be investigated whether
17 further reduction of injuries and fatalities
18 may require cultural changes in addition to
19 improved standards.
20 NIOSH should also become an advocate
21 encouraging OSHA to have -- to base their
22 regulations on modern standards rather than
23 developed in the '60s and '70s. An example is
24 the reinstatement of the Roll-over Protective
25 Structure Standard.

1 Finally, research is suggested to study the
2 benefits and risk of injecting manure into the
3 soil to minimize run-off and contamination.
4 This should be compared to the common practice
5 today of spreading. The process may be
6 regulated by the EPA, but we do not believe
7 there is sufficient data available to make an
8 informed regulatory decision.

9 Forestry is another hazardous -- hazardous
10 industry and there's clearly a need to
11 prioritize efforts intended to make this
12 occupation less dangerous. Forestry per man-
13 hour worked in many states is the most
14 hazardous industry.

15 The following two topics are recommended for
16 the NIOSH agenda. One, fire prevention. The
17 Society of Automotive Engineers is currently
18 working with the insurance industry to prepare
19 an informational report on fire prevention
20 practices. Collaborative research with NIOSH
21 in validating these practices would be a
22 worthwhile effort. A specific area of study is
23 the total loss of machines resulting from the
24 misuse of forestry and construction equipment
25 in firefighting applications.

1 Two, operator protection. Forestry equipment
2 generally provides excellent protection, but
3 some conditions are more challenging to the
4 manufacturer than others. Topics for
5 investigation could be (a) additional
6 protection from falling objects; (b) durability
7 of polycarbonate window material that may be
8 bullet proof, but it may react differently to a
9 heavier mass, lower speed object; (c)
10 effectiveness of add-on roll-over protective
11 structures on forestry conversions; and (d)
12 safety research for ground personnel, such as
13 fellers, choker setters, and even truck
14 drivers.

15 There are other research topics but these are
16 some of the higher priority issues we believe
17 should be placed on the NIOSH agenda. Thank
18 you for your time and the opportunity to speak.

19 **DR. CONWAY:** Thank you. We're off our printed
20 docket but we have three more scheduled
21 speakers. The first didn't have an opportunity
22 to speak this morning, so this is not, strictly
23 speaking, ag. Susan Wilborn from Washington
24 State Nurses Association, also has an
25 affiliation with WHO, speaking on healthcare

1 sector chemical exposure.

2 **UNIDENTIFIED:** (Off microphone)

3 (Unintelligible)

4 **DR. CONWAY:** Pardon me? Okay, well,
5 presumably we'll get written input from her for
6 the docket.

7 Our next speaker then is Charlotte Halverson --
8 I'm having a little trouble with handwriting
9 here; I don't remember who wrote this -- with
10 (unintelligible) Mercy Medical Center speaking
11 about agricultural safety. I hope I didn't
12 maul your name too badly by misreading.

13 **MS. HALVERSON:** Everybody does. You did
14 wonderfully.

15 **DR. CONWAY:** Thank you.

16 **MS. HALVERSON:** Thank you very much for the
17 opportunity to make just a couple of comments
18 on behalf of some of the folks that I work
19 with. My name is Charlotte Halverson. I am
20 from eastern Iowa. I am employed by Mercy
21 Medical Center. We have a rural health service
22 through that hospital. Half of my time is
23 contracted to the National Safety Council where
24 I work with the National Education Center for
25 Agricultural Safety. My background is

1 occupation health nursing, and I have done a
2 focus study on agriculture, so sometimes I have
3 to look at my name tag to tell you where I'm
4 working today.

5 I really, really want to commend NIOSH and NORA
6 and most of you here for your emphasis on the
7 importance of collaborative efforts. None of
8 us have a lot of time and a lot of money, and
9 so all these things are precious to us. I
10 think collaborative efforts are going to be
11 especially effective in a lot of areas, and we
12 need to be working very closely with
13 researchers in university centers and NIOSH
14 centers -- the importance of having the media
15 involved in a lot of what do. Looking at those
16 collaborators that we don't oftentimes think
17 about, such as the community -- community
18 colleges, working with producer groups;
19 involving rural practitioners in not only
20 looking at what are the issues to be
21 researched, but in getting the word out when we
22 do have the materials.

23 I very much represent the P in the R2P. As an
24 occupational health nurse, I spend a tremendous
25 amount of time with farmers, with farm

1 families, with very young workers, and very
2 much the older working population.

3 There are some issues that I think that we have
4 a particular interest in and see a need for
5 research time and dollars, and we have hardly
6 scratched the surface on some of the issues
7 around respiratory -- chronic respiratory
8 issues. I have, believe it or not, driven down
9 the highway and seen farmers with their oxygen
10 tanks driving the tractors -- you know where
11 I'm coming from.

12 Hearing conservation -- hearing loss is being
13 seen in our clinics at a younger and younger
14 age.

15 Women in agriculture -- I think this is an
16 important issue because more and more women are
17 very directly involved with agriculture, and in
18 the actual work, not just the book work.

19 Mental health and stress issues in agriculture
20 -- this is just huge. And I want to reiterate
21 what Chip Petrea talked about, particularly the
22 physiological issues in the older adult
23 population. We have older adults working
24 longer and working harder.

25 Those of us that are nurses in agriculture --

1 and I am actually representing the Agrisafe
2 Network. We are a group of occupational health
3 nurses with a focus in agriculture, and that's
4 where we are trying to devote most of our time.
5 But we have really seen that there is a need
6 for agricultural focus in the occupational
7 health programs and in the community health
8 portion of nursing and medical training.
9 Now that being said, I know that the schedules
10 are extremely tight. There is no room for any
11 additional information to be put into these
12 programs. But the sad part of it is is the
13 majority of nurses that are working in the
14 agricultural community have less than a
15 bachelor's degree, very oftentimes out of your
16 two-year programs where there is no time to put
17 any community health issues in. So I think we
18 need a challenge for those of us that are in
19 the arena is to look at how can we get
20 information and training to people that are the
21 healthcare providers.

22 I preceptor some of the master's degree
23 students in the occupational health nursing
24 program for the University of Iowa, and there
25 is a direct -- a definite interest in the

1 agriculture arena in these people. And they
2 really have a passion and a caring and an
3 interest in working in agricultural health and
4 safety. But we all know that it is definitely
5 not a revenue-producing area. It is very
6 rarely third-party reimbursed, and we need to
7 be looking at how can we integrate this
8 practice into a model that will give us a
9 paycheck.

10 Providing research information on the
11 continuing education front. NIOSH alerts are a
12 wonderful tool and we have really -- really
13 used them and -- and look forward to them. But
14 I think that these alerts not only alert those
15 of us in the agricultural health and safety
16 industry, but they also can be a way of getting
17 to our colleagues who don't always interact on
18 a day-to-day basis with agricultural workers.
19 So any kind of information that can be
20 disseminated that we can have available to
21 physicians and nurses that don't always see
22 people in the agricultural arena. And my
23 emphasis story on this is I worked with an
24 occupational health physician. I put him into
25 a training program at the University of Iowa

1 that we took, and he came back and he said
2 Charlotte I've been misdiagnosing. So that's
3 where the value is in the NIOSH dollars that go
4 into the research. Thank you.

5 **DR. CONWAY:** Our last speaker on the docket,
6 which will be followed by some wrap-up speakers
7 I'll introduce in a moment, is Leslie Hughes, a
8 well-known colleague from the North Pacific
9 Fishing Vessel Owners Association speaking
10 about commercial fishing safety.

11 **MS. HUGHES:** I want to thank NIOSH for the
12 opportunity to participate in this forum. I
13 -- I've learned a lot today, and I wish there
14 was a little bit more time. But hopefully as
15 a follow-up we can look together at some of
16 the commonalities between the ag and the --
17 particularly processing industry for -- in
18 our fishing sector.

19 I am Leslie Hughes. I'm the executive
20 director of the North Pacific Fishing Vessel
21 Owners Association better known as NPFVOA
22 Vessel Safety Program, and this program was
23 started 20 years ago. I've worked in the
24 commercial fishing industry for 31 years, but
25 with the safety program for 20. And it's

1 been an amazing program where we've seen huge
2 improvements, but some of the comments that
3 have been made today about disconnects are a
4 constant challenge with trying to get a
5 culture to understand that they are at
6 enormous risk. The fishing industry is
7 regarded as typically the most dangerous
8 occupation in the United States.

9 So I think we've been very effective as an
10 organization because we're nonprofit, we're
11 totally dedicated to safety education and
12 training, but we have a membership base.
13 We're not exclusive to our members, but it
14 gives us a population that we continually
15 communicate with and they communicate with
16 us. And they will come to us, for instance,
17 if they have say a pneumonia incident where
18 their people are exposed. They'll come to us
19 and say we've just discovered we need
20 training. So I think we're in excellent
21 forum for collaborating with NIOSH, and we've
22 appreciated the opportunities where we've
23 been able to do that, because we have the
24 trust of an industry over many, many years,
25 and so we're just very unique. There's

1 nothing like anywhere in the country, and
2 there's actually nothing like us in the
3 world. The International Labor Organization
4 had me come up to Geneva because they
5 couldn't figure out how fishermen would do
6 anything on a voluntary basis.

7 So some of the things where I think NIOSH has
8 -- could work further to have the kinds of
9 positive impacts they've had already -- and
10 for the shortness of time I'm not going to
11 identify what some of those were but we would
12 like to see them continued. And some new
13 projects that you might consider would be
14 having some assessment of some of the things
15 that the Coast Guard, which is the agency
16 that has the predominant authority over
17 fishing industry, but to look to see where
18 some of their interventions have been really
19 effective. And recently the District 13 and
20 District 17 -- which is Alaska, Washington,
21 Oregon -- Coast Guard has had some real
22 hands-on interventions. It would be
23 interesting to look and see how that compares
24 to dockside exams and some other things
25 they've done.

1 Also we see that 30 percent of our fatalities
2 are caused by man-overboard incidents. NIOSH
3 in 1997 did some initial work in that, but I
4 think we really need something much more in-
5 depth and stronger, and we would very much
6 like to participate with you in working on
7 that.

8 We are seeing an increase of foreign
9 population in the workers that we're hiring.
10 Many are third world countries, and there's a
11 lot of concern about how an epidemic that
12 would break out on a boat in the kind of
13 conditions Eric Blumhagen described to you,
14 how you would deal with that. Would you
15 quarantine the vessel? You would have very
16 limited means of quarantining people as
17 individuals. So I think that's something
18 that there -- could be very, very helpful in
19 the future.

20 And I would say that the comments that have
21 been made about being able to communicate
22 with an industry is totally critical and
23 industry participation is critical. If you
24 don't involve industry, I don't think you'll
25 ever hit the mark. It's industry that knows

1 where the problems are. If you can identify
2 a risk, then they will work with you to try
3 to mitigate it because no one in our industry
4 wants to have people killed or maimed. And I
5 think that's how you get the buy-in, and the
6 work that you've done in the past has been
7 very much appreciated because you've had the
8 respect for the workers that you're
9 addressing. And again, I thank you.

10 **DR. CONWAY:** Thanks, Leslie. Deb Reed,
11 apparently one other person has requested
12 speaking.

13 **UNIDENTIFIED:** (Off microphone)
14 (Unintelligible)

15 **DR. CONWAY:** Not on ours, but I don't see a
16 problem. Do you want to say something?

17 **MS. REED:** (Off microphone) Sure.
18 (Unintelligible)

19 **DR. CONWAY:** Well, it's -- we don't mean to
20 conscript you. Is it -- is it correct?

21 **MS. REED:** (Off microphone) (Unintelligible)

22 **DR. CONWAY:** Do you want to?

23 **MS. REED:** (Off microphone) (Unintelligible).
24 (On microphone) It won't take me long at all,
25 but it's late in the afternoon and we all

1 need to hear the wrap-up. It's been a very
2 interesting session, so thank you very much
3 for this opportunity.

4 My name is Deborah Reed. I'm from the
5 University of Kentucky College of Nursing and
6 the Southeast Center for Agricultural Health.
7 Although I'm here as an occupational health
8 researcher, I really come from a long line of
9 farmers. My sisters, ages 73 and 71, are
10 still full-time farmers in the fields every
11 day. My brother, age 60, just retired from a
12 career at Lexmark to take over the family
13 farm. They're worried sick about their
14 future. And as Forrest Gump would say,
15 that's all I got to say about that. Except
16 that I echo what Chip said earlier about
17 issues of aging farmers.

18 And I would tell you, though, that young
19 people on a farm are worried sick, too. A
20 child psychiatrist told me at the University
21 of Kentucky Children's Hospital -- seeing an
22 increasing number of farm children. And one
23 example the physician said the teenage girl
24 felt that she would quit eating because she
25 felt if her dad had one less mouth to feed

1 perhaps he could hang onto the farm. In my
2 own experience conducting research in high
3 school agricultural classrooms, I've
4 overheard countless stories by teenagers who
5 use extremely, extremely risky behaviors to
6 deal with their stress, particularly on
7 weekends.

8 While all of the topics covered today are
9 worthy and salient to the health and safety
10 of agricultural workers, I feel there's a
11 basic risk to health that has not been
12 mentioned very much this afternoon. The
13 person is really more than an entity that
14 bleeds and breaks. The many cascading
15 pressures faced by farmers today --
16 competition in global markets, the
17 disappearance of their family-based industry,
18 rapidly advancing and expensive technology,
19 and a marked shift in the labor force --
20 creates stress and psychological strain on
21 farm families that is absolutely enormous.
22 Research is needed to identify precipitating
23 factors and the effects of this stress, not
24 only in the workplace but within the families
25 that work together. There is limited, albeit

1 very limited, evidence that supports the
2 negative health effects experienced by
3 children in struggling farm households.
4 There is documentation of the direct link
5 between stress and injury. We need
6 epidemiologic studies to examine this issue
7 and to develop research-based strategies that
8 can help children and families deal with the
9 increasing stress in agriculture and related
10 work.

11 We've had this in the past with adults. We've
12 looked at it with the adults. But let's not
13 forget that family farms are made up of
14 children, too. Thank you.

15 **DR. CONWAY:** Thank you, ma'am. So we're going
16 to go to our wrap-up in two minutes, so what
17 we have time to do stand up and stretch while
18 we huddle very quickly with our wrap-up
19 folks, or it's time for an emergency bio-
20 break, so -- but two minutes.

21 (Whereupon, a recess was taken from 4:45 p.m.
22 to 4:47 p.m.)

23 **DR. CONWAY:** We don't have any more attrition,
24 we're staying on our two-minute thing, we
25 have two -- two colleagues who have agreed to

1 take on the yeomanly and often thankless task
2 of summarizing the high points that they've
3 heard during the day. They've been laboring
4 taking notes all day I've seen here. The
5 first, providing a synopsis of the child and
6 childhood-related issues is Dr. Barbara Lee,
7 who is Director of the National Children's
8 Center for Rural Agricultural Health and
9 Safety affiliated with the Marshfield Clinic
10 in Wisconsin. Dr. Lee.

11 **DR. LEE:** Thank you, George, and thank you all
12 for sticking around. I want to again just
13 follow up with some of the comments that were
14 made here and start out by saying that for
15 those of you who have been involved in this,
16 you know that NIOSH assumed and accepted
17 leadership of the Childhood Agricultural
18 Initiative back in 1996 with a specific
19 action plan that had been developed by a
20 committee of people from around the country.
21 So we commend NIOSH for doing a fabulous job
22 of taking that on because that was an area
23 that other federal agencies thought maybe
24 they should get involved, but it was falling
25 through the cracks of many places, so NIOSH

1 took the lead and they've done a nice job.
2 And as somebody pointed out earlier, we have
3 some successes. In fact, we have verifiable
4 numbers from the NIOSH injury surveillance of
5 children in agriculture that the number --
6 overall numbers of injuries have dropped and
7 the rates have dropped -- about 3.1 percent I
8 think was the last three-year trend -- in the
9 actual rate of injuries to children. So
10 these are good important things that we have
11 learned through this NIOSH-funded
12 surveillance.

13 It still is an important issue, though,
14 because while the numbers are not great in
15 terms of kids being injured on farms when you
16 compare it with transportation or
17 recreational or sports injuries, we still
18 look at this as an occupation which is a
19 shame to say this many children are working
20 and are injured and killed because, in terms
21 of occupational injuries, it still stands out
22 as a real sore issue.

23 What we learned from the surveillance, though,
24 also is that more than half of the children
25 who are injured and killed on farms are not

1 working. So that creates a whole different
2 set of issues in terms of occupational safety
3 and approaches for us.

4 When we -- what we heard today were a number
5 of cross-cutting issues that affect both
6 children and adults. And a lot of things
7 affect adult decision-making about what
8 children do on the farms. And issues such as
9 ATVs, about communication, risk
10 communication, partnerships to get messages
11 across, the whole research to practice or
12 applied research principles really cross over
13 with the children's initiative.

14 And then the whole issue of pregnant women and
15 what are the long-term effects of pregnant
16 women and subsequent effects of them working
17 in agriculture.

18 But just to -- to take a quick look at what I
19 saw coming out, if we look at the children
20 who live and work on farms, on family farms,
21 what we're hearing is that a lot of the
22 interventions out there are being funded by
23 agribusiness, corporate America. In fact,
24 two of the organizations that spoke today
25 actually receive more than \$2 million a year

1 of corporate sponsorship for their programs.
2 And what they're saying is we want to hear
3 from NIOSH. We want NIOSH to do the
4 evaluation and research so that we take that
5 money, that \$2 million, and use it most
6 effectively. I think that's really a cool
7 partnership between NIOSH and industry and
8 then using the non-government organizations
9 and extension to deliver those programs that
10 have been tested to be effective in reducing
11 injuries, reducing exposures for kids who are
12 living and working primarily on family farms.
13 The other piece that we heard was the growing
14 number of young people, whether emancipated
15 minors or they're the local teens who are
16 working in agriculture -- many times it's the
17 short-term employment. And we heard from the
18 employers we want to do something for these
19 people, but tell us what we should be doing.
20 Tell us how to help the employers provide
21 safe working conditions for these teen
22 workers and how do we find the right match
23 between the young worker and a safe working
24 environment so that they're assigned to the
25 right types of work.

1 And then how do we also provide support to the
2 clinicians who are taking care of these young
3 people who are coming in, either as
4 preventive services or occupational services,
5 and help them provide the training.
6 So these are just some of the key themes that
7 we heard some really great messages
8 throughout the day, and we look forward to
9 seeing how you synthesize the results. But
10 overall I want to thank all of you for
11 providing comments, especially for those of
12 you who took the time to speak about the
13 children's issues. And we thank NIOSH again
14 for your continued leadership in this really
15 important area. Thanks. And I'll hand it
16 over to Rich Fenske, who's been our primary
17 host for the day.

18 **DR. FENSKE:** Thanks to all of you who are
19 still here, and I hope you enjoyed a number
20 of these things. I certainly learned some
21 things today. I want to take care of one
22 detail before I close out. Don Villarejo
23 didn't talk about his affiliation. Don, are
24 you still here? He's gone. I just want to -
25 - since Don didn't mention his affiliation, I

1 just wanted to let you know what it was.
2 He's retired, and he's happy about it, too,
3 I'll tell you.

4 What I heard today -- I'm not going to go
5 through the details of the types of injuries
6 or illnesses that we're concerned with; those
7 were I think done very well by our speakers.
8 But all I'm really going to say is that I
9 found that there was a kind of a widespread
10 endorsement of the research to practice theme
11 that NIOSH has articulated and is trying to
12 push right now.

13 I also agree that the original research to
14 practice is the cooperative extension; no
15 doubt about it. So there's a good model for
16 us, and I think that Dee's suggestions are
17 well taken.

18 I guess the question is -- there is extension,
19 but how else are we going to take research to
20 practice? And a couple of things that I
21 heard in attempting to answer that question.
22 One is, especially for those of us out here
23 in the west where we're dealing with the
24 hired farm worker, we don't even know how
25 many people there are. We heard calls for

1 enumeration. How do you get incidence rates
2 and know that you've reduced them if you
3 can't even get the denominator? This is a
4 really serious problem. I don't -- I don't
5 have any quick solutions because of the
6 nature of the workforce as we've heard. I'm
7 not going to repeat all that, but if we're
8 going to document the effectiveness of
9 interventions and practice, we're going have
10 to have their basic demographic data. And
11 the NAWS program, the National Agricultural
12 Workers Survey that Don talked about, almost
13 went out of business this year. I wrote a
14 letter and a lot of other people wrote
15 letters to the Department of Labor saving
16 that program. Fortunately, it was saved at
17 least for a year, but given the budget
18 situation in Washington, D.C., I certainly
19 hope we can not only hang onto that but
20 amplify that kind of documentation.

21 Another way of doing research to practice is
22 through partnerships, and we heard a variety
23 of people use that work and talk about
24 collaborative efforts. And there's no doubt
25 about that, I think this is the way to go.

1 I'm not going to elaborate all the possible
2 partnerships we have. People have already
3 done that. But let's all try to make an
4 effort to do that.

5 And the last thing I'd say is, as part of R2P,
6 we'd like to talk now about translation. In
7 fact we talk about translational research and
8 translation of science. We heard about that
9 from a number of people, and one thing we
10 discovered -- if we didn't know it already --
11 is we don't speak the same language; that
12 those of us in science have to come to
13 understand how best to communicate. I've
14 worked in the risk communication field for a
15 while, and there's no doubt that the only
16 effective risk communication is a two-way
17 dialogue. So I hope we don't repeat some of
18 the errors of the past where as scientists we
19 decide that our job is, in translation, is to
20 explain to people what the real facts are,
21 and kind of leave it at that. We really need
22 to back-translate, find out if what we're
23 saying even makes sense to people. And we
24 also need to understand the differences in
25 basic values and perceptions that underlie

1 what we're saying so that we can communicate
2 effectively.

3 We want measurable results. I agree that --we
4 heard that and I think that that's -- that's
5 what we can build on. We also heard about
6 precaution, though. There's a lot of
7 uncertainty in some of the science that we
8 do, particularly -- I don't know how many
9 speakers talked about chemical exposures and
10 concerns that actually are hard to study and
11 get measurable results when we're talking
12 about effects on the fetus, when we're
13 talking about early childhood development,
14 low-level exposures, these kind of things.
15 The question then is how precautionary should
16 we be? That theme was raised as well, and I
17 think that's one to keep in mind.

18 And with that I'm going to close, give the
19 floor back to George.

20 **DR. CONWAY:** Thanks, Richard. Well, we're
21 almost done for the day. I'm going to turn
22 things over to Dr. Max Lum who's our
23 Associate Director for Health Communications
24 and Global Coordination. Did I get it right?
25 And I want to thank Richard for being such a

1 great host.

2 Before Max speaks, I want to acknowledge Max
3 for organizing -- taking the lead in
4 organizing these -- is it 13 meetings?

5 **DR. LUM:** Just a -- just one minute here, and
6 that is the man with the shovel has been
7 bothering me all day. But now that I look at
8 him, I think we're going to -- that's us. We
9 have a lot to shovel, I think, to move this -
10 - seriously -- to practice. All of us have
11 to get down. We want to get to the product,
12 but we're going to be doing a lot of
13 shoveling. I mean there's no question about
14 it. And I think the -- the excitement that I
15 have about doing these town hall meetings is
16 that we're hearing that we have partners who
17 are willing to do the shoveling with us, and
18 that is most exciting.

19 And in closing I just want to recognize Rich.
20 I mean this is a lot of work, and both the
21 ERC and Rich's group have really put in the
22 effort. I mean beyond what we expected when
23 we started this. We greatly appreciate that,
24 and Marcie, just stand up a minute. You know
25 there's always one person -- that one person

1 you know that you've got to get it right --
2 or she's the one that says well, now wait a
3 minute, you know, and that's -- that's --
4 that's been very helpful.

5 And on my staff, Christy Bowles please stand
6 up. Christy's -- hasn't been with us
7 actually that long, but has the paper cuts to
8 prove that has been stuffing a lot of
9 envelopes and doing a lot of real scut work
10 in our office, and it's been greatly
11 appreciated.

12 But there are a lot of people that should be
13 thanked. I'm sure I'm missing folks really
14 who have put a lot of effort in this -- in
15 the -- in the town hall. The tough work is
16 to be done is to put the synthesis together,
17 to put the partnerships together for the next
18 ten years, and to make the difference for all
19 of us and for workers and their families.

20 Thank you very much for coming, but thank you
21 for staying. That's also very important.

ADJOURN

SID SODERHOLM, NIOSH

22 **DR. SODERHOLM:** So thank you for coming. Keep
23 involved. Sign up for e-news. Volunteer for
24

1 the research councils. Keep involved with the
2 process. NORA is going to be around for at
3 least another ten years, and we need each of
4 you involved. So contact me if you have any
5 questions or issues, and drive safely.

6 (Whereupon, the meeting was adjourned at 5:00
7 p.m.)

8

9

10

11

12

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of January 17, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 28th day of February, 2006.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**