

UNITED STATES OF AMERICA
 FOOD AND DRUG ADMINISTRATION
 CENTER FOR DRUG EVALUATION AND RESEARCH
 ANTI-INFECTIVE DRUGS ADVISORY COMMITTEE

(AIDAC)

+ + + + +

MEETING

+ + + + +

WEDNESDAY

FEBRUARY 20, 2002

+ + + + +

The Committee met at 8:00 a.m. at the Holiday Inn, Two Montgomery Village Avenue, Gaithersburg, Maryland, Dr. L. Barth Reller, Chairman, presiding.

PRESENT:

L. BARTH RELLER, M.D.	Chairman
GORDON L. ARCHER, M.D.	Member
DAVID M. BELL, M.D.	Consultant
P. JOAN CHESNEY, M.D.	Consultant
STEVEN EBERT, Pharm.D.	Consumer
Representative	
MARY GLODE, M.D.	Consultant
DON GOLDMANN, M.D.	Guest
CATHERINE HARDALO, M.D.	PhRMA Representative
JAMES E. LEGGETT, JR., M.D.	Member
CELIA MAXWELL, M.D.	Consultant
JOSHUA P. METLAY, M.D., PhD	Guest
MARISSA A. MILLER, DVM, MPH	Guest
JUDITH R. O'FALLON, PhD	Member
JAN A. PATTERSON, M.D.	Consultant
JULIO A. RAMIREZ, M.D.	Member
LOUIS B. RICE, M.D.	IDSA Representative
COLEMAN ROTSTEIN, M.D.	Guest
DAVID SHLAES, M.D.	PhRMA Representative

PRESENT: (continued)

CIRO SUMAYA, M.D.	Consultant
GEORGE H. TALBOT, M.D.	IDSA Rep
FRANCIS TALLY, M.D.	Cubist Pharmaceutical
JANET WITTES, PhD	Consultant
LIANNNG YUH, PhD	PhRMA Representative
TARA P. TURNER, Pharm D.	Executive Secretary

I-N-D-E-X

	<u>Page</u>
Call to Order, Introductions L. Barth Reller, M.D., Chair	4
Conflict of Interest Statement	6
Opening Comments, Mark Goldberger, M.D. Acting Director, Office of Drug Evaluation IV	10
Antibiotic Resistance: Update Janice Soreth, M.D.	12
Developing Drugs for Resistant Pathogens David Ross, M.D.	22
Industry Presentation (PhRMA) David Shlaes, M.D. Catherine Hardalo, M.D. Liannng Yuh, Ph.D. Christy Chuang-Stein, Ph.D.	41
Industry Presentation Francis Tally, M.D., Cubist	76
IDSA Presentation Louis B. Rice, M.D., George H. Talbot, M.D. Dennis D. Wallace, Ph.D.	102
Committee Discussion	115
Open Public Hearing	161
Summary and Presentation of Issues Mark Goldberger, M.D.	208
Committee Discussion	212
Adjourn	267

P-R-O-C-E-E-D-I-N-G-S

(8:06 a.m.)

CHAIRMAN RELLER: I would like to call today's meeting of the Anti-Infective Drugs Advisory Committee to order and begin the day with introductions.

I am Barth Reller, Division of Infectious Diseases, Director of Clinical Microbiology, at University of -- Duke University. We will begin our introductions, inclusive of all of the tables, and start at my far, far right, Dr. Metlay.

DR. METLAY: Josh Metlay, University of Pennsylvania, Departments of Medicine and Epidemiology.

DR. YUH: Liang Yuh from Astra Zeneca.

DR. SHLAES: David Shlaes from Wyeth-Ayerst.

DR. TALLY: Frank Tally from Cubist Pharmaceuticals.

DR. GOLDBERGER: Mark Goldberger, Office of Drug Evaluation IV, FDA.

DR. ALBRECHT: Renata Albrecht, Division of Special Pathogens and Immunologic Drug Products, FDA.

DR. SORETH: Janice Soreth, Division of

1 Anti-Infectives at the FDA.

2 DR. LEGGETT: Jim Leggett, Oregon Health
3 Sciences University.

4 DR. SUMAYA: Ciro Sumaya, School of Rural
5 Public Health, Texas A&M University System Health
6 Science Center.

7 DR. GLODE: Mimi Glode, Pediatric
8 Infectious Disease, University of Colorado.

9 DR. O'FALLON: Judith O'Fallon, Cancer
10 Center Statistics, Mayo Clinic, Rochester, Minnesota.

11 DR. RAMIREZ: Julio Ramirez, Division of
12 Infectious Diseases, University of Louisville,
13 Kentucky.

14 DR. TURNER: Tara Turner, Executive
15 Secretary for the Committee.

16 DR. EBERT: Steve Ebert, Meriter Hospital
17 and University of Wisconsin, Madison.

18 DR. BELL: David Bell, National Center for
19 Infectious Diseases, CDC.

20 DR. PATTERSON: Jan Patterson, Adult
21 Infectious Diseases, University of Texas Health
22 Science Center, San Antonio.

23 DR. ARCHER: Gordon Archer, Adult
24 Infectious Diseases, Virginia Commonwealth University
25 in Richmond, Virginia.

1 DR. CHESNEY: Joan Chesney, Pediatric
2 Infectious Disease at the University of Tennessee
3 Health Science Center in Memphis.

4 DR. WITTES: Janet Wittes, statistician,
5 Statistics Collaborative, D.C.

6 DR. MILLER: Marissa Miller, National
7 Institute of Allergy and Infectious Diseases.

8 DR. ROTSTEIN: Coleman Rotstein, McMaster
9 University, Hamilton, Canada.

10 DR. GOLDMANN: Don Goldmann, Pediatric ID,
11 Children's Hospital, Boston, representing the
12 Bacteriology and Mycology Study Group of NIAID.

13 DR. TALBOT: George Talbot, Talbot
14 Advisors, representing IDSA.

15 DR. RICE: Lou Rice, Medicine and
16 Infectious Disease, Cleveland VA Hospital and Case
17 Western Reserve, representing IDSA.

18 CHAIRMAN RELLER: Thank you. Dr. Turner.

19 DR. TURNER: Thank you. The Food and Drug
20 Administration has prepared general matters waivers
21 for the following Special Government Employees: Julio
22 Ramirez, Steven Ebert, Jan Patterson, Celia Maxwell,
23 Ciro Sumaya, L. Barth Reller, Alan Cross, Gordon
24 Archer, James Leggett, Jr., Joan Chesney, Celia
25 Christie-Samuels, and Janet Wittes, who are attending

1 today's Anti-Infective Drugs Advisory Committee
2 meeting on the approaches to development of anti-
3 microbial agents for the treatment of resistant
4 pathogens being held by the Center for Drug Evaluation
5 and Research.

6 A copy of the waiver statements may be
7 obtained by submitting a written request to the
8 agency's Freedom of Information Office, Room 12A-30 of
9 the Parklawn Building.

10 Unlike issues before a committee in which
11 a particular product is discussed, issues of broader
12 applicability such as the topic of today's meeting
13 involve many industrial sponsors and academic
14 institutions.

15 The Committee members have been screened
16 for their financial interests as they may apply to the
17 general topic at hand. However, because general
18 topics impact on so many institutions, it is not
19 prudent to recite all potential conflicts as they
20 apply to each member.

21 FDA acknowledges that there may be
22 potential conflicts of interest, but because of the
23 general nature of the discussion before the Committee,
24 these potential conflicts are mitigated.

25 With respect to FDA's invited guests,

1 there are reported interests which we believe should
2 be made public to allow the participants to
3 objectively evaluate their comments.

4 Dr. Don Goldmann owns stock in Pfizer and
5 Merck. He is also a consulting contractor with
6 BioSynexis and receives consulting fees from a law
7 firm representing Novartis on a legal case.

8 Dr. Joshua Metlay lectures and is a
9 scientific advisor for Aventis.

10 Dr. Coleman Rotstein serves as a
11 researcher and has contracts and grants from Pfizer,
12 Merck, ICOS, Schering, Wyeth and Fujisawa. In
13 addition, Dr. Rotstein consults for Merck, Schering,
14 Pfizer and Pharmacia. He also lectures for Pharmacia,
15 Pfizer, Bayer, Merck and Fujisawa.

16 In addition, we would like to note for the
17 record that Doctors Catherine Hardalo, David Shlaes,
18 Liangg Yuh, and Chrisy Chuang-Stein from PhMRA, and
19 Dr. Francis Tally from Cubist Pharmaceuticals are
20 participating in this meeting as industry
21 representatives acting on behalf of regulated
22 industry. As such, these participants have not been
23 screened for any conflicts of interest.

24 Also, Doctors George Talbot, Dennis
25 Wallace, and Louis Rice are participating in this

1 meeting on behalf of the Infectious Disease Society of
2 America. As such, these participants have not been
3 screened for any conflicts of interest.

4 I have one announcement. If you wish to
5 enter a statement for the record, comments on this
6 meeting topic may be submitted to Docket No. 02N-0047
7 titled "Development of Antibiotics for Resistant
8 Pathogens."

9 We have prepared a handout which is
10 available at the registration table. It's a blue
11 handout. Thank you.

12 CHAIRMAN RELLER: One reminder. When you
13 speak, tap the button on the bottom of your microphone
14 that lights up the red ring.

15 If you are not at the table and already
16 have introduced yourself, please give your name and
17 position, if you come to a microphone for the comments
18 from the group later.

19 Next we shall have an update on antibiotic
20 resistance presented by Dr. Janice Soreth, Director,
21 Division of Anti-Infective Drug Products at the FDA.

22 DR. SORETH: But first Dr. Goldberger will
23 make some opening comments, I think.

24 CHAIRMAN RELLER: Ah, indeed, he will.
25 Dr. Goldberger.

1 DR. GOLDBERGER: Well, in the interest of
2 that, I will make my remarks particularly brief today.

3 Basically, we would again like to extend
4 our welcome to Advisory Committee participants,
5 invited guests, consultants, and members of the
6 audience.

7 As I said yesterday, and it's certainly
8 still true today, the goal that we have is to ensure
9 that there is adequate antimicrobial therapy to meet
10 the therapeutic challenges that we face. In this case
11 today, we will be focusing on primarily resistant
12 organisms and, I think, some of the serious infections
13 that they are often associated with.

14 Again, I want to emphasize, we view this
15 meeting as the beginning of a process. This meeting
16 will be followed by a docket that will be open, I
17 think, for at least 120 days for additional public
18 comment, and that will be followed then by at least
19 one other subsequent meeting to discuss perhaps in
20 more detail both some of the issues that have been
21 raised here, as well as the issues that have been
22 raised in the docket, and other information and
23 comments that we receive from other groups.

24 I think we certainly believe that it is
25 appropriate to be flexible in the approach to products

1 that add value, particularly in more serious diseases
2 and, certainly, oncology drug development and HIV drug
3 development certainly are good examples of that, and
4 we believe that the development of agents for
5 resistant infections clearly falls into that area.

6 We do think that, as we consider ways to
7 expedite the development of such products, we must
8 also consider what approaches might be appropriate
9 that would preserve the value of these products.
10 Fundamentally, we would like, if possible, to restrain
11 a little bit the built-in obsolescence that does seem
12 at times to be a component of many new antimicrobials
13 that are developed.

14 There are clearly a number of approaches
15 to developing drugs for resistant indications. There
16 are a number of situations that occur ranging from
17 drugs that are fairly toxic, intravenous only, that
18 are probably ideal for fairly limited situations to
19 oral and IV or oral only broad spectrum agents that
20 would be effective against resistant organisms as well
21 as many others.

22 The approaches that one might take to the
23 development of products like that differ widely. At
24 today's meeting some of the suggestions we will be
25 presenting will focus on a couple of aspects of this,

1 probably initially more on an intravenous, more toxic
2 type of drug.

3 We do not want to give the impression
4 that, by any stretch, that is the only way to proceed.
5 We do feel, however, that that may be the best way to
6 initiate the discussion, with the understanding that
7 subsequently in forums like this as well as in
8 interactions with industry, there will need to be
9 discussions of the broader range of possibilities.

10 Thank you.

11 CHAIRMAN RELLER: Thank you, Dr.
12 Goldberger. Dr. Soreth.

13 DR. SORETH: Good morning. Leo, if I
14 could have the first slide, please.

15 I wanted to give an update this morning on
16 recent developments and history within the FDA Center
17 for Drugs for development of products for antibiotic
18 resistance.

19 By way of overview, I would like to
20 briefly summarize some of the meetings that we have
21 had within the Center that come largely in two
22 flavors, both general meetings on antibiotic
23 resistance and drug development, as well as specific
24 product meetings, talk about some of the important
25 lessons learned within those meetings, and finally

1 talk briefly about what's new in 2002.

2 The reduction in morbidity and, here,
3 mortality from infectious diseases in the United
4 States in the past century is certainly one of the
5 great public health success stories. However, recent
6 trends are somewhat concerning. Next slide, please.

7 We know that bacteria wisely adapt to
8 pressures, one of them being the use of antibiotics.
9 So that the rise in resistant pathogens shown here
10 threatens to thwart or wipe out the gains that we have
11 realized in the previous century by leading to
12 untreatable infections.

13 So we've met and met again almost on a
14 yearly basis, sometimes twice yearly since 1998. When
15 we began in July of '98 a dialogue, a workshop, a word
16 I heard a lot about yesterday, the '98 July meeting
17 was a meeting between FDA and largely with industry to
18 get input on approaches to developing new products for
19 resistant pathogens and to try to talk very seriously
20 about streamlining that process.

21 In October of '98 we expanded the
22 discussion to members of this Anti-Infective Advisory
23 Committee, to academia, to other public health
24 agencies, as well as other regulatory bodies and the
25 industries whom they regulate, to define antibiotic

1 resistance, to discuss the use of information like
2 pharmacokinetics and pharmacodynamics in drug
3 development, to talk about prudent use of antibiotics
4 so that we might preserve or maintain those agents
5 that we already have on the market that we wish to be
6 able to use for many years to come.

7 Finally, in October of '99 we had our last
8 general meeting on antibiotic resistance, and this
9 dealt with a guidance, in this case a guidance on
10 catheter-related bloodstream infections which are
11 often associated with resistant pathogens, with the
12 intent to encourage development of products in this
13 arena.

14 From the product-specific meetings -- Let
15 me just go back to that slide, Leo, please. From the
16 product-specific meetings beginning with Synercid in
17 1998, Levaquin in October of '99, Zyvox in April of
18 2000, and finally AugmentinES, January a year ago, I
19 think we gained a lot of knowledge, and the
20 deliberations of the Committee were certainly
21 important in helping us to reach conclusions and come
22 to an action that led to the registration of each of
23 these products some months after the Advisory
24 Committee meeting. Next slide, please.

25 What were some of the important lessons

1 learned, both from the general meetings on resistance
2 as well as the product-specific meetings?

3 I think that we have found that regulatory
4 tools may encourage development and facilitate
5 registration. I think, particularly in the Synercid
6 deliberations, the use of surrogate markers in the
7 clearance of bacteremia were an important tool, part
8 of Subpart H David Ross will go into a little bit
9 more, that it enabled us to reach a conclusion that
10 this represented substantial evidence that would lead
11 to the registration of Synercid for treatment of
12 vancomycin-resistant enterococcus faecium infections.

13 I think that we recognize fully that
14 greater flexibility is something that we all need to
15 have when therapeutic options are limited and when
16 there are no approved drugs on the landscape.

17 Novel approaches to study design need to
18 be considered always. The traditional way that
19 antibiotics for routine infections are developed don't
20 easily apply here, don't readily and quickly enable
21 companies to amass data to support resistant
22 pathogens.

23 What are some of these novel approaches
24 that we have used in the registration of the products
25 in the previous slide? Well, I think with the

1 development of Pharmacia's linezolid or Zyvox, we had
2 the luxury in the anti-infectives of having a dose
3 response trial, again in the setting of vancomycin-
4 resistant enterococcal infections, when at the time of
5 that product's development there were no proof
6 comparators, where we learned that historical control
7 is really difficult and that we might gain an
8 important body of data by employing what I think in
9 non-anti-infective realms of drug development might be
10 used more commonly, the dose response trial. But it's
11 not a one-size-fits-all gain.

12 We recognize that, if one has a product
13 with a very narrow safety therapeutic margin,
14 something like a dose response trial probably isn't
15 feasible.

16 Furthermore, I think there are ethical
17 considerations that can be raised with dose response
18 trials, for the key in a dose response trial is to
19 pick pharmacokinetically distinct enough doses that one
20 can hope to show a difference, while at the same time
21 picking a lower dose that is not a thinly veiled
22 placebo.

23 Enrichment strategies can help, and I
24 think we have seen this strategy work, particularly in
25 the realm of otitis media and the development of

1 products for penicillin-resistant Strep. pneumoniae.

2 We've talked at committee meetings like
3 this about what some of those enrichment strategies
4 are in otitis, studying children under the age of two,
5 studying children with a history of difficult to treat
6 or many prior infections with otitis, studying
7 children with siblings, children in daycare, etcetera.

8 Those strategies have helped, but again
9 it's not one-size-fits-all for all indications,
10 because I think, on the other hand, in the realm of
11 community acquired pneumonia, enrichment strategies
12 haven't worked or they haven't worked as well, as
13 readily as they have in developing drugs for otitis.

14 Overall, we know that the total body of
15 evidence and everything that's in the package is
16 helpful, and that includes experience from susceptible
17 isolates. They are an important part of the overall
18 picture, and tell us something about how a drug
19 performs as an anti-pneumococcal agent, as an anti-
20 staph agent.

21 A strategy of what we like to think of as
22 working backwards has helped, certainly in the arena
23 of MRSA, methizone-resistant staph aureus infections,
24 where a product's development may include site
25 specific protocols, a pneumonia protocol, a skin and

1 skin structure protocol.

2 That's augmented by another umbrella or
3 catchall protocol that would work backward from
4 positive cultures to the patients represented to have
5 those infections for overall augmentation of
6 experience with a particular isolate. Next slide.

7 While regulatory tools have helped, it is
8 still a challenge to accrue organisms. We hear that
9 time and time again from colleagues in the
10 pharmaceutical industry, and great resources are spent
11 to develop a drug for resistant pathogens, great
12 resources both when the claim is an in-class claim as
13 well as out of class.

14 I think we need to think about that and,
15 as we recognize resources are limited, decide is that
16 is a direction that is wise to continue to go in.

17 As I mentioned, historical controls can be
18 used when there is no proof comparator, but they may
19 be -- they usually are problematic.

20 Finally, more data do not necessarily
21 equal better data. At the end of the day, if we have
22 1,000 patient experience, a 1500 patient experience,
23 but we really can't form a conclusion about what those
24 data mean, it's a very difficult position to be in,
25 both for drug developers, the investigators who

1 participated in the trials, the patients who were
2 exposed to the medication, and we as regulators.

3 Bottom line, very simply: We recognize
4 that new drugs are still needed, as is preservation of
5 the already marketed ones. The pipeline is not
6 bursting with new products. We need to maintain what
7 we have as well as try to encourage development of
8 new. Next slide.

9 Well, what is new in 2002 with regard to
10 resources, surveillance, education, and future
11 approaches, which I will only touch on briefly as the
12 final bullet is the subject of Dr. David Ross's talk.

13 We have received at the FDA Center for
14 Drugs resources earmarked specifically for
15 antimicrobial development and resistant issues, and we
16 intend to increase our staff who can deal with these.

17 We plan to augment our access to
18 surveillance information, in collaboration with
19 colleagues at the Centers for Disease Control as well
20 as with the private sector. The goal here is to
21 better approach anti-microbial drug development and
22 usage.

23 As far as education is concerned, we know
24 that we have to target both the health care
25 professional as well as patients, and we plan to

1 address antibiotic resistance and prudent use in
2 product labeling, and anticipate an impact on
3 promotional material.

4 We are cognizant of the fact that more
5 information in package inserts are not the sole
6 solution to getting information out about antibiotic
7 resistance and antibiotic utilization, but feel for us
8 it's an important first step.

9 In September of 2000, the Federal Register
10 had a proposal to amend regulations that would require
11 all systemic antibacterial products intended for human
12 use to contain additional labeling information about
13 the emergence of drug resistant bacteria.

14 The intent here is to encourage physicians
15 to prescribe antibiotics only when they are clinically
16 necessary, and to counsel their patients about the
17 proper use of antibiotics and taking them as directed.

18 At this point the proposed rule has
19 received comments. I believe those comments are in
20 the process of being collated, as we anticipate an
21 issuance of the final rule. Next slide.

22 A CDER effort is underway to develop
23 advertisements on the prudent use of antibiotics, and
24 we envision a variety of media to do this, both in
25 print advertisements in professional journals, patient

1 leaflets, and public service announcements, again in
2 collaboration with other agencies like CDC who have
3 already been doing this for a number of years. Next
4 slide.

5 As to future approaches to anti-infective
6 development for resistant pathogens, we recognize
7 fully that they require creativity and flexibility on
8 our part. We are cognizant of the limits of
9 resources, patients, time and money, that go into
10 developing an antimicrobial product, period,
11 especially an antimicrobial product for resistant
12 pathogens.

13 As Dr. Turner mentioned at the outset of
14 this meeting, we welcome your comments, written
15 comments, on approaches to anti-infective development
16 for resistant pathogens, and you may submit them to
17 Docket 02 -- I left out the N for Nancy -- 0047. Next
18 slide.

19 In summary, I think we have made some
20 progress in getting antimicrobial products registered
21 for patients with resistant pathogens, but clearly
22 more are needed. We also need to preserve the
23 antibiotic treasures that we do have, through
24 education, through prudent use.

25 Finally, we recognize the need to strike a

1 balance between available resources for performing
2 clinical trials and level of certainty in determining
3 effectiveness.

4 I thank you, and will turn the podium over
5 to Dr. Ross.

6 CHAIRMAN RELLER: Dr. David Ross is a
7 Medical Team Leader at the Division of Anti-Infective
8 Drug Products at FDA, and will speak to us about
9 developing drugs for resistant pathogens: problems
10 and possibilities.

11 DR. ROSS: Good morning. I am going to be
12 speaking this morning about problems and possibilities
13 in terms of developing drugs for resistant pathogens.

14 I think one thing we want to emphasize is that this
15 is what is the continuation of a wide ranging
16 discussion about how to deal with this extremely
17 serious public health problem.

18 Let me first mention my colleagues in the
19 Office of Drug Evaluation who have been working on
20 this area with me: Dr. Edward Cox from the Division
21 of Special Pathogens; Dr. Brad Leissa who is now
22 working on bioterrorism issues; Dr. Jean Mulinde; Dr.
23 Janice Soreth from the Division of Anti-Infective Drug
24 Products; Dr. Renata Albrecht from the Division of
25 special Pathogens and Immunologic Drug Products; and

1 Dr. Mark Goldberger from the Office of Drug Evaluation
2 IV. Next slide, please.

3 What I would like to do is talk briefly
4 about some trends in antimicrobial drug resistance,
5 review briefly some of the problems in developing
6 drugs for resistant pathogens -- and I know we will
7 hear more about this from our colleagues in industry
8 and from our colleagues at the IDSA -- and then talk
9 about one -- and I want to emphasize one -- possible
10 solution which is focused development.

11 It is not the intent that this serve as
12 the template for all future efforts to develop drugs
13 for resistant pathogens, but as one potential element.

14 Next slide, please.

15 I've just listed here some resistant
16 bacteria that are of public health concern. This is
17 not intended to be an all inclusive list, but it's
18 some organisms that clearly represent a problem:
19 Methicillin-resistant staph aureus; methicillin-
20 resistant coagulase-negative staph; VRE; multidrug-
21 resistant Klebsiella and Pseudomonas as well as other
22 gram negative rods; and in the community setting
23 penicillin-resistant strep pneumo and multidrug-
24 resistant nin-typhi salmonella.

25 I think it's important to remember that

1 there is interchange between these two environments.
2 So these categories are somewhat artificial and will
3 continue to blur. Next slide, please.

4 These are just some prevalence and
5 incidence estimates of various resistant organisms.
6 Just as a note of clarification, I want to mention
7 that these bloodstream estimates represent my own
8 quick calculations from a paper by Edmond that was
9 published in CID a few years ago using a figure of
10 250,000 bloodstream infections per year.

11 The point I want to make is that these
12 numbers may seem at first glance low, but the impact
13 of these infections on the public health is
14 extraordinary, because we don't have effective
15 treatment or what we regard as very good treatments
16 for a lot of these patients, and these are, obviously,
17 transmissible pathogens.

18 In the briefing package I also presented
19 some very, very, very crude estimates drawn from Dutch
20 data of the burden of disease due to resistant
21 pathogens. One thing I would like to emphasize is
22 these are almost certainly, as are these, extreme
23 underestimates, and we look forward to more definitive
24 robust analyses from our colleagues at CDC.

25 I think, even given the conservative

1 nature of these estimates, this is clearly a bad
2 problem. Even when you have a low prevalence -- for
3 example, for fluoroquinolone-resistant gonococcus --
4 this translates into a substantial public health
5 problem. Next slide.

6 It is not a static problem either. We all
7 know it's getting worse. This is a slide that people
8 have seen not only in this presentation but in a
9 number of others a variety of times. One thing I want
10 to point out that's missing from this slide, which is
11 gram-negative rods. I would like people to keep that
12 in mind as one component of the resistance problem
13 that we need to address as we move forward in our
14 discussions. Next slide.

15 Well, in response to this, the Public
16 Health Service convened a task force chaired by CDC,
17 NIH and FDA with input from other Federal agencies and
18 other stakeholders to deal with this crisis. There's
19 a number of components to this action plan.

20 There is prevention, research,
21 surveillance, and product development. Under each of
22 these elements there are a number of action items, and
23 I want to just cite two for product development.

24 One action item, 82, calls for
25 streamlining the regulatory process. I'll just

1 mention here that the action plan is -- The report and
2 the action plan are available on the CDC's website and
3 on the FDA's website, if people would like more
4 details.

5 Another action item that's quite important
6 is identifying ways to promote the development of
7 priority antimicrobial resistance products, Action
8 Item 80. This includes incentives. While we will not
9 explicitly be discussing incentives today, that's
10 clearly one component that needs to be considered in
11 any response to this problem. Next slide, please.

12 What are the regulatory tools that we have
13 at hand right now to implement the product development
14 aspect of the response to resistance? Well, briefly
15 these are -- and I'll go into these in more detail --
16 Subpart E, Subpart H, fast track, and market
17 exclusivity, and I'll talk about what each of these
18 mean in a minute.

19 I want to make the point that these are
20 intended to deal with -- These are written in a fairly
21 general way that allows us to apply them to
22 antimicrobial resistance. They are not, in and of
23 themselves, explicit economic incentives except for
24 market exclusivity. Next slide, please.

25 Subpart E -- and I'll forgo citing the CFR

1 section, although that is really one of the joys of
2 being a bureaucrat -- is intended to address life
3 threatening and severely debilitating illnesses. It
4 calls for utilizing risk-benefit analysis in the
5 decision making process.

6 It promotes early consultation between the
7 FDA and pharmaceutical sponsors as well as increased
8 communication, which can be crucial in a successful
9 development program and, finally, provides for earlier
10 approval in the drug development process than one
11 traditionally sees. Next slide.

12 Subpart H, which is also known as
13 accelerated approval, addresses serious or life
14 threatening diseases and targets agents that provide a
15 meaningful therapeutic benefit over existing therapy.

16
17 One major feature of Subpart H is the use
18 of surrogate endpoints as the basis for accelerated
19 approval, and this refers to surrogate endpoints that
20 are reasonably likely to predict clinical benefit.

21 I'd like to just take a minute to talk
22 about that, because one focus of the discussion
23 yesterday was on surrogate markers that could be
24 useful in development of drugs, and I would like
25 people to keep in mind that these markers have both

1 strengths and weaknesses.

2 They may allow us to develop drugs much,
3 much more rapidly. A classic example is the use of
4 HIV viral load in development of antiretrovirals, and
5 that's been extraordinarily successful.

6 It's important to keep in mind that
7 surrogates are just that. They are not direct
8 evidence of clinical benefit, and you can go wrong
9 sometimes or get fooled. Another classic example
10 occurred as the cardiac arrhythmias back in the late
11 Eighties.

12 It was noted that there was increased
13 mortality in post-MI patients who had an increased
14 number of ventricular premature contractions, and it
15 made sense that if you suppressed those VPCs, you
16 would reduce mortality. This led to the cardiac
17 arrhythmia suppression trial in which patients who had
18 been shown to respond to these drugs were randomized
19 either to the drug or to placebo.

20 It was argued actually that this might not
21 be an ethical trial, that this was becoming the
22 standard of care, consensus standard, and therefore,
23 it wasn't ethical to a trial where everyone knew that
24 this was the thing to do.

25 In fact, the patients who were treated

1 with anti-arrhythmics had a markedly increased
2 mortality rate as well as cardiac arrest rate due to
3 arrhythmias, and this trial showed that VPCs as a
4 surrogate marker were not predictive of benefit. Just
5 the opposite.

6 So we need to be careful about using
7 surrogate markers. They can be very helpful, but they
8 are not clinical benefit or evidence of clinical
9 benefit in and of themselves. For that reason,
10 Subpart H calls for post-marketing confirmatory
11 trials.

12 If a clinical benefit is not shown, there
13 are provisions for expedited withdrawal. In addition,
14 Subpart H also calls for prior submission of
15 promotional materials and carries the potential for
16 restricted distribution and use. Next slide, please.

17 Fast Track designation, which is part of
18 the FDA Modernization Act, combines Subparts E and H.

19 It includes a provision to accept for review a
20 portion of a marketing application prior to submission
21 of the complete package.

22 A final regulatory tool that is available
23 to us is market exclusivity. Without going into the
24 economic aspects of this in great detail, essentially
25 this is protection of a product from identical

1 products being introduced in the market, and
2 represents an incentive for companies to spend the
3 money to develop a drug and get their investment back.

4 There's a number of different forms of
5 this: Orphan Drug exclusivity which applies to agents
6 intended to treat a condition affecting 200,000
7 patients per year or less. This represents seven
8 years of stand-alone marketing exclusivity for each
9 indication for which it is approved.

10 Pediatric exclusivity: If a sponsor
11 performs studies requested by the agency, six months
12 of exclusivity can be added onto other forms of
13 exclusivity, such as patent protection.

14 Then finally, there's a form of
15 exclusivity called Waxman-Hatch that provides for
16 three to five years of marketing exclusivity. Next
17 slide.

18 Now our job at the agency is to look at
19 the data and say do we think this drug is safe and
20 effective? But we cannot ignore the fact that, as Dr.
21 Andriole said yesterday, drugs are developed by drug
22 companies.

23 What are some of the considerations that a
24 sponsor looks at when they develop drugs, and I'm
25 going to talk about this very briefly. I know that

1 again our colleagues from industry will be talking
2 about this more.

3 Some obvious things to look at are market
4 potential. How many patients have got the condition
5 that you are studying the drug for, and how long will
6 they be receiving the drug? There's a big difference
7 between giving an agent for two weeks versus giving it
8 for the rest of a patient's life, an antibiotic versus
9 a cholesterol lowering agent.

10 What's the feasibility of doing a study?
11 How long will it take? How many patients do you have
12 to screen to get there?

13 How complex is the trial? How many
14 patients do you have to accrue? How hard is it to
15 accrue them? How do you document the diagnosis? One
16 thing to remember is that, as opposed to many other
17 therapeutic areas in infectious diseases, the
18 diagnosis is generally established during the trial.
19 It's not like, for example, colon carcinoma where
20 patients may come in with the diagnosis already
21 established.

22 Then finally, what's the development time?
23 How long does it take to develop the drug, and what
24 is the regulatory review clock? Next slide, please.

25 In terms of market potential, I just

1 wanted to briefly talk about this. The point I want
2 to make -- This is just a summary of data from IMS
3 Health -- about 15 or so classes of drugs account for
4 half of all drug sales in this country.

5 Antimicrobials -- and this excludes
6 antiretrovirals -- account for about four percent of
7 all sales. So this is a small but critical portion,
8 but clearly, there are many other therapeutic areas
9 that a pharmaceutical company may choose to invest its
10 time, money and resources in.

11 Furthermore, if you blow up this four
12 percent or you expand this four percent, I should say
13 -- next slide. This is data from Linda McCaig and
14 James Hughes at CDC -- the majority of prescriptions
15 are written for upper respiratory tract infections,
16 and Dr. Thompson showed this slide yesterday. Again,
17 this is from ten years ago.

18 The situation is probably about the same
19 or more extreme today. The sort of serious infections
20 we're talking about, meningitis, endocarditis,
21 nosocomial pneumonia, represent a much smaller portion
22 of this pie. Next slide.

23 In terms of feasibility, I would like to
24 show some results from a recent trial of community-
25 acquired pneumonia. This is not necessarily typical,

1 but I think it's illustrative.

2 This trial enrolled 745 patients. 561 of
3 these completed the protocol. 191 of these had a
4 pathogen isolated. 146 of these patients had
5 pneumococcal pneumonia or what we thought was
6 pneumococcal pneumonia on the basis of sputum and
7 blood culture data.

8 In terms of who we really felt sure had
9 pneumococcal pneumonia, there were 54 patients who
10 were bacteremic, who had what we regard as definitive
11 evidence of invasive pneumococcal disease. None of
12 these patients had a highly resistant pneumococcal
13 isolate.

14 So does this mean that those patients
15 aren't out there or this isn't a problem? Of course
16 not. What it means is that clinical trials that are
17 being conducted have difficulty capturing these
18 patients, and it's easy to understand why.

19 If there is a requirement that patients
20 not be exposed to antimicrobials for a prolonged
21 period of time before entry, well, that's one major
22 risk factor for pneumococcal resistance.

23 So even large controlled trials for common
24 indications -- Dr. Powers mentioned yesterday that
25 there's about 4 million cases of CAP in this country a

1 year -- may not be sufficient to obtain the sort of
2 data we would like to get about treatment of
3 infections due to resistant pathogens. Next slide.

4 In terms of dealing with these problems,
5 I'd like to consider four broad categories of drugs,
6 and these are not the way that we look at things from
7 a regulatory perspective but just -- this is a
8 convenient way of classifying drugs as far as their
9 applicability to the problem of resistant pathogens.

10 What I'd like to do is focus on new --
11 that is, unapproved -- drugs that are targeting a
12 narrow range of indications, category 3. I want to
13 emphasize, this is not the only possible category that
14 could help address the resistance problem. We are
15 going to focus on it today, but drugs in the other
16 categories could also be quite useful. Next slide.

17 What we would like to throw out for
18 consideration is looking at category 3 drugs, those
19 that have not been -- are not on the market yet and
20 have a potential narrow range of indications as
21 candidates for focused development.

22 What do we mean by that? Development
23 specifically for serious indications due to resistant
24 pathogens. Why focused development? This is called
25 for or mentioned in the action plan, and it may allow

1 marketing of agents that would not otherwise be
2 developed, either because of toxicity concerns or
3 market considerations or other reasons.

4 The safety profile of the drug may
5 preclude a broader program, and approval of these
6 agents may rely on Subpart H, using surrogate markers
7 and confirmatory trials with restricted distribution
8 and labeling. Next slide.

9 What are some of the characteristics of a
10 candidate agent for focused development? Obviously,
11 it should have activity against the resistant
12 pathogen. There should be an absence of alternative
13 or comparable therapy for the pathogen, subject
14 pathogen and subject indication.

15 The subject pathogen and subject
16 indication should represent an important public health
17 problem, and the safety information on the drug's risk
18 profile should support an acceptable risk-benefit
19 profile, assuming that there's going to be a limited
20 population exposure. That's why we are targeting
21 specifically category 3 drugs. Next slide.

22 I think it's helpful to quote here from
23 the rule for Subpart H that was published in the
24 Federal Register, which states that "these
25 procedures" -- that is Subpart E -- "generally reflect

1 the recognition that physicians and patients are
2 generally willing to accept greater risks or side
3 effects from products that treat life-threatening and
4 severely debilitating illnesses than they would accept
5 from products that treat less serious illnesses"

6 It's a tradeoff. We are willing to accept
7 more risk if there is evidence of added benefit,
8 especially when there are no therapeutic alternatives,
9 or few therapeutic alternatives. Next slide.

10 What would a development program for --
11 our focused development program look like? Well,
12 Phase I would look similar to the traditional program,
13 with dose ranging studies, pharmacokinetics performed
14 by either traditional or sparse sampling techniques,
15 and special population studies in the elderly and
16 patients with renal and/or hepatic impairment. Next
17 slide.

18 I think the real differences arise in
19 Phases II and III. A program would call for dose
20 finding, to find an optimal dose, and proof of concept
21 that the drug can treat serious infections due to a
22 resistant pathogen, and the data would have to
23 demonstrate safety and efficacy.

24 If there was sufficient data from
25 controlled trials, then the traditional strategy of

1 adequate and well controlled trials could be followed,
2 combined with enrichment strategies, as Dr. Soreth
3 mentioned.

4 If there's insufficient data from
5 controlled trials, which is the situation that we are
6 confronting, what do we do then? Well, then we might
7 want to look at clinical data with historic controls,
8 keeping in mind the problems that historic controls
9 can give rise to.

10 Data from infections with susceptible
11 organisms may be helpful. If we don't think that
12 there's a difference in virulence between susceptible
13 and resistant pathogens, then efficacy against
14 infections caused by susceptible pathogens could be
15 supportive.

16 There's a couple of important caveats to
17 this, the major one being that the populations of
18 patients with susceptible organism infections and
19 resistant organism infections would have to be
20 comparable or at least one would need to try and
21 adjust for differences, since that could affect
22 outcome.

23 The use of surrogate endpoints could be
24 very helpful. Bacterial eradication of -- or
25 serialization of the CSF meningitis was mentioned

1 yesterday. Again, we need to make sure that this is a
2 surrogate marker that is reasonably likely to predict
3 clinical benefit.

4 One example that was mentioned yesterday
5 was the use of clarithromycin in treatment of MAI
6 where eradication of the organism from the blood did
7 not correlate with survival. In fact, it showed just
8 the opposite.

9 Then finally, as we will hear about,
10 pharmacokinetics and pharmacodynamic data may be very
11 helpful in supporting the clinical data. Next slide.

12 What sort of data requirements would be
13 needed in a focused development program? Well, I
14 think one crucial point is the quality of the data is
15 more important than the quantity in this situation.

16 It may be that relatively small databases
17 of 300 to 500 patients could sufficient as opposed to
18 the typical NDA database where one sees upwards of
19 2,000 patients.

20 For conditions that are known to have a
21 high mortality -- for example, VRE or MRSA,
22 endocarditis -- a small number of successes could
23 suffice if one sees an acceptable cure rate.

24 It's important to remember the tradeoff
25 with a small database. Limited data may mean limited

1 availability, because again we are targeting and
2 focusing development on a specific indication. Next
3 slide.

4 Let me just contrast traditional and
5 focused anti-infective development in terms of how
6 I've outlined it. Traditional development looks at
7 many indications. Focused development would
8 concentrate on one or perhaps a few indications.

9 There would be a large Phase III database
10 in traditional development and a small Phase II/III
11 database in focused development. In traditional
12 development controlled trials are pivotal to efficacy
13 demonstration. Other data is supportive but not
14 central.

15 In focused development, safety and
16 efficacy would be examined using clinical data,
17 surrogate markers, data from infections from
18 susceptible pathogens, historical controls and PK/PD.

19 In traditional development a toxicity
20 profile may preclude further development, because if
21 one is targeting a broad set of indications, toxicity
22 may mean that the risk-benefit balance is not there.
23 In focused development the toxicity would be weighed
24 versus the benefit and would not necessarily preclude
25 development.

1 Finally, as I've mentioned, one is looking
2 at broad availability for a wide range of indications
3 in traditional development, and focused development
4 would look at limited availability for a narrow set of
5 indications. Next slide.

6 Some of the questions that such a program
7 would raise include the following: At what point
8 should a drug enter focused development? At what
9 point do we know enough to say this drug is a
10 candidate?

11 If there are potential toxicities, what
12 populations should be studied? If one is trying to
13 look at, for example, susceptible pathogen data and
14 there's alternative therapies, it may not be ethical
15 to expose patients to a toxic agent when there are
16 less toxic agents available.

17 Finally, is the incentive of focused
18 development with smaller databases and potentially
19 lower costs and shorter development times worth a
20 limited market? Next slide.

21 So in summary, focused development may
22 increase market incentives by decreasing the costs and
23 increasing return on investment; increase the
24 feasibility of clinical trials; decrease the
25 complexity of drug development; and decrease clinical

1 development time.

2 I think that it's important to emphasize
3 that this is just the beginning of the discussion
4 about these sort of strategies, and there are many
5 other potential solutions. Next slide.

6 Just by way of illustration in terms of
7 what we are looking for, this is -- People probably
8 know this, but this is "C.C," the world's first cloned
9 cat. I think I'd just like to use this briefly as an
10 illustration of what we are looking for.

11 It took 190 attempts to clone this cat.
12 So we are looking for something that we recognize is
13 going to require a lot of work, is going to make us
14 feel good, but also represents real science, and
15 hopefully, it's not just a ball of fluff.

16 So let me stop there, and I thank you for
17 your attention.

18 CHAIRMAN RELLER: Than you, Dr. Ross. We
19 will have the industry presentation now by Dr. David
20 Shlaes, and then we will take some questions if time
21 remains before our break at 9:30 or thereabouts. Dr.
22 Shlaes.

23 DR. SHLAES: Thank you. I am glad to be
24 able to be here today. I'm David Shlaes. I run the
25 antimicrobial discovery group in the therapeutic area

1 for infectious disease at Wyeth -Ayerst, and I'm here
2 today to represent PhRMA.

3 I did spend about 16 years in academic
4 medicine where I had a research interest in
5 antimicrobial resistance. So this is a topic near and
6 dear to me and, of course, I took care of patients
7 during those years.

8 So today I'm here represent PhRMA -- and
9 is there a pointer? Thank you -- and PhRMA's
10 Antimicrobial Working Group. Next slide, please.

11 The Antimicrobial Working Group of PhRMA
12 offers a forum for exchange of scientific information
13 among PhRMA companies with R&D commitment to anti-
14 infective drug products.

15 It provides industry's scientific
16 perspective in response to proposed rules, draft
17 guidances, and relevant issues affecting anti-
18 infective drug products. Next slide.

19 This is just a list of the companies who
20 have been participating in the Antimicrobial Working
21 Group, in at least this recent past. All of these
22 companies contributed to the presentation that I am
23 making right now. Next slide.

24 So PhRMA's Working Group applauds the
25 efforts of the Interagency Task Force. I think the

1 Interagency Task Force could be a model for a way
2 forward to improve communications not only within the
3 different agencies within HHS, but between Departments
4 in the Federal government and between these agencies
5 and industry and academia. So I think this has been
6 at least a positive step forward.

7 Obviously, there's room for improvement
8 here, but I think this is a very positive step
9 forward. As Dr. Ross and Dr. Soreth just pointed out,
10 the action items from the Public Health Action Plan
11 included efforts to stimulate the development of
12 priority products to treat antimicrobial resistance, a
13 streamlining of the regulatory process, and to
14 identify ways, financial and other incentives, to
15 promote the development of new antimicrobial agents,
16 and we applaud these efforts. Next.

17 Now one of the things that I don't know
18 how much you are aware of, but it is extraordinarily
19 difficult to find or to discover new antibiotics that
20 can actually be used successfully in people.

21 There have been a lot of companies working
22 on this for a very long time, and this is
23 extraordinarily difficult. The resources required to
24 come up with an NCE that will successfully make it to
25 the marketplace are large.

1 Now when you talk about the problem of
2 resistance, we are talking about generally low
3 incidence pathogens. Obviously, if we want to
4 anticipate the emergence of resistance, then this is
5 going to be a special problem in that regard,
6 especially if, for example, you start out with
7 something like the glycopeptide intermediate strains
8 of *Staph aureus* now, which are very low incidence, and
9 you want to be sure that -- or try and be sure that
10 you have a compound that is active against those
11 strains in the clinic. You know, how will you do that
12 in any kind of trial setting?

13 The other issue is that these strains are
14 not generally limited to a single infectious disease
15 indication. So you may get one case in a skin
16 infection, one case in a pneumonia. They are in a
17 variety of infections.

18 As you pointed out -- As the agency has
19 pointed out, the development timelines can be long.
20 they are uncertain, and this is in spite of a current
21 and projected public health need. Next slide.

22 I think issues for the future, no matter
23 how we look at this, I think fewer companies are going
24 to be developing novel antibacterial agents. The
25 reason for this is market concerns and the difficulty

1 in actually discovering new agents that we have all
2 experienced over the last decade or so.

3 This means that fewer new antibiotics will
4 be developed, especially parenteral antibiotics. So
5 the very products that you would like, I would view as
6 being less likely to be developed, because -- partly
7 because the patients that are available for treatment
8 with such agents tend to be limited.

9 Other issues: The development costs
10 continue to rise as the size of databases required for
11 efficacy and safety are increased. So this is another
12 issue. Along with this, therefore, because of
13 continued reliance on older classes of antibiotics,
14 resistance is going to continue. I think the
15 incidence will be low to be well studied in
16 traditional indications, but clearly VRE is going to
17 continue.

18 I think multiply resistant Gram negative
19 bacilli, as Dr. Ross mentioned, is the threat over the
20 horizon, and there is very little in the pipeline for
21 these organisms. I can only think of one compound in
22 the pipeline that would address these organisms.

23 Kind of bottom line, novel breakthroughs
24 are going to be few, unless we can identify adequate
25 incentives for these high risk and limited gain

1 indications. Next slide.

2 Now I'm not going to spend too much time
3 on this, because this has already been covered. But
4 one of the points I wanted to make is that clinical
5 trials are not "real life" We've discussed this a
6 little bit yesterday, but the fact is that the entry
7 criteria that we use for clinical trials are actually
8 very artificial compared to the patients that one sees
9 on the wards and in everyday setting.

10 This leads to situations where, in spite
11 of the fact that resistant organisms may be not so
12 uncommon in clinical practice, they are very uncommon
13 when you try to enroll patients into clinical trials.

14 So one of the things that I think we all
15 need to think about as a group is how can we make
16 clinical trials more "real world"? Is there a way
17 that we can -- Instead of thinking about the
18 traditional clinical trial design, is there a way that
19 we can design approaches to this that would more
20 adequately reflect real life, and we have a couple of
21 suggestions that we will talk about. Next slide.

22 Now one of the issues that I think the
23 agency is touching on is the fact that right now
24 there's not really much of a balance. There are fewer
25 companies in R&D for antibiotics. There's an emphasis

1 within the industry on blockbuster drugs.

2 There are limited agents now for novel
3 targets, novel bacterial targets with known safety
4 profiles, and one of the issues that's come up lately
5 is that the patent protection that you might have
6 might be taken away from you in the case of a public
7 health emergency.

8 Other things that have been discussed:
9 More restrictive labeling; prudent use of novel
10 agents, which is something that we all, I think, would
11 support, prudent use of novel agents; and more safety
12 requirements. Obviously, nobody wants to widely
13 market a drug that's not safe.

14 These all kind of make it more difficult.
15 It makes the risks higher and actually the return on
16 investment lower. At the same time, we have growing
17 resistance problems, and I will emphasize the Gram
18 negative resistance here. Fungal resistance is
19 another consideration, and the growing cost of studies
20 as safety and efficacy requirements increase lead to a
21 lack of balance in our approach to this problem. Next
22 slide.

23 So what is needed? I think an early
24 definition of regulatory guidance which include
25 reasonable barriers to entry for new compounds is

1 something that we have to aim for.

2 We need to define mutually acceptable
3 registration strategies such that efficient and cost
4 effective development programs for very small but
5 significant public health needs can be identified, and
6 we need to facilitate registration of safe and
7 effective antibacterial agents.

8 Another thing we need to do is we need to
9 identify incentives to develop antimicrobial agents to
10 treat niche indications, and this is something that,
11 obviously, you just talked about, but I'd like to
12 expand that a little bit.

13 Clearly, exploring supportive data in
14 addition to clinical trials, the issue of patent
15 protection and exclusivity -- I think one of the
16 points I wanted to make was that the market
17 exclusivity for relatively small products has not been
18 enough of an incentive for industry in the past. I
19 don't think it will be enough of an incentive in the
20 present.

21 The other issue is that, when you correct
22 for inflation, those later years are heavily
23 discounted. So that it's just not going to be enough
24 of an incentive, I think, to get us where we would
25 like to go. So I think other incentives need to be

1 considered. Next slide.

2 So we need to strike a better balance. We
3 need to actually reduce the cost of development and
4 maintaining licensure. We need to protect
5 intellectual property. We need to maintain a level
6 playing field, and we need to reduce barriers to entry
7 into this arena.

8 At the same time, I think the prudent use
9 of novel agents can be supported and, in fact, the
10 PhRMA companies have been supporting prudent use
11 campaigns and antibiotic resistance awareness
12 campaigns since the early 1990s.

13 We also need to encourage better
14 postmarketing surveillance for safety, and I would say
15 for efficacy as well. I think the current
16 requirements for postmarketing surveillance for
17 resistance is to be commended.

18 These kinds of approaches may lead to an
19 acceptable risk for reasonable overall return on the
20 part of the companies, and may ultimately lead to more
21 effective and timely responses to emerging public
22 health needs, such as the fact that you might have a
23 pipeline of the antibiotics that we can turn to, which
24 is what I think we desperately need. Next slide.

25 So we've put together a few proposals for

1 consideration, some of which you have also mentioned.

2 Clearly, acceptance of PK/PD data as evidence of
3 efficacy is going to be a linchpin of our ability to
4 bring these products forward.

5 As we talked about yesterday, these
6 studies are increasingly utilized in academia and
7 industry, but have not yet been accepted as evidence
8 for approval. Also, we in infectious disease in
9 antibacterial infections have a number of well
10 understood animal models of infection that can be a
11 useful source of evidence for approval.

12 Obviously, surrogate endpoints such as
13 time to clinical response, rate of progression,
14 surrogate endpoints as we talked about yesterday and
15 this morning such as resolution of bacteremia all are
16 approaches that might allow us to move things forward
17 more quickly and more reasonably. Next slide.

18 I think pooling of pathogen experience
19 across related body sites is another approach that
20 actually has been used and can continue to be used, so
21 that you have indications by pathogen across multiple
22 indications for these rare pathogens.

23 Another approach which, I think, is a more
24 real world approach is using observational cohort
25 studies along the lines of the kinds of studies that

1 Victor Yu has carried out. This would be something
2 that would be used, I would think, for a marketed
3 product, but if we can think of a way to do this for
4 something that's not marketed, I'd like to hear it.

5 You could do something like this for a
6 marketed product as evidence of efficacy against a
7 resistant pathogen. So in this case you would collect
8 well documented cases of infection with a resistant
9 pathogen. You would look at outcomes for study drug
10 versus standard of care, and this can be highly
11 representative of real world practice. Next slide.

12 So one of the things that we were asked to
13 actually talk about are kind of more general
14 incentives that could be provided from a regulatory
15 perspective, that would not require legislation. So
16 we have put together a list.

17 Clearly, reducing the cost of development
18 is high on the list. A smaller N for initial
19 registration, fewer assessments per patient, and again
20 acceptance of other supporting data outside of the
21 clinical -- of the specific indication clinical trial
22 setting would be very helpful.

23 Reducing time from discovery to market:
24 Obviously, a smaller N, would be helpful in this
25 regard. Accelerated approval, obviously, would be

1 helpful.

2 Ways to increase the value proposition for
3 industry would be very helpful, such as enabling
4 pharmacoeconomic claims. I think Dr. Ramirez
5 mentioned this yesterday. So that might be helpful
6 not only to industry but to clinicians who use drugs.

7 Quality of life claims, I think, would be helpful for
8 everybody. Compliance claims might be helpful.

9 So there may be ways to increase the value
10 proposition for everybody and provide useful
11 information in label for physicians and patients.

12 Next slide.

13 So another thing that I think would be
14 helpful would be to get together a consensus
15 conference to enumerate a list of resistant pathogens
16 for priority attention over the next ten years. I
17 think we all know about the Gram positive pathogens,
18 but what are the other pathogens that are going to be
19 coming along in the next ten years, and where do we
20 need to focus our efforts?

21 We could consider an approach where a
22 multi-indication registration might be available,
23 based on one study per indication, rather than the
24 current norm of requiring two studies per indication
25 where one indication would support approval for the

1 other.

2 We could consider a potential role for
3 placebo controlled trials, as we discussed yesterday,
4 in non-life threatening disease with rapid exit for
5 patients who fail to respond early. This should help
6 reduce the sample size for some of the infections that
7 we look at. These all might be kind of regulatory
8 based incentives that we could examine. Next slide.

9 Again accelerated approval paradigm for
10 resistant pathogens that Dr. Ross mentioned already.
11 I think the issue here is that I'm not sure that we
12 would be able to develop compounds just for resistant
13 pathogens, because the usage would not be enough to
14 justify the investment. So I think it's unlikely that
15 you would see that.

16 I think the other issue, by the way, of
17 that strategy is the absence of beside diagnosis. I
18 think that, in fact, the technology just isn't there
19 to allow for that to occur within the next decade or
20 so. So I think that is going to be a limiting factor
21 in providing these very focused therapies.

22 So I think for the next period of time the
23 most narrow spectrum drugs that are reasonable are
24 probably those that would be directed against the Gram
25 positive pathogens, but clearly, we need more than

1 that, because as I said, I think the Gram negative
2 pathogens are about to bite us. Next slide.

3 So we would ask that the July 1998 draft
4 antimicrobial guidance be finalized using a workshop
5 approach, including clinical investigators, IDSA and
6 other stakeholders.

7 I think a resistance workshop among
8 stakeholders, including FDA, PhRMA, IDSA, other
9 industry, and Europe, including European PhRMA, would
10 be very helpful; because, obviously, these are global
11 issues. It's not just a United States issue, and
12 there may be others that one would want to include
13 here.

14 The goal of this would be to develop a
15 mutually acceptable resistance policy, and an U.S./EU
16 resistance guidance document would be very helpful for
17 all of us, I think. Next slide.

18 So in summary, PhRMA recognizes the
19 importance of the discovery and development of new
20 drugs for resistant pathogens. We welcome dialogue on
21 approaches to stimulate and foster development and
22 registration of such products, and we will organize
23 and/or participate in workshops with other
24 stakeholders to foster progress in this area.

25 Thank you very much.

1 CHAIRMAN RELLER: Questions for Doctors,
2 Shlaes, Ross and Soreth? Dr. Chesney?

3 DR. CHESNEY: Three comments, the first
4 one for Dr. Soreth and Dr. Ross. The community
5 acquired non-health related MRSA are becoming a major
6 problem, and I think it might be worth adding a
7 separate category to your slide of resistant
8 organisms, because they are very different in terms of
9 susceptibilities, and we are seeing a lot of that now.

10 The second thing: In terms of groups to
11 relate to, most of us are members of the IDSA, but I
12 would also encourage that, as this issue goes forward
13 and you get workshops together, as Janice says, that
14 you include pediatric groups.

15 I know you've thought of this, but I think
16 the resistant pneumococci kind of got ahead of
17 everybody, because the pediatricians were not maybe
18 having enough input.

19 The third thing: Dr. Shlaes, I wondered
20 if you could explain on slide 10 your issue of
21 reducing the cost of maintaining your license. That's
22 something those of us not in the area maybe don't
23 quite understand. What kind of costs are we talking
24 about?

25 DR. HARDALO: Actually, I can probably

1 answer that for you. There's a certain amount of
2 surveillance work that has to do with not only
3 reporting safety events but also doing follow-up
4 surveillance requirements to look for the emergence of
5 resistance.

6 One of the things that had been mentioned
7 in the documents is the need for ongoing surveillance,
8 both of antibiotic use and outcomes as well as
9 laboratory based surveillance.

10 Now as David mentioned, many of the
11 companies already do this for their products in an
12 effort to support more prudent use. However, this is
13 somewhat spotty, and if each of the companies is
14 required to do this in order to provide data every
15 five years or however frequently, it's an incredible
16 cost that goes into maintaining one's license and, if
17 this is required, not only in the U.S. but in Europe,
18 we would at least want to know what surveillance,
19 where, how many isolates, what is it representative
20 of, and make it much more reasonable and useful to
21 support prudent use.

22 DR. CHESNEY: This may not be fair, but
23 can you give us numbers? I mean, I understand it
24 would be very expensive. Are we talking millions of
25 dollars?

1 DR. SHLAES: Yes, definitely millions of
2 dollars.

3 CHAIRMAN RELLER: Dr. Patterson.

4 DR. PATTERSON: I had a question for Dr.
5 Ross. You mentioned the limited availability. I
6 wondered how that would be implemented.

7 DR. ROSS: I think there's a number of
8 mechanisms that one can look at. There can be, for
9 example, restriction to inpatient facilities. One can
10 have, for example, in the case of a drug such as
11 thalidomide where there is a clear risk-benefit
12 equation that one wants to keep in mind restricting it
13 to its use in terms of women who have childbearing
14 potential, those sorts.

15 So there's restrictions in terms of who
16 can prescribe it, who can get it, and there's a number
17 of mechanisms for doing that. I don't know if Dr.
18 Goldberger wants to add anything.

19 DR. GOLDBERGER: I think that there is a
20 very broad range. One extreme probably represents the
21 type of program that's used for thalidomide, which is
22 very intensive, requiring registration of pharmacies,
23 practitioners, etcetera.

24 The other extreme is simply statements in
25 product labeling, just indicating when the drug should

1 be used, the situation, you know, and reminding people
2 perhaps of its limitations, not necessarily using it
3 broadly for more minor infections, assuming this is
4 something for more serious disease, with the idea that
5 those statements would then be part of promotional
6 material.

7 Those represent, I think, the extremes
8 that exist in terms of thinking about restricted
9 distribution. Actually, we were talking right before
10 the meeting started, and you know, there is a concern,
11 not surprisingly, from the industry perspective that,
12 if something too strict is a component of this, that
13 the attraction for developing drugs this way will be
14 reduced.

15 On the other hand, I think there is the
16 concern that, if you do develop a product that really
17 offers something, if it is used very widely, then what
18 will be the life span of the usefulness of the
19 product?

20 I think one of the major issues in terms
21 of thinking about development for resistant
22 indication, whether it's an IV only product, IV/oral,
23 oral only, etcetera, is getting to this issue to
24 strike a balance, on one hand, to provide an adequate
25 economic incentive for the development of the product,

1 but also some means of ensuring that the product will
2 actually do what it's supposed to do for a while.

3 I think that this is one of the most
4 difficult issue, in fact, in thinking about this
5 problem. We ourselves at this point don't have a
6 particular strategy with regard to any type of
7 restricted availability that we would put forward.
8 Rather, I think it's appropriate to give the range.

9 We do feel it's appropriate at least to
10 bring forward the concept, so discussions about the
11 pluses and minuses of this can be included in the
12 broader discussions about this whole issue.

13 CHAIRMAN RELLER: Dr. Patterson?

14 DR. PATTERSON: The other, I think, is
15 just a comment, that I agree with Dr. Shales that I
16 think the multi-drug resistant Gram negatives are
17 really the biggest specter on the horizon right now.
18 I think *Klebsiella* and *Pseudomonas* were the two that
19 were listed, but you know, we are seeing *Acinetobacter*
20 that are resistant to everything, and *Enterobacter* and
21 *Citrobacter*.

22 So I think, if we are going to consider,
23 you know, by specific pathogen, then we ought to
24 include those as well.

25 DR. ROSS: I absolutely agree. We had a

1 physician call up recently asking for use of a drug
2 for treatment of *Acinetobacter* osteomyelitis with a
3 highly resistant isolate, and I think the list was
4 certainly not intended to be all inclusive. It's just
5 an example of some, but certainly, there's others that
6 we could add.

7 CHAIRMAN RELLER: Dr. Ebert, and then Dr.
8 O'Fallon.

9 DR. EBERT: Just to expand on that
10 briefly, one of the issues that was, I think, alluded
11 to but not really addressed was the fact that we're
12 focusing primarily on treatment of resistant
13 pathogens, but another strategy that I think should be
14 explored is to encourage the development of either
15 drugs or drug regimens or strategies that would
16 minimize the risk of developing resistance.

17 So that this may be a way where these
18 products can have a wider indication or wider use than
19 just in the treatment of resistance, but if companies
20 can devise strategies where their products either have
21 an advantage by having less development of resistance
22 or perhaps even in partnering with other compounds in
23 different strategies, that may also be an advantage.

24 DR. O'FALLON: I have a question for Dr.
25 Ross. In your slide number 5, you give the prevalence

1 and incidence estimates of the various nonsusceptibles
2 or resistance. Are those cases or are those people?
3 I mean, are those incidents or are those people?

4 What I'm thinking about is someone might
5 have three or four different, you know, cultures
6 taken, and I was wondering, are these people or are
7 these specimens, if you will?

8 DR. ROSS: Well, first off, I am
9 definitely -- I sort of hesitated before even putting
10 this slide up, because people refer to being
11 statistically challenged. I'm in some ways
12 epidemiologically challenged. So I think the best
13 answer to that would be that these are cases.

14 It may represent more than one infection
15 per person. I think this is one reason that we are --
16 I think more definitive numbers will have to come from
17 the people who do this for a living, and that would be
18 Dr. Bell's domain.

19 CHAIRMAN RELLER: Dr. Goldmann?

20 DR. GOLDMANN: I'd like to engage in a
21 little dialogue with Dr. Shlaes over his proposal that
22 observational cohort studies might be a mechanism for
23 real life clinical trials. Could you elaborate on
24 what you had in mind there?

25 DR. SHLAES: Actually, I had in mind -- I

1 should say we had in mind the models of the kind of
2 Victor Yu sorts of studies. There have been several
3 *Enterobacter* bacteremia, *Klebsiella* bacteremia where
4 you kind of take all comers in a prospective way. You
5 don't -- The therapy is not encouraged or discouraged.
6 You just watch, and this allows you to examine in a
7 cohort fashion the response to various regimes.

8 DR. GOLDMANN: So the idea there would be
9 to take a prospective agent and to introduce it into
10 an ICU and allow people just to use it, but they would
11 still have to have IRB, informed consent, very
12 detailed data, documentation for safety and efficacy?

13 I'm just a little unclear as to how you do this with
14 a novel agent.

15 DR. SHLAES: Right. This would have to be
16 a marketed agent. This would be a marketed agent
17 where -- unless somebody can think of another way to
18 do this, but this would a marketed agent where you
19 want to get an indication for activity against, you
20 know, some sort of new indication which is rare or
21 otherwise difficult to study, such as resistance.

22 DR. O'FALLON: So this would allow you to
23 look for what the size both of the market and of the
24 research pool, if you will, is here. That's why I was
25 trying to find out if we had to divide it by three or

1 four, you know, it made a difference.

2 Okay. What you were just saying about
3 these observational studies, I would like to suggest
4 that you think in terms of using the Phase II design
5 strategy with -- I think that would work pretty well
6 in a rare disease.

7 I am very concerned about the idea that
8 surrogate endpoints can be -- give us very dependable
9 information about clinical events. So I think that
10 any indication really ought to have some decent
11 clinical data about the effectiveness in human beings
12 using truly clinical endpoints. But I understand the
13 problem of the small samples.

14 So I would -- It occurred to me, just off
15 the top of my head, that there would be a couple of
16 ways that a Phase II design could be done. Since we
17 don't have the bedside diagnosis in -- say you are
18 preparing a new drug; I'm thinking of the category 3
19 now.

20 You're working on a new drug. As you
21 identify in the course of the drug the ones with the
22 resistant pathogens, you could perform a subset
23 analysis of those people using a well controlled --
24 no, well conducted Phase II design where you would set
25 up what a success rate would be that you would

1 consider important for marketing purposes. I mean,
2 somebody has to do this. What is an effective drug,
3 say 50 percent or something like that. That's off the
4 top of the head.

5 You could then do -- conduct a Phase II
6 trial using the ones that you find in your study that
7 are showing up. If they are coming up this often, you
8 should be able to find them. It might take a while,
9 but you could at least come in with evidence of
10 clinical effectiveness. They either did or didn't
11 pass the bar for clinical effectiveness using the
12 normal endpoints for the particular disease.

13 Now that's one thing. Another thing would
14 be, again using the marketed drugs, what you are
15 talking about, again using a Phase II design, which is
16 usually set up using -- The parameters for it are
17 chosen. You know, success rate and things like that
18 are chosen based on the historical knowledge, but then
19 again you would be doing your study in your IC unit or
20 whatever of these people, but you look for a proper
21 clinical success rate to find out whether it's -- You
22 could come in with evidence that it's actually
23 clinically effective, not just a surrogate endpoint
24 that it like clears out the -- it sterilizes the
25 system.

1 This sterilizing the system -- I was
2 listening to it yesterday. It is clearly a necessary
3 condition, but it isn't a sufficient condition for
4 clinical success. Obviously, if you can clear 98
5 percent of the patients, but only 50 percent of them
6 actually respond clinically, there's more that's
7 needed.

8 The problem here is that a surrogate
9 endpoint may be fine for one type of drug, but it
10 won't be fine for another type of drug that works a
11 different way. So you are going to have to have
12 special surrogate endpoints for each of the different
13 kinds of drugs in order to make them very predictive
14 of clinical outcomes.

15 CHAIRMAN RELLER: Dr. Ross. Then Dr.
16 Shlaes and Bell.

17 DR. ROSS: Just a couple of quick points.
18 In terms of the issue of an observational study, this
19 is a question that we're examining. There were a
20 couple of papers about, I think, two years ago in the
21 New England Journal, one from Ralph Horowitz's group
22 at Yale and the other by Harts and Benson, arguing
23 that observational studies, cohort studies, may
24 actually be better in some respects than randomized
25 controlled trials.

1 As people who have been following this
2 literature know better than I do, there's been sort of
3 a fierce debate about whether that's really true, but
4 certainly it's a question we are examining.

5 I wanted to also just touch briefly on the
6 point that Dr. O'Fallon made. I think, in terms of a
7 surrogate marker for accelerated approval, one point
8 that is important to keep in mind is that the
9 surrogate marker has to be reasonably likely to
10 predict clinical benefit.

11 It doesn't have to be, for the purposes of
12 accelerated approval absolutely predictive, and that's
13 the reason that we want a confirmatory trial. If you
14 look at sort of classic surrogates, like blood
15 pressure is a predictor of the risk of stroke or heart
16 attack, those are not perfect surrogates either.

17 So we don't demand that the surrogate be
18 perfect. We do demand certain things of it, though.

19 DR. SHLAES: Actually, I just wanted to
20 get back to Dr. Ebert's comments. I think most
21 companies actually in their discovery process try and
22 identify targets that would delay or preclude
23 resistance. Examples of that are pathways.

24 So I think everybody has this in mind, but
25 it is so extraordinarily difficult to actually find

1 something that you can develop that it's taking a long
2 time, and you see that the pipeline is relatively
3 empty. But I think everybody is working toward that
4 end.

5 CHAIRMAN RELLER: Dr. Bell.

6 DR. BELL: I would like to make a side
7 comment about numbers of cases, since this has come
8 up. We realize at CDC that we need to do a better job
9 in our surveillance projects of monitoring or at least
10 estimating the actual numbers of cases as opposed to
11 the way we have traditionally reported surveillance
12 data, which is the percent of bugs resistant to
13 certain drugs.

14 We are in internal discussions about how
15 to do this. It's a bit like turning the Queen Mary,
16 you know. I should say some surveillance projects are
17 population based, and won't be too hard. But others
18 are sentinel systems, and coming up with numbers of
19 cases, it's going to involve some work and estimates.

20 But we know for a number of reasons that we very much
21 need to do this, and we are working on it. At least,
22 we are going to start with certain target pathogens of
23 which *Staph aureus* is one, for example, that's been
24 mentioned frequently.

25 CHAIRMAN RELLER: Dr. Sumayo has a query,

1 but before coming to him, with the speakers we have
2 just heard from, I wondered with this concept Dr.
3 Shlaes emphasized in his presentation of the
4 possibility of cohort studies of existing data or data
5 being collected prospectively and the interagency task
6 force, is there any way, Dr. Bell, to capture NIS
7 eyecare results, therapeutic results?

8 The CDC has been involved in many cohort
9 studies. Are there -- I mean, you've got the
10 responsibility for surveillance of the largest number
11 of resistant bloodstream infections, etcetera, in the
12 nation. Are there outcome data? Could there be
13 outcome data captured? Could there be response to
14 antimicrobial therapy captured that would satisfy or
15 provide ancillary data along the lines that Dr. Shlaes
16 suggested at a cost that we could all live with?

17 DR. BELL: Well, that's the big caveat.
18 You know, we also know that we need more information
19 on outcome, and actually the Division of Healthcare
20 Quality Promotion, which used to be called Hospital
21 Infections Program, as you may know, has a group
22 that's particularly interested in this.

23 It's a complicated subject, and it's going
24 to take resources to do it well, but it's certainly
25 something that is being discussed.

1 CHAIRMAN RELLER: You're counting the
2 problem. I'm wondering if part of the solution may be
3 in the same or could be in the same database.

4 DR. BELL: Probably not. I mean, that's a
5 whole -- You know, outcome is quite an involved -- In
6 order to interpret the data properly, you need to get
7 a lot of other information. We need to do it, but
8 it's not going to be inexpensive.

9 CHAIRMAN RELLER: Dr. Goldberger, and then
10 Dr. Goldmann. Then we need to come back to Dr. Sumayo
11 and Dr. Metlay.

12 DR. GOLDBERGER: Yes. I was just going to
13 say, we certainly have been thinking about this issue
14 as well, with one recent approval. We, in fact,
15 talked to a company about what kind of data would be
16 available postmarketing. Realistically, what we are
17 interested in would be finding out how a drug is
18 actually being used in the hospital setting, for
19 instance, after it's approved. Who is getting it?
20 What happens to them? What was their diagnosis, maybe
21 even their concomitant medications, etcetera?

22 That type of information could be
23 extraordinarily useful. There is a question about how
24 available it really is at the patient level. In other
25 words, you can sort of get, I guess, aggregate data,

1 but there would be some interest from our perspective
2 in actually getting it to the patient so you could
3 really see what was going on with individual patients.

4 I would have also thought from an industry
5 perspective that there would be an opportunity here
6 for industry -- for companies to cooperate in terms of
7 funding something, since this type of study could, of
8 course, be applicable to many products, not simply one
9 given product; but if a study is set up across a range
10 of hospitals, many patients on many different products
11 will be studied, which would also make the cost
12 potentially more reasonable.

13 CHAIRMAN RELER: Dr. Goldmann.

14 DR. GOLDMANN: I just wanted to get back
15 to the observational cohort study issue and, second,
16 Dr. Bell's comment about the lack of really good
17 outcomes data in the databases that the CDC and other
18 surveillance networks have developed.

19 Really, the data not only aren't there,
20 but when there are some data, they are really not
21 adequate for this purpose. The issue about getting
22 high quality patient level data for these kinds of
23 studies is really important.

24 The epidemiologic methods for examining
25 large cohort dataset techniques such as propensity

1 scores and so forth really have become more
2 sophisticated, and I would urge FDA and other
3 interested agencies to put together a small working
4 group to really look at this issue, understand what
5 the resources might be, and to proceed accordingly.

6 I think that what you are really asking
7 for is a group of hospitals or intensive care units to
8 work together as a laboratory for this purpose and to
9 collect very high quality patient level data in a
10 perspective manner.

11 You have to remember that the diseases, by
12 definition, we're talking about are rare, and if they
13 are rare, you can't just overcome the problem by
14 looking at a large cohort. You still have to allow
15 for the biases of confounding that are going to be in
16 your dataset.

17 So it would have to be, I think, a multi-
18 institutional laboratory to really do these studies
19 right. Again, I would urge a working group to look
20 specifically at the problems potential in this
21 approach.

22 CHAIRMAN RELLER: Given the incredible
23 cost for infrastructure, a question that comes at
24 least to my mind is: Is it more expensive to set it
25 up from scratch or would it be wise perhaps on a pilot

1 initially with a smaller subset of NIS hospitals to
2 spend the money to improve the system or expand the
3 system as opposed to setting it up from the very
4 outset?

5 I mean, there's already been a huge
6 investment, and there's a demonstrated track record of
7 what can be captured and what is inadequately -- I
8 mean, is not designed to capture. So can you improve
9 the existing as opposed to starting something new,
10 given the emphasis in the task force of better
11 interagency cooperation, collaboration and helping
12 each other get their respective jobs, mandates,
13 accomplished?

14 Let's see. We have Dr. Sumaya, and then
15 Dr. Metlay before our break.

16 DR. SUMAYA: I had a question of
17 clarification from the presentation by Dr. Shlaes, and
18 that was slide 6 where he mentioned anticipated
19 issues for the future: Fewer companies developing
20 novel antibacterial drugs and related antibiotics
21 being developed, especially parenteral.

22 Could one -- Is the assumption that fewer
23 companies -- Could that be compensated by other
24 companies or increasing novel antibacterial drug
25 development to compensate for that? And also where

1 does this relate to quantity versus quality? if we
2 are doing less, are we doing the few in a better
3 fashion? Could you clarify that?

4 DR. SHLAES: Well, first of all, I think
5 you have to understand that this is just us trying to
6 look into a crystal ball. But I think the fact that
7 fewer companies are in the antibacterial research and
8 development business right now is clear. That's
9 happening. So that's not the future.

10 I think it's likely, therefore -- Because
11 of the extraordinary effort that is required to
12 discover these new drugs, it's likely, therefore, that
13 our chances are diminished, since there are fewer
14 resources being applied to the problem.

15 So I think that's what I was trying to get
16 at, and the issue for many of these companies has to
17 do with prioritization of antibacterial compounds
18 compared to the other therapeutic areas, as was
19 actually discussed by Dr. Ross, I think.

20 So I don't know if that answers your
21 question, but that's what I was trying to get at.

22 CHAIRMAN RELLER: Let's have Dr. Metlay,
23 and we need to take our break so we don't get too far
24 off schedule. It's great that we have a vigorous
25 discussion, and we want to keep this going after the

1 break. Dr. Metlay, and then we'll have our break.

2 DR. METLAY: Well, I just want to throw my
3 hat into the observational study ring a bit. I
4 absolutely agree with Dr. Goldmann that there are good
5 ways to do it and bad ways to do it, and I think the
6 FDA should clearly define what is meant by a good
7 observational study, and I think there's a lot of
8 guidance there.

9 That said, I would challenge some of what
10 Dr. Ross said in one of his comments regarding the
11 relative value of observational studies over a
12 clinical trial. This is a tricky business, I think,
13 indeed, and there are some specific issues that need
14 to be considered when an observational study is really
15 giving you useful data on drug effectiveness.

16 In this setting, I think, you know,
17 there's good news and bad news. I can imagine that in
18 some sense the selection is in the favor of new
19 compounds to the degree that they may be used in
20 sicker patients who are failing therapy, and so
21 benefits for those compounds may be meaningful and
22 could be interpretable.

23 On the other hand, there are lots of
24 things we don't know about the impact of resistance on
25 the natural history of the disease and the virulence

1 of the organisms, for example, in ways that could
2 seriously bias these kinds of studies in the wrong
3 direction.

4 So I think this is a difficult business,
5 and I would certainly not like to see a lot of
6 observational data replace the value of good clinical
7 trial data in assessing these new compounds.

8 CHAIRMAN RELLER: Let's have our break and
9 reconvene at five minutes after eleven, and we'll
10 continue with Dr. Ross's query and others in our
11 discussion. Excuse me. That's five after ten that we
12 reconvene.

13 (Whereupon, the foregoing matter went off
14 the record at 9:50 a.m. and went back on the record at
15 10:09 a.m.)

16 CHAIRMAN RELLER: A couple of reminders.
17 Because of the reduction in number of flights going
18 west, time constraints of Committee members, we seek
19 to finish between three and 3:30, preferable closer to
20 the former.

21 To accomplish that, Dr. Schentag will
22 present -- start the open public hearing at 12:45, and
23 we will break for lunch somewhere between 11:45 and
24 12:00 Noon.

25 To aid a 45 minute lunch, Tom Perez and

1 Dr. Turner have requested availability of sandwiches
2 for those who want a simpler lunch, and we will
3 readjourn here at 12:45, also buffet available.

4 Dr. Tally?

5 DR. TALLY: Thank you, Dr. Reller. I'd
6 like to thank the FDA for inviting me to talk on this
7 subject. As David Shlaes said, he and I both come
8 from academic backgrounds. We have both been in big
9 industry, but I have also been in biotech industry for
10 the last seven years, attempting to develop
11 antimicrobial agents.

12 I would like to focus this talk a little
13 differently than David's talk and look at it from the
14 biotech perspective. Regulatory-wise, we have to
15 fulfill the same criteria to register a drug as big
16 pharma does. We just have a smaller company with a
17 smaller number of resources.

18 What we can do is probably make decisions
19 a little faster and turn a little faster. That may
20 shorten up some of the development time, for when you
21 look at development times from discovering a drug to
22 getting it on the market, approximately eight years,
23 and huge costs, we have problems that are in common
24 for both big pharma and the biotech industry.

25 I'd like to comment on some of the points

1 that were in the briefing documents that were sent to
2 us and comment on some of the points that I -- I was
3 telling Mark the other day that it's de javu. I was
4 at the October 16, 1998, Advisory Committee meeting on
5 points to consider for rapidly developing drugs.

6 We have made some progress in this area
7 with the multiple committees. We still have a long
8 way to go in defining what we have to do. Since that
9 time, we have actually had two drugs approved. That's
10 Synercid and Zyvox.

11 We have had some drugs that were potential
12 drugs for treating resistant infections drop out, and
13 there has not been a lot of additions to the pipeline
14 that was available back then, and I'd like to go into
15 that in development.

16 I think there are decreased numbers of
17 drugs in development. As David pointed out,
18 discovering new antibiotics is a very difficult
19 problem, and all the low hanging fruit has been picked
20 off in the last 40 years.

21 The pharmaceutical industry has actually
22 done a very good job in bringing forward both
23 synthetic molecules and natural products. It's about
24 a 70/30 split, and we have multiple drugs in each of
25 these classes. But discovering new classes has been a

1 very difficult job.

2 As I said yesterday in the meeting we had
3 yesterday on deltas, a company has to have a very good
4 reason to bring a drug forward, and has to have very
5 good preclinical data to substantiate that, both *in*
6 *vivo*, *in vitro*, and in safety data in order to bring
7 it forward.

8 The three reasons you bring things forward
9 is the microbiological advantages, pharmacological
10 advantages, and finally your safety advantage over
11 currently available therapy.

12 Today we are focusing on the first,
13 microbiological advantage, and specifically the
14 activity of drugs against the emerging resistant
15 pathogens. We can look at that emerging pathogens
16 really in a couple of ways.

17 We've talked about looking out ten years,
18 and what will be the potential pathogens. We can make
19 our best estimates, and there's nothing like data to
20 prove estimates wrong, but we have to do that to plan
21 forward. I would agree. I think the next wave on the
22 horizon is the Gram negatives, and we are going to
23 hear about that, I think, today.

24 Well, what are the preclinical
25 characteristics that you're looking at in order to

1 justify bringing a drug into development? You want
2 therapeutic potency versus resistant pathogens, and it
3 would be nice to have a novel molecule that does not
4 have cross-resistance with other classes of drugs that
5 you have now and where resistance doesn't a priori
6 exist out in the environment because these drugs have
7 been used in some other industry.

8 We've heard that we would like a cidal
9 drug to treat certain infections such as meningitis,
10 endocarditis, and possibly the granulocytopenic hosts.

11 There's been a very good PR on cidal versus static
12 drugs, but when you go and look at the actual data in
13 ordinary infections, there's not a lot of data to
14 support cidal over static. But if you ask 100
15 physicians to line up on which one they would want,
16 they would all want the cidal drug.

17 We have a lot of good static drugs out
18 there for the treatment of a lot of infections, but to
19 treat the life threatening bacteremic infections, one
20 would prefer a cidal agent.

21 Finally, you want a drug with low
22 resistance rates. It doesn't make sense to have
23 resistant emerge as soon as your drug comes out,
24 because it will very rapidly fall out of use, and you
25 will have the same problem with the agents that we

1 have out there.

2 So it is one of the rigid criteria that
3 David talked about that you try and predetermine this
4 before you bring a drug forward.

5 You want efficacy in key salient models
6 against resistant pathogens. Pharmacokinetics: For
7 serious infections, you are going to require an IV
8 agent. You can't rely on oral absorption in patients
9 that are seriously ill. And it would be nice to have
10 an oral compound or analog of that drug to switch over
11 to oral therapy, and I'll come to that in a minute.

12 In safety, you want to balance the risk-
13 benefit with the type of infection. To treat
14 outpatient infections where you are going to be
15 treating millions of patients for common diseases, you
16 need a very, very safe agent.

17 If you do have an adverse event, it would
18 be nice to have one that's easy to recognize and is
19 totally reversible.

20 We've talked, and we've heard about the
21 use of IV drugs. Having an IV-only drug is a double-
22 edged sword. It may be a double-edged sword in
23 resistance in that it's not being widely used. So you
24 will get slow emergence of resistance, and I think the
25 one example of this is vancomycin.

1 We have had vancomycin around for 35
2 years, actually longer than that, almost 40 years, and
3 emergence of resistance only occurred ten years ago,
4 and it was slow, and it's only in one species at this
5 point in time, common species.

6 There are other examples, too, of drugs
7 staying around for a longer period of time.
8 Clindamycin with a black box warning back in the
9 Seventies became mostly an IV-only drug for anaerobic
10 infections, and indeed it was a long time for the
11 development of resistance that Jay Kistlack and I
12 first described Bacteroides in the seventies to emerge
13 into the eighties. So an IV drug that is used under
14 the right circumstances will have a long period of
15 usefulness.

16 We talked yesterday about biocreep. In
17 treatment of serious infections, I don't think there's
18 a lot of biocreep that goes on, because the FDA
19 investigators and ethics committees demand the best
20 therapy for patients that have a high mortality.

21 David Ross talked about the amount of MRSA
22 bacteremia. When you couple even 12,000 cases with a
23 30-40 percent mortality, that is a huge mortality, and
24 the physicians want the best drug to treat their
25 patients, because the objective is the survival of

1 their patients.

2 What are the added problems with an IV-
3 only drug? It's in-hospital use versus stepdown or
4 home IV care. It's a major problem in the United
5 States. You can do it at a few centers, but most
6 centers you can't do this in.

7 So one of the problem areas we have with
8 an IV-only drug is with the practice of medicine in
9 the United States and in Western Europe, patients are
10 discharged from hospitals very rapidly, and the
11 availability of an oral stepdown drug is important. I
12 think that helped Pharmacia in their trials with
13 linezolid.

14 With other IV-only drugs, we have to look
15 at some criteria for oral switch on whether or not
16 that can be incorporated into the process of speeding
17 up the enrollment of patients, of evaluable patients
18 in these studies, and consider it. Right now, if you
19 switch a drug to another class of drug, we lose that
20 patient as an evaluable patient in the studies that we
21 have been doing.

22 So we go to all lengths to try and make
23 sure we continue full IV therapy with a compound we
24 are using.

25 I took this right out of the documents

1 that were circulated by the FDA on the nosocomial
2 pathogens that are a problem. They have the Gram
3 positive organisms and the Gram negatives.

4 The Gram negatives continue to be a major
5 problem, as we've heard about, and they will be in the
6 future, but I would like to concentrate on the Gram
7 positive pathogens because that's the problem we have
8 today.

9 The problem is much more complex. In
10 doing a large *in vitro* study looking at surveillance
11 from 50 centers across the United States -- and this
12 was done in 2001 -- with large numbers of bacteria,
13 *Staph aureus*, *Strep pneumo*, *E. faecalis*, and *E.*
14 *faecium*, what we've tried to bring out in this slide -
15 - It's not just methicillin resistances, illustrated
16 by the oxacillin resistance here.

17 What the problem is is there's multi-drug
18 resistance in the Gram positives to several classes of
19 drugs. So the drugs that are available to treat these
20 infections are very limited, and we are seeing this in
21 *Strep pneumonia* with macrolide resistance and
22 sulfa/trimethoprim resistance.

23 Fortunately, *E. faecalis* multi-drug
24 resistance still remains low, but in *E. faecium* it's a
25 major problem. So it's a multi-drug resistance, not

1 just one particular drug, which is the therapeutic
2 problem.

3 So I would like to go back in history a
4 little bit to show the versatility of *Staphylococcus*
5 *aureus*, because as Dr. Chesney pointed out, that's the
6 problem. The major problem right now -- and if you
7 look at penicillin resistance -- I'm dating myself,
8 because when I was in medical school, we could treat
9 patients on the outpatient with penicillin, because
10 penicillin resistance wasn't very prominent on
11 patients coming in from the community. It was a
12 hospital problem.

13 You can see the hospital problem here with
14 penicillin resistant *Staph. aureus*, and by the time I
15 was in medical school in the Sixties, it was a major
16 hospital problem with low community. It took about 15
17 years for the community to catch up. It's just
18 telling you what *Staphylococcus* is going to do,
19 because what is going to go on next?

20 Well, we've seen a bunch of slides about
21 the nosocomial methicillin resistant *Staph. aureus*,
22 and that's the period from '45 to '55 or '60, if we
23 look back at penicillin.

24 If we look at some data I borrowed from
25 Chip Chambers in a CME program he ran for us, if you

1 look at methicillin resistance at San Francisco
2 General Hospital, they peaked at over 50 percent in
3 '98, went down a little in '99, and went back up in
4 2000.

5 What was more disturbing from the data
6 that Dr. Chambers presented at this meeting was that,
7 when he went out and surveyed the San Francisco Bay
8 Area to look at the carriage rate of *Staph. aureus* in
9 the population, it varied from a low of 18 percent to
10 a high of 34 percent. But what was very disturbing
11 was the incidence of MRSA in this population out in
12 the community. I think that's what Dr. Chesney was
13 talking about.

14 Well, we also reviewed the literature and
15 looked at different periods from the late seventies to
16 the late nineties, looking at the rate of MRSA in
17 hospital, growing, and looking at the number of
18 studies where there was community acquired infections
19 with MRSA talked about.

20 As you can see, we are starting to see a
21 lot of these studies, and now we are starting to see
22 it in children, and the deaths reported from the
23 Midwest in children that were never exposed to
24 antibiotics or to a hospital environment before.

25 So MRSA is catching on in the community.

1 Quite frankly, I don't think we have adequate agents
2 to treat it right now, and I don't see anything coming
3 down the pike to treat MRSA out in the community when
4 they are multi-drug resistant. So we are in a major
5 problem at this point in time.

6 Finally, the other major nosocomial
7 pathogen is the enterococcus, and vancomycin resistant
8 enterococci have been talked about tremendously. So
9 in a search for drugs to treat the current resistant
10 pathogens in hospital, one has to have a drug with
11 activity against both MRSA and VRE.

12 That's a very limited set of drugs. I
13 showed them yesterday, and you can count them on one
14 hand. We've had two approved. That's Synercid and
15 linezolid. We have oritavancin, a glycopeptide. We
16 have daptomycin, a lipopeptide. We have tigecycline
17 that David's group is developing, which is an analog
18 of mynocyline.

19 There are very few other agents. There's
20 a possibility of a couple of cephalosporins coming
21 down, but they have activity against MRSA and not VRE.

22 They have not made it to the clinic yet. So the
23 pipeline is very sparse.

24 I think what we are going to have to do is
25 develop new chemical entities, because I think most of

1 the tricks to make the old classes of drugs active
2 against resistant strains have been done. People are
3 still trying to do it in research groups, but as you
4 heard from David, that type of research has been well
5 worn, and most of the tricks have already been done.

6 So the new chemical entities that we are
7 developing, we have to find out what type of
8 infections they are for. Are they for mild
9 infections, for otitis media or UTIs? The need here
10 is not as great as in the hospital, and where we need
11 the therapy is for serious infections and severe
12 infections where we may have resistance.

13 The general recommendation now is two well
14 controlled trials with appropriate sizing. Usually
15 with safety, it requires with a new chemical entity at
16 least 1,000 patients, so we can get a clear idea of
17 what the major adverse events and dose limiting organ
18 toxicity you may run into for that class of drugs.

19 To get 1,000 patients, you need
20 comparative studies, and you can estimate the size of
21 those studies; and I would call this a small study,
22 from 2500 to 3000 patients when you start to include
23 all of the Phase I, Phase II and Phase III studies
24 that you need.

25 So being able to approve a new chemical

1 entity with just 500 or 600 patients, I think, would
2 be a major undertaking.

3 In preparing for this talk, I went back to
4 see our actual cost today for the year 2002, clinical
5 cost in a program developing drugs for serious
6 infections, and we are looking at VRE patients and
7 endocarditis bacteremia patients. My cost for
8 clinical studies is \$30,182 per patient.

9 This is fully loaded, but does not count
10 any of the preclinical costs we have developed already
11 and does not include manufacture of the drug. So this
12 is a costly process. The cost -- and because we are a
13 biotech company, we are not spared those costs.

14 If you have to do larger studies, you can
15 see the costs just escalate for the clinical
16 development. I don't want to get into a discussion of
17 cost. I mean, that's raging between the two different
18 groups, the Tufts group and then the advocacy groups,
19 up to \$800 million for new drug. I'll settle on \$400-
20 500 million is probably the cost of a drug, but that's
21 the cost today to bring a drug forward and to get it
22 registered.

23 For the antibiotic, it's already been
24 proven and shown how to manufacture it and have an IND
25 in place. My company has already spent \$180 million

1 to bring it this far. So these are expensive
2 endeavors to bring drugs to market.

3 I'd like to turn my attention to some of
4 the action items that were discussed in the documents
5 that were provided. There is one on Action Item 82
6 which is streamlining the regulatory process to bring
7 antibiotic resistant products to market efficiently
8 while assuring safety and efficacy.

9 Assuring safety and efficacy is why you
10 need the large studies with over 1,000 patients, so
11 you can get a good idea of what the safety of your new
12 compound is.

13 We've talked a lot about surrogate
14 endpoints. With bacterial infections I think it's the
15 resistant pathogen or the susceptible pathogen is the
16 endpoint, and you really can't get around it. It's
17 actually in one of the classes that you look at. You
18 look at microbiologically evaluable patients, and so
19 that's where bacteriology counts.

20 One potential surrogate marker that was
21 talked about today is using susceptible pathogens in
22 the same species to get an idea of how a new chemical
23 entity works against that species, and having adequate
24 numbers then of the resistant species, isolates in
25 that species, are required to register a drug.

1 I agree, animal models give us a lot of
2 guides to how to study this, but it is to a true
3 surrogate for patients.

4 Continuing on Action Item 82 is
5 antibiotics for resistant organisms with life
6 threatening infections and to focus development with
7 selected infections. That makes sense with MRSA.

8 There's a high enough incidence of MRSA
9 that, from your clinical studies in the United States,
10 you should be able to get enough patients to answer
11 the question of (1) does it work against *Staph.*
12 *aureus*, and indeed does it work against *Staph. aureus*
13 that has methicillin resistance; and with the high
14 incidence of *Staph. aureus* in complicated skin and
15 soft tissue infections?

16 In studies in the United States, if you
17 can accomplish this, you should have enough patients
18 to be able to come to a conclusion.

19 Clearly, in bacteremia and bacterial
20 endocarditis, whereas we talked yesterday *Staph.* has
21 become a major problem, you should also be able to get
22 it there.

23 Finally, in nosocomial pneumonia *Staph.*
24 *aureus* is a major problem. It's in ventilator
25 associated pneumonia, and it's also a problem. But

1 also this is an area where you need multi-drug therapy
2 to cover both the Gram-negatives and the Gram-
3 positives, and in this instance when you look at the
4 mortality of *Staph. aureus* in MRSA, in pneumonia, it
5 approaches 50 percent. So this is a very difficult
6 area to study.

7 When you look at VRE, the incidence is
8 actually much lower, and it goes across a number of
9 different clinical indications. So you will not be
10 obtaining enough data from one system indication, such
11 as intra-abdominal or UTI or complicated skin. There
12 just aren't the number of patients with enterococcal
13 infections.

14 So as David suggested with certain of
15 these infections, this is where you have to pool data
16 across a number of different infections and come to a
17 microbiological claim for VRE, using a number of
18 different infections to gather enough data to prove
19 that.

20 Are Vancomycin susceptible enterococci
21 suitable surrogate markers? Well, you can get an idea
22 whether the new drug works against the enterococcus,
23 and you will know that against both faecium and
24 faecalis, and then with an adequate number of
25 resistant isolates, you could then, I think, come to a

1 reasonable conclusion with these types of agents.

2 To promote development and appropriate use
3 of priority antibiotic resistant products, what about
4 restricting labeling to antibiotic resistant
5 organisms?

6 That's one of the problems that has really
7 frightened a lot of people out there in big pharma, on
8 Wall Street, and on really whether or not they should
9 be funding this area; because when this is talked
10 about, it comes back to the question the amount of
11 return on investment that you can get.

12 With MRSA, though, with the high incidence
13 that we have now and the amount of empiric therapy,
14 you can still justify it. You can at least justify it
15 for a biotech company that's not looking for the
16 blockbuster drug or a \$500 million drug. A \$200-\$300
17 million drug is a blockbuster drug for a biotech
18 company. Remember now, it is going to cost you a
19 couple of hundred million dollars to get that drug on
20 the market.

21 What my belief is, products with safety
22 issues, massive safety issues and activity against
23 resistant pathogens, will be restricted by the
24 physicians, because they have a credo not to do any
25 harm to their patients.

1 So if they have an alternative, they are
2 going to restrict that drug. We've seen that in the
3 past. I mean, Chloramphenicol is still a very good
4 drug, but highly restricted based on its toxicity
5 that, of course, is aplastic anemia.

6 So I think it is coming down. It's the
7 characteristics of the drug, and that's what has to be
8 clearly defined in the clinical studies, so at the end
9 we can put it into perspective, as we heard that the
10 FDA was talking about this morning, both Janice and
11 David.

12 So broad ranges of indications requires
13 large clinical programs. There are two potential
14 sources for the drug, new classes of drugs and
15 approved analogs or approved drugs with novel activity
16 versus resistant pathogens.

17 This is the area where I think you will
18 see the new drugs coming along. Drugs that are
19 already approved or an analog of a new drug still need
20 this approval, because it's a new chemical entity.
21 You may not need quite as high -- a wide a safety
22 database, but new entities need to be shown to be
23 safe, and they both require adequate studies and
24 adequate doses to retard resistance.

25 I think that's one of the things we should

1 be doing, is what is the dose that's really going to
2 clear the bacteria so they can't become resistant.
3 And you have to determine what are the appropriate
4 indications.

5 Right now we have a lot of drugs that have
6 a narrow range for gram positive infections, and most
7 for serious infections. I don't see any for
8 outpatient therapy. The one exception is tigecycline
9 which is both gram positive and gram negative.

10 What about old agents that are resurrected
11 that now have activity against susceptible pathogens?

12 We have a lesson already. It's called Vancomycin.
13 If you look at the data, you can superimpose the
14 resistance rates for MRSA climbing in the hospital and
15 then out in the community with the tonnage of
16 vancomycin use.

17 What is the extent of Vancomycin use in
18 the United States? There are 15 million days of
19 therapy, and this is without promotion; because this
20 is a generic agent that costs about six dollars a
21 vial. So people talk about Vancomycin as a restricted
22 drug. Well, 15 million doses -- days of therapy is
23 not very restricted.

24 What it answered was there was a use and,
25 if you have a drug that's approved that is safe, then

1 physicians will use it.

2 It brings me back to defining what is the
3 characteristics of the drug under development? What
4 you are going to see in the last few slides is really
5 an opinion. I've talked to my colleagues about it,
6 but if anybody has any reservations about this, they
7 can take it up with me. That's what happens when you
8 become a Chief Scientific Officer who is out doing the
9 day to day work.

10 What is the problem of focused drug
11 development for antimicrobial resistant organisms?
12 We've talked about this. There's very limited drugs
13 in the pipeline, and one of my jobs is to go out and
14 search for new drugs, and I've exhaustively been doing
15 this over the last ten years.

16 There are not very many drugs coming down
17 for resistant organisms. The promise that genomic
18 sequencing of pathogens, genomes, and combinatorial
19 chemistry which was espoused in the first half of the
20 1990s as the Holy Grail of drug research and the
21 promise of many new antimicrobial agents -- that
22 approach has failed.

23 There are no drugs currently being
24 developed from the genetic approaches and
25 combinatorial chemistry approaches that have seen the

1 light of day in animal models even.

2 Indeed, the genomics companies and the
3 biotech sector are moving out of this area and
4 concentrating on the human approaches and the human
5 diseases, and abandoning antibiotics. They thought it
6 would be easy to come in and discover some antibiotics
7 with the new genetics and combinatorial chemistry.

8 After millions of dollars, they have
9 realized this is a very hard job, and indeed that is
10 going into the reasons that some of the big
11 pharmaceutical companies are closing down their
12 pharmaceutical groups that discover antibiotics.
13 We've seen that Eli Lilly, and Bristol-Myers Squibb
14 has recently announced that. Other companies are
15 thinking of that.

16 To realize -- I don't think the genomic
17 approach has failed. It's the genomic approach in
18 combinatorial chemistry that's failed, and there is
19 tremendous potential in understanding these new
20 targets which will be appropriate targets for
21 antimicrobial agents, but it's going to take a
22 substantial investment, both in big pharma and of the
23 biotech industry to realize the potential and to get
24 the very high hanging fruit that will be our agents to
25 treat these serious infections.

1 One of the problems, I think, of focused
2 drug development, and Dr. Shlaes mentioned this, is
3 what we need to do is clearly define the number of
4 patients with resistant infections required in our
5 efficacy trials. Is it an absolute number or a
6 percentage of infections in these different syndromes
7 that I talked about earlier? How many MRSA versus
8 MSSA do we need to really define the one -- this new
9 chemical entity works against *Staph. aureus*, and
10 indeed then works against MRSA?

11 I was involved in a project in registering
12 Zosan pipericillin tazobactam when I was at Lederle
13 Labs, and we had the same problem, because we had to
14 prove that Zosan worked against pipericillin resistant
15 pipericillin tazobactam susceptible strains.

16 It took us enrolling 3,000 patients in the
17 pipericillin tazobactam arms to come up with 256
18 patients that met those criteria. You can do it, but
19 it takes huge studies to do that when you are looking
20 at it. But it can be done, and we can learn lessons
21 from the past. So if we know what we have to do, we
22 can design our studies to try and achieve that.

23 Remember the cost. We've talked about it
24 for this new compound. It's very high, and to
25 restrict new drugs for antibiotic resistant isolate

1 only would really limit the return, and for many
2 companies it would not be justified to go forward with
3 this development.

4 What is the path that we have been taking
5 with a new chemical entity? In trying to prove
6 efficacy with a narrow spectrum drug that's active
7 against Gram positives, we tried to go to infections
8 that had high incidence of Gram positive infections,
9 such as complicated skin and soft tissue infections.

10 We attempted to capture *Strep. pneumoniae*
11 in community acquired pneumonia trials. We are
12 planning to do a bacterial endocarditis trial
13 directed at *Staph. aureus*.

14 Finally, where does the enterococcus come
15 from? Many times it comes from the urinary tract.
16 We've done a pilot study in this area, and indeed you
17 can isolate out the patients, but these are very hard
18 studies to do in the United States, because you can't
19 keep the patients in the hospital with UTIs. So we
20 have to look at a strategy in that particular area.

21 We need to show that the drug is safe, and
22 we are doing two well controlled trials in many
23 indications. We are looking at specific resistant
24 pathogens such as MRSA and VRE.

25 We have a 700 patient community acquired

1 pneumonia trial. We only came up with seven
2 penicillin resistant isolates in that trial. So the
3 problem continues in trying to identify penicillin
4 resistant *Strep. pneumo* in adults.

5 What is the answer? I don't think there's
6 a simple answer, and that's why we are having these
7 meetings, because if you look for a simple answer, you
8 are going to make a mistake, and you are going to
9 create more of a problem.

10 So I think antibiotic resistance is really
11 a complex issue, and the NDA Task Force is approaching
12 it that way. I don't think we should look for one
13 simple answer that will satisfy all of us -- the
14 solutions.

15 Reserving novel new antimicrobial agents
16 for antimicrobial resistant pathogens: It will limit
17 economic return. It will actually decrease both big
18 pharma and biotech's research, if indeed that's what
19 happens, and that big investment we need to develop
20 new molecules just won't be put on the shelf.

21 Finally, I would contend that actually
22 saying restricting the problem to resistant pathogens
23 probably won't solve the problem. Ceftriaxone was a
24 restricted drug when it first came on the market, but
25 when physicians started using it and found the

1 convenience of a good drug that worked once a day,
2 that's why it's the largest selling IV antibiotic
3 right now.

4 We see this with a number of drugs. Many
5 drugs are restricted right at the beginning, and what
6 the clinicians do is find out is the drug working; and
7 if it's working and safe, then they will use it for
8 their seriously ill patients.

9 So to justify the high investment to
10 develop drugs, the drug -- what we should do is to
11 determine the safety and effectiveness of the agent in
12 focused, well designed clinical studies that allows
13 the clinician to make the decision on what's the
14 appropriate use of this new agent.

15 I think what I've been trying to do is
16 focus on the molecule and not focus on the rules to
17 restrict it because it happens to have activity
18 against resistant organisms.

19 The only way this can be done is for
20 industry and regulatory agencies to work very closely
21 together, develop cooperative teams so they can put
22 all these issues on the table and come to the best
23 resolution to develop these drugs for appropriate use,
24 and with that we will develop drugs for antimicrobial
25 resistance.

1 Finally, I'd like to be a little
2 provocative, because I've heard some recommendations
3 that how can we develop a situation where drugs will
4 be developed for resistant organisms and then put on
5 the shelf?

6 As from my perspective in biotech, the
7 only way we can do this is massive government funding
8 of an agency to develop the drug, because if you take
9 the profit incentive out by putting that on the shelf,
10 then the only way you can do that is totally fund that
11 with public funds so you can justify putting it there;
12 because with public companies one of the main goals we
13 have is developing drugs to treat patients to get them
14 well, but also is returning a profit to stockholders,
15 and that's the reason that they invest in those
16 companies.

17 Well, we've covered a lot of different
18 area, but I think, in summary, what we heard today is
19 (1) the pipeline is sparse, and it's going to take a
20 lot of money to develop new drugs. It's sparse for
21 Gram positives. It's empty for *pseudomonas*.

22 We need close cooperation between industry
23 and regulatory bodies. Here it's the FDA. In Europe
24 it's other bodies. One approach doesn't fit all
25 compounds, and I think each individual molecule's

1 characteristics must be clearly recognized, developed,
2 and its efficacy and its safety should be clearly
3 worked out in well focused study to allow us to
4 develop drugs to treat a major public health issue.

5 Thank you.

6 CHAIRMAN RELLER: Thank you, Dr. Tally.
7 Dr. Louis Rice will speak on behalf of the Infectious
8 Diseases Society of America.

9 DR. RICE: Thank you. I appreciate the
10 invitation to come and speak today. As was stated, my
11 name is Lou Rice. By way of introduction, I am an
12 infectious diseases physician. I also serve as the
13 Chief of the Medical Service at the Louis Stokes
14 Cleveland VA Medical Center, and I'm a professor of
15 medicine at Case Western Reserve University, and as
16 was stated, I am here representing the Infectious
17 Disease Society of America.

18 The issue of clinical infections caused by
19 bacteria resistance to many and sometimes all
20 available antimicrobial agents is a daily challenge
21 for many infectious disease physicians, as well as for
22 physicians from many other specialties, including
23 surgeons, pulmonologists and hematologist oncologists,
24 among others.

25 Since the problem of multi-resistant

1 bacteria has its origins in many places, including
2 poor compliance with infection control measures and
3 overuse of many different antimicrobial agents, it is
4 not likely, nor is it anyone's contention, that novel
5 antimicrobial agents will solve the problem of
6 antibiotic resistance.

7 Nevertheless, we are in constant need of
8 novel therapeutic agents to address the variety of
9 resistant bacteria that arise to fill the niches
10 created by use of currently available antibiotics in
11 the modern hospital.

12 As stated by Vince Andriole at this
13 meeting yesterday, the Infectious Disease Society of
14 American stands ready and eager to make available the
15 substantial expertise within its ranks to help resolve
16 these issues in a manner that will be satisfactory to
17 all the involved stakeholders.

18 In considering what we as a Society could
19 bring to today's discussion of resistant bacteria, I
20 thought that, in addition to discussing some broad
21 statistics of resistance, I will also focus on what
22 antimicrobial resistance means to the individual
23 clinician at the patient's bedside.

24 By doing this, I intend simply to remind
25 us that this is a real problem. It affects real

1 patients treated by real physicians. Whatever the
2 appropriate solutions to the problem, some mechanism
3 must be determined to facilitate the entry of new
4 drugs to the marketplace, for while we rest, the
5 bacteria continue to work.

6 In its preliminary communication prior to
7 this meeting, the FDA has compiled a list of
8 representative problematic resistant organisms. I
9 think it's a very useful list to start from, but as
10 has been stated before, there is one organism that is
11 not included that really threatens to become the top
12 resistant pathogen of the next decade.

13 That organism is multi-resistant
14 *Acinetobacter baumannii*. The first slide -- you don't
15 have my slides? Okay. Well, there are no slides.

16 In any case, in data from a consortium of
17 seven New York City hospitals headed up by Brian
18 Currie of Monefiore Medical Center and Albert Einstein
19 College of Medicine indicate that rates of Imipenem
20 resistance in *Acinetobacter baumannii* isolated from
21 intensive care units where *Acinetobacter* is really a
22 problem pathogen, particularly in ventilator
23 associated pneumonia, approached 30 percent; whereas,
24 rates of Amikacin-resistance approached 50 percent.

25 *Acinetobacter* strains resistant to both

1 Imipenem and Amikacin are now common in New York City,
2 and it is common for such strains to be susceptible to
3 only colistin and polymyxin B, two membrane active
4 antibiotics which are highly toxic and which most of
5 us thought were historical curiosities as recently as
6 a decade ago.

7 In a recent conversation with David
8 Gilbert, who is the current President of IDSA, David
9 offered that three days do not go by without a new
10 York City physician calling him, asking him whether
11 there is anything in the pipeline that can treat these
12 *Acinetobacter* infections. Sadly, he has little
13 encouragement to offer.

14 One of the most active investigators in
15 the area of clinical impact of antimicrobial
16 resistance is Jim Rahal who is the Chief of Infectious
17 Disease at New York Hospital Medical Center of Queens.

18 In a recent article in Clinical Infectious Diseases,
19 Jim and his colleagues nicely summarized their
20 sequential experience with multi-resistant Gram
21 negative bacilli.

22 Ceftazidime use in the late 1980s begat
23 multi-resistant -- I'm sorry. Ceftazidime use in the
24 late 1980s begat Ceftazidime resistant *Acinetobacter*
25 and Ceftazidime resistant *Klebsiella*.

1 Imipenem use to address these resistant
2 organisms then begat Imipenem resistant *Acinetobacter*,
3 which it took seven years to finally eliminate from
4 that hospital, but obviously not from the rest of the
5 New York hospitals.

6 Imipenem use was also associated with the
7 emergence of Imipenem resistant *Pseudomonas aeruginosa*
8 and Imipenem resistant *Klebsiella pneumoniae*. Lest
9 anyone think that these resistant pathogens were
10 merely colonizers of uncertain clinical significance,
11 a publication by Ahmad and colleagues from Jim Rahal's
12 group as well reported that six of seven patients
13 infected with Imipenem resistant *Klebsiella pneumoniae*
14 died, nor is resistance the exclusive province of
15 exotic nosocomial Gram negative rods.

16 It is worth noting that during this same
17 symposium that Brian Currie presented his data on
18 *Acinetobacter* in New York City, he also presented data
19 suggesting that rates of *E. Coli* resistance to
20 Ciprofloxacin in New York City hospitals now
21 approached 15 percent.

22 One week later I was attending a symposium
23 in Chicago and was told that rates were very similar
24 in Chicago. It should be also noted that the
25 symposium in New York occurred October 13, 2001, about

1 two days after Tom Brokaw advertised the fact that he
2 was taking Cipro and that, in fact, everybody in New
3 York seemed to be taking Cipro. The ultimate results
4 of this huge natural experiment remain to be
5 determined.

6 The past decade has seen similar problems
7 of resistance in Gram positive bacteria. Just this
8 past year, the National Nosocomial Infection
9 Surveillance reported that the percentage of *Staph.*
10 *aureus* strains isolated from true clinical infections,
11 patients in intensive care units, has now exceeded 50
12 percent.

13 Needless to say, this rising prevalence of
14 MRSA continued to drive Vancomycin use which, among
15 other things, has the effect of driving the spread of
16 Vancomycin resistant enterococci.

17 If I may, I'd like to briefly review the
18 case of a patient, a true patient, who still lies in a
19 bed in the Cleveland VA Hospital as we speak. He is a
20 57-year-old male who was diagnosed with acute
21 myelogenous leukemia in late December or in December
22 of 2001.

23 he initially underwent induction
24 chemotherapy in December, which proceeded reasonably
25 smoothly, although he was noted to become colonized in

1 his gastrointestinal tract during that period with
2 multi-resistant enterococci, defined as enterococci
3 resistant ampicillin and Vancomycin, fortunately
4 susceptible to Linezolid and
5 Quinupristin/dalfopristin.

6 He returned to the hospital in January
7 with a relapse of his leukemia, and blood cultures
8 that grew *Candida parapsilosis* and *Candida glabrata*.
9 This responded to removal of his broviac catheter and
10 intravenous administration of amphotericin B.

11 He then underwent a second cycle of
12 chemotherapy and was soon neutropenic. On January
13 26th, his blood cultures grew coagulase-negative
14 staphylococci, and he was noted to have diarrhea and
15 abdominal pain, prompting initiation of vancomycin and
16 metronidazole therapy.

17 He remained persistently febrile, and an
18 abdominal CT scan suggested neutropenic enterocolitis,
19 otherwise known as typhlitis, a serious and
20 life threatening condition that prompted initiation of
21 meropenem therapy.

22 Then finally on January 31st, his blood
23 cultures grew multi-resistant *Enterococcus faecium*,
24 the same strain that had been in his gastrointestinal
25 tract one month before, necessitating a switch from

1 vancomycin to linezolid.

2 There weren't many of us that would have
3 bet on this patient making it out of the hospital.
4 However, over the subsequent two weeks he gradually
5 improved, and as of the 14th of February his white
6 count had now risen to over 3900, and his bone marrow
7 was free of blasts. On linezolid, though, his
8 platelets remained below 20,000.

9 Although there's been some debate
10 regarding the virulence of multi-resistant
11 *Enterococcus faecium*, a large multi-center study of
12 enterococcal bacteremia recently published by Manny
13 Vergis and colleagues from Pittsburgh in The Annals of
14 Internal Medicine has, it is hoped, put this issue to
15 rest.

16 Vergis and colleagues showed that after
17 multivariate analysis, the factors associated with
18 mortality in patients with enterococcal bacteremia
19 were resistance to vancomycin, presence of a
20 hematologic malignancy, and APACHE II score.

21 It is important to note that the patients
22 described in the Vergis multi-center study were
23 patients who developed enterococcal bacteremia prior
24 to widespread availability of linezolid and Synercid
25 or quinupristin/dalfopristin.

1 Given the multitude of factors present in
2 the patient I just described that would predict a high
3 likelihood of mortality, it is not a stretch to state
4 that the availability of linezolid probably saved this
5 man's life.

6 As we move into the third week of
7 linezolid therapy, however, and with his platelet
8 counts still below 20,000, additional therapeutic
9 options, of which quinupristin/dalfopristin is the
10 only one, would certainly be welcomed.

11 The risk associated with accepting large
12 deltas for licensing new pharmaceutical agents is an
13 important one and should not be underestimated.
14 However, it must be understood that antibiotics, and
15 particularly those used for the treatment of serious
16 life threatening infections caused by potentially
17 resistant bacteria, are fundamentally different from
18 other pharmaceutical agents.

19 The presence of the third factor that is
20 not important for other types of agents, the
21 susceptibility of the bacteria, in combination with
22 frequent intolerance of antibiotics either due to
23 hypersensitivity reactions or well described adverse
24 events means that the "most effective agent" is all
25 too frequently unavailable.

1 In such settings, we need the availability
2 of drugs that will work, even if not as effectively as
3 the ideal agent. Infectious diseases physicians are
4 commonly forced to employ agents that are not the
5 optimal agent for treating a given infection.

6 I suspect that every time an infectious
7 diseases physician prescribes vancomycin for *Staph.*
8 *aureus*, he or she has in their mind a study published
9 by Levine and colleagues in the Annals of Internal
10 Medicine in 1991.

11 This study reported that the mean time to
12 sterilization of blood cultures in patients with
13 staphylococcal endocarditis treated with vancomycin
14 was nine days, roughly two to three times the
15 historical time for sterilization of blood cultures
16 when treated with nafcillin.

17 We know that vancomycin is less effective
18 than betalactam antibiotics, but we are certainly
19 grateful to have the option to use it when patients
20 are infected with methicillin resistant staphylococci.

21 I think it is also very important to note
22 that vancomycin was first introduced clinically in
23 1958, three years before methicillin resistant
24 staphylococci, the reason for its primary use now --
25 three years before that was even described.

1 We do not know what the predominant
2 pathogens will be ten or 20 years from now. We can
3 safely say, however, that the problem of antimicrobial
4 resistance will be with us still. The continued
5 development of novel and effective antibacterial
6 agents in combination with strict adherence to
7 infection control measures and judicious use of
8 currently available agents form the three legs of the
9 stool upon which our ability to treat serious
10 infections will rest.

11 The IDSA stands ready to assist in any way
12 to ensure that the future development of antimicrobial
13 agents yields maximally safe and predictably effective
14 products.

15 I appreciate you allowing me this time. I
16 would like now to yield to my colleague, George
17 Talbot, who would like to make a few remarks as well.

18 DR. TALBOT: Good morning. My name is
19 George Talbot, and I'm pleased to be making a few
20 comments today on behalf of the Infectious Diseases
21 Society of America.

22 With Dr. Rice's presentation about the
23 dilemmas confronted by frontline providers of
24 infectious diseases care as background, I have several
25 general comments that I would like to make, again on

1 behalf of IDSA.

2 My first point is one that is perhaps
3 self-evident or obvious, but I feel it needs to be
4 said. That is that it is extremely encouraging to see
5 the efforts put forth by the agency to hold this
6 meeting, to prepare the briefing document, to present
7 such well reasoned analyses, and in general to give us
8 the opportunity to have these discussions. So I'd
9 like to thank the agency for doing that, and let you
10 know that IDSA and its membership appreciate that very
11 much.

12 There are several specific suggestions
13 that could be made based on some of the discussion
14 that has happened so far today and that will come
15 later. First of all, the briefing document, as you
16 prepared it, is extremely useful and, as I mentioned,
17 very encouraging.

18 One step that would help in its relevance
19 to the pharmaceutical industry and to members of IDSA
20 and to members of other specialties is to turn this
21 briefing document, together with the input from
22 today's presentations, into a written guidance.

23 This would make it easier for those in
24 industry and in the clinical trials arena to
25 anticipate the needs of this regulatory agency and to

1 produce the best possible data to allow the rapid
2 development and approval of novel drugs for antibiotic
3 resistant pathogens.

4 In this effort, IDSA stands ready to
5 assist you in whichever way you feel would be most
6 appropriate.

7 A second point related to any potential
8 guidances is that these guidances should be as
9 specific as possible. Dr. Metlay has already alluded
10 to some of the issues relating to observational cohort
11 studies and has encouraged the agency to provide some
12 specific directives.

13 While I applaud the proposal of that
14 potential design as part of a constructive
15 brainstorming process, I think Dr. Metlay's comment is
16 very germane and that that area, among others,
17 requires specific thought and some specific guidance.

18 A similar area is related to the use of
19 historical controls. As Dr. Soreth mentioned, these
20 can sometimes be useful, but in my own experience they
21 are fraught with hazard and not necessarily in the end
22 all that useful.

23 My final comment is that the IDSA hopes
24 that whatever comes from today's meeting and however
25 it is translated into either action or written

1 documentation or written guidances, the IDSA hopes
2 that this be done with urgency.

3 As Dr. Rice's presentation has, I think,
4 so well described, there are patients now who need
5 these agents. There are going to be more patients
6 every day, and the greater the urgency that can be
7 applied to reaching some resolutions and some
8 definitive guidelines, the better for these patients.

9 Thank you.

10 CHAIRMAN RELLER: Thank you, Dr. Talbot.
11 The presentations from IDSA, industry, PhRMA are open
12 to discussion, comment. Dr. Ross, just before our
13 break, you had your hand up. Still relevant?

14 DR. ROSS: Yes. Actually, Dr. Talbot made
15 a couple of points about observational studies, and I
16 wanted to follow up on Dr. Metlay's comments about
17 observational studies.

18 I think those represent one potential
19 resource. I think, as Dr. Metlay pointed out, there
20 are potential pitfalls. We are examining the question
21 of how to use that sort of data and what the pros and
22 cons are.

23 I mentioned the papers by Horowitz and
24 Hart and Benson in the New England Journal. The
25 follow-up correspondence on those, for anybody who has

1 read that, was quite fierce. So I think that those
2 are -- I think I just wanted to indicate that those
3 are areas that we are looking at, but we certainly
4 appreciate the input that we need to keep our wits
5 about us as we look at those sort of issues.

6 CHAIRMAN RELLER: Dr. Ramirez.

7 DR. RAMIREZ: Following the same, I would
8 like to make a comment, that ideally what the agency
9 would like for us is to think outside of the box. We
10 are here to suggest new ways to deal with this
11 problem, because if we want simplicity in MDR
12 organisms, we need to be thinking outside of the box,
13 and then I think that we should probably for a couple
14 of minutes just stop thinking that the ideal situation
15 is the two trials, prospective, double blind with a
16 low delta. Otherwise, anything that we are going to
17 say that is novel is going to be -- probably is going
18 to have potential pitfalls.

19 Then I would like not to someone come out
20 with an idea, and then the next comment is, well, but
21 you need to do a prospective, double blind trial.

22 The other thing I would like to -- Another
23 comment is that I think from the clinical perspective
24 -- this was already mentioned, but there are two types
25 of MDR organisms. There is the MDR organism that we

1 still have antibiotics to treat. If the patient has
2 an infection, we may have limited numbers, but there
3 are MDR with one, two, three, four, five antibiotics.

4 This is one problem. Then there's the
5 other MDR organism that the patient has an infection,
6 and we don't have any antibiotics to treat. Then
7 fortunately in our -- I don't remember when was the
8 last time in our patient management conference that we
9 discussed a Gram positive infection, but we recently
10 had discussion of the *Pseudomonas* resistant to
11 everything or the *Acinetobacter* resistant to
12 everything.

13 These are the real challenges. I
14 understand there is also a problem with MRSA, but we
15 have not presented a MRSA case in a long time. Eve
16 though we will not have the idea of antibiotic, we do
17 have antibiotics.

18 My point is that when I think what do I
19 need clinically, I think that we need to be looking at
20 two types of drug development process. One is when I
21 add a new antibiotic to an MDR organism that already
22 have three or four, and I may look at one way to
23 develop this drug.

24 The other is when I am faced with a
25 patient with a multi-resistant *Pseudomonas* that I

1 don't have any antibiotic. Now until not long ago, we
2 used to call for compassionate use for clindofloxacin.

3 There was always something that you can call in
4 trying to get some antibiotic to use for these
5 patients.

6 I can tell you that you discuss with the
7 patient or the family, nobody is going to be concerned
8 of toxicity or anything with these patients, because
9 these patients with these multi-resistant organisms
10 with nosocomial pneumonia is going to die. And for
11 these type of MDR, I may say that I will be very
12 pleased with a PK/PD, animal data, and just almost
13 somehow jump into the patient, and give me the
14 antibiotic and let me have this antibiotic for
15 compassionate use around the country, and we can come
16 out with a -- If this is done by people with some idea
17 of clinical research, we can come out with a lot of
18 patients at minimal cost, and this can be -- We can
19 have a national RIV approval for these particular
20 antibiotics.

21 Then I see -- In my mind, I have two
22 different type of problems. One is the MDR, that I
23 have antibiotics, and the other MDR that I don't have
24 antibiotics, and I see that probably the process needs
25 to be two different type of process. Just a comment.

1 CHAIRMAN RELLER: Dr. Bell?

2 DR. BELL; I agree with Dr. Ramirez. We
3 need to think outside the box, but first I have two
4 points of clarification I would like to ask.

5 One is the question I asked yesterday,
6 which is how does the discussion of deltas apply to
7 new drugs to treat resistant infections? I was
8 promised the answer today.

9 Well, is the typical model a
10 noninferiority study, comparing a new agent against a
11 drug of another class that still does work; because
12 for most pathogens there is still something that -- I
13 mean, we are -- I mean, is that the way this is
14 typically viewed?

15 In such case, of course, widening the
16 delta is an option, or is the typical way this is
17 approached a superiority study comparing against -- I
18 mean, I'm not sure how that would be done. But if
19 somebody could just -- You know, how does the delta
20 discussion of yesterday apply to what we are about
21 today?

22 My second question is -- I think it was
23 Dr. Shlaes alluded to the requirements of clinical
24 trials making it difficult to accrue patients with
25 resistant infections in those trials.

1 I've heard that a lot and don't doubt it,
2 but I wonder if he or someone could elaborate on just
3 what are those requirements that make it so hard to
4 find resistant infections? You know, there also might
5 be an opportunity to make some changes.

6 So those two questions.

7 CHAIRMAN RELLER: We have multiple hands
8 up, but first those who wish to provide answers
9 specifically for Dr. Bell. Dr. Goldberger?

10 DR. GOLDBERGER: I'll answer the first
11 question, or try to. I'll leave it to Dr. Shlaes to
12 attempt to answer the second question. He can think
13 while I'm trying to talk here.

14 No, you raise a very legitimate question,
15 and I think that in the past, certainly, the
16 development of a drug that would include a resistance
17 claim might be subsumed into the overall development
18 of the drug.

19 That is to say, to get a claim for PRSP,
20 say, in the setting of community acquired pneumonia,
21 you would have the data in community acquired
22 pneumonia to show from routine trials that the drug
23 was efficacious, data in susceptible pneumococci to
24 show that the drug was efficacious, and then some
25 additional number of resistant pneumococci.

1 Now in the case, for instance, of a
2 fluoroquinolone where the issue of -- you were sort of
3 out of the class. So you weren't worried so much
4 about penicillin resistance having an impact on
5 fluoroquinolone activity. Whether that's still the
6 case now could be worth some discussion, but in any
7 case we required a relatively small number of patients
8 with documented PRSP infection in community acquired
9 pneumonia to demonstrate that the drug had activity
10 there.

11 The reason we required any, in fact, since
12 you could argue, well, if their resistances are not
13 linked and you've got an overwhelming amount of
14 pneumococcal data, as we had with levofloxacin, for
15 instance, where there were literally a couple of
16 hundred cases, why have any cases?

17 Our thinking along those lines was that
18 there may be patient related factors that go along
19 with acquisition of PRSP that those patients may some
20 way either be sicker, either from their infection or
21 from underlying illness, and it would be desirable to
22 show that the drug would work in that setting.

23 So that's a model that we've sort of
24 followed. I think it's clear that, if we were to move
25 to a model where there would be more focus on

1 resistance and less focus on broad other claims, then
2 that approach would have to be modified, and that
3 there might not -- I don't know that we would be
4 really using a delta approach to the resistant data.

5 We might be using an approach similar to
6 what David Ross outlined earlier where there would,
7 for instance, be serious enterococcal or *Staph. aureus*
8 infections, a limited number of patients whose nature
9 of infection was extremely well characterized, i.e.,
10 endocarditis, vertebral osteomyelitis with sustained
11 bacteremia, etcetera, etcetera, that you demonstrated
12 that the drug was effective in those patients where
13 there would be little doubt that, absent effective
14 antimicrobial therapy, there would be any spontaneous
15 remission, and that that might then be supplemented by
16 some additional data in at least one other indication
17 to demonstrate the drug's role in treating patients
18 with serious infection.

19 The latter indication might, for instance,
20 be subject more to a routine delta approach. So
21 that's one part of our thinking. That's, in essence,
22 the reason for presenting this today, was to get some
23 discussion on those issues, because in fact it is an
24 approach that ultimately relies on a smaller clinical
25 experience than what we have generally done, and the

1 question is: Is this a reasonable way to proceed,
2 which is why we sort of brought it up for the purposes
3 of discussion.

4 So I hope I've spoken long enough for Dr.
5 Shlaes to have his answer ready.

6 CHAIRMAN RELLER: So we will have Dr.
7 Shlaes and Dr. Talbot, then Dr. Wittes and Dr. Archer.
8 David?

9 DR. SHLAES: Okay. So the reason that
10 it's so difficult to accrue patients with resistant
11 infections -- There are probably several reasons. One
12 big one is that one of the major exclusion criteria
13 for entering into clinical trials is prior -- recent
14 prior treatment with antibiotics, which is the very
15 population that tend to have the resistant organisms.

16 DR. BELL: How recent is recent?

17 DR. SHLAES: It varies with the trial, but
18 on the order of 72 hours, something like that.

19 The other issue is that many of these
20 patients tend to have multiple other medical problems,
21 and because these are investigational agents, we tend
22 to exclude patients with a lot of serious underlying
23 diseases, renal insufficiency, sometimes hepatic
24 insufficiency, etcetera.

25 So that when I had this slide in this set

1 which said that, you know, clinical trials are not
2 real life, I think if you want to capture patients
3 with resistant pathogens, we have to have an approach
4 that more reflects real life somehow.

5 CHAIRMAN RELLER: Dr. Talbot?

6 DR. TALBOT: To comment on the question,
7 and also Dr. Ramirez's point about studying lots of
8 patients in a compassionate use program, we did try
9 that with Synercid.

10 So I agree with Dr. Goldberger's comments.

11 Let me cast them in a slightly different way. I
12 think one way to think about this is how you study a
13 drug when there is a comparator available is different
14 from how you have to study a drug when there is no
15 comparator available. That was the situation that was
16 faced with Synercid.

17 In the latter situation, the issue of
18 delta, at least against a resistant organism, becomes
19 irrelevant, because there is no comparator. It's
20 really a question of efficacy as opposed to
21 comparative efficacy.

22 So in that setting, what could you do? I
23 think you could do pretty much what Dr. Goldberger
24 alluded to, which is: If you build a whole story
25 about the drug's efficacy based on *in vitro*

1 susceptibility, *in vivo* animal model data, PK/PD
2 relationships, activity against susceptible pathogens
3 and clinical efficacy in other indications, then if
4 you can also show that in a subset of patients with
5 clearly defined infection due to a resistant organism
6 that you have efficacy, I think that that should be
7 sufficient for an approval for that indication. That
8 is a pathogen specific or pathogen driven indication.

9 CHAIRMAN RELLER: While it's fresh in our
10 memories, the patient that Dr. Rice alluded to, I
11 think, is a good example of how these exclusions would
12 make it impossible to study the very patients for whom
13 the new drugs are necessary.

14 By definition, other antimicrobial drugs
15 within 72 hours that are irrelevant to the resistance
16 issue at hand are underlying factors, risk factors.
17 This seems to be a rich area for moderation of entry
18 criteria for treatment of resistance.

19 Dr. Wittes?

20 DR. WITTES: Thanks. I actually have four
21 comments, and I know I am infectious disease
22 challenged, but I'll try my best.

23 The first thing actually relates to the
24 number issue and the 72 hours. I've been trying all
25 day to figure out why there can't be large enough

1 numbers. It didn't make any sense. Given the sample
2 sizes you have put on the board, the slides, of how
3 many people there are in this burgeoning problem and
4 so forth, it didn't make any sense to me that there
5 are no numbers.

6 If what you are doing is designing trials
7 that are so unlike the patients that you need to
8 treat, and you are excluding wide swaths of them, that
9 doesn't make sense to me.

10 So if we are talking out of the box, it
11 seems to me this is really put it back in the box.
12 Think about what your -- who the patients are that
13 need the treatment, and design the trials around them.

14 I mean, I must be missing something. Okay, good.

15 DR. HARDALO: Having been through this
16 with the VRE experience and on both sides, actually,
17 as an investigator and then later as a project
18 director for a pharmaceutical company, on the one
19 hand, when we were doing compassionate trials like the
20 Synercid trial, we were initially told that the
21 patient had to fail all reasonable appropriate options
22 in order to get enrolled in the Synercid trial, which
23 in general meant that you had to wait for the culture
24 to grow, wait for the organism to be identified, wait
25 for susceptibility testing to show what you could use,

1 try that, have them fail it with a minimum of 72 hours
2 worth of therapy.

3 Now the epidemiologic data on VRE suggests
4 that most of the mortality occurs within the first
5 week. You've just wasted that week trying out the
6 appropriate options.

7 Okay. Second would be randomize a patient
8 to a controlled trial in which you are looking at a
9 selected comparator, realizing that your VRE is multi-
10 drug resistant, and no one agrees what's the best
11 standard of care.

12 In that particular case, if there's
13 alternative options in a compassionate use trial for
14 linezolid or Synercid, you won't get patients enrolled
15 in your trial, because they can get the other drugs
16 that they believe may have some efficacy easier.

17 So it becomes much more difficult when you
18 are dealing with sick patients who have high mortality
19 to force somebody into an investigational trial. Even
20 though it may be academically more rigorous,
21 scientifically more robust, there are significant
22 challenges.

23 Four thousand isolates may be very
24 different than 4,000 patient cases that are eligible
25 for a clinical trial. So that's where the

1 surveillance data could be extremely useful in telling
2 us, indeed, how many patients are really out there,
3 how many patients really could consent to a clinical
4 trial and be expected to survive longer than a week so
5 that you could get any kind of assessment of efficacy.

6 CHAIRMAN RELLER: Dr. Wittes, you had four
7 comments.

8 DR. WITTES: All right. The second
9 actually, I do have to say something about the
10 observational data, because I, too -- I want to echo
11 the concerns of those people who have expressed
12 concern about it.

13 I think that to do an observational study
14 of the type that we are talking about where it's been
15 on the table is very expensive, very time consuming,
16 very prone to bias, and really hard to interpret.

17 I was involved in -- When I was at NHLBI,
18 coming to the FDA to the Blood Advisory Committee
19 asking them -- We at NHLBI were asking the FDA whether
20 we could do the observational study of alpha 1
21 antitrypsin replacement therapy, and our argument was
22 sort of all the arguments I've heard around the table,
23 and we won the argument. We were allowed to do it
24 instead of a randomized trial. Fifteen years later
25 nobody knows whether it works.

1 So I just -- From my own experience as
2 well as lots of letters that we read, I just hope that
3 that's not the route that will be taken.

4 The other issue actually has to do with --
5 Oh, short issue and then the bigger one. The cost of
6 trials: The 30,000 sounds like a lot, and I don't
7 know whether there's some marginal cost or not a
8 marginal cost. But it seems to me that we spend a lot
9 of money in trials that's really unnecessary and there
10 is a kind of overcollection of -- overprecision that
11 we do that is extremely costly and that we should look
12 at and question.

13 Increasing sample size can be much cheaper
14 than increasing complexity and the rigidity of certain
15 kinds of ways in which we validate data. So this is
16 an appeal for a little less validity and bigger sample
17 sizes and less costly -- saving money at the margins.

18 Finally, I think that it seems to me that
19 there is a real interweaving of biocreep of this
20 delta, of the availability of drugs, and what should
21 be sitting on the shelf in case a new resistance
22 arises.

23 Dr. Tally talked about that people would
24 use -- docs would use the best drug, because --
25 There's no danger of biocreep, because people use

1 what's best. I think the issue, as far as I can --
2 seems to me, that if you are talking about having a
3 large delta, a drug coming on the market with a large
4 delta, and the word noninferiority attached to it, how
5 in the world can somebody know -- a practicing
6 clinician know what's best?

7 I mean, I think that was the point Erica
8 made yesterday, that if the data aren't there to say
9 what's best, how do you know what's best? So it seems
10 to me that the structure of large deltas, of course,
11 have the potential of leading to this biocreep.

12 On the other hand, if we are more careful
13 about our language and don't claim that things are
14 equivalent when they are not or when the data don't
15 really support that, or not inferior when the data
16 don't really support that, and admit to the kinds of -
17 - If a drug will be on the market with a larger delta,
18 admit that and have that as well known so that we are
19 not -- Separate the trial and the definition of the
20 delta in the trial from the way in which it's
21 described in literature and label and in practice.

22 CHAIRMAN RELLER: Dr. Ramirez.

23 DR. RAMIREZ: I am going to ask a
24 question. We discussed yesterday biocreep and delta.
25 We'll treat usually critically ill patients infected

1 with multi-resistant organisms that without treatment
2 80-90 percent is going to die. There is not too much
3 room for biocreep.

4 If you have a drug that works, as soon as
5 you drop one or two times a delta, the patient is
6 going to die. I think that -- I don't know if this is
7 thinking appropriately to adding delta or biocreep,
8 because these patients are -- I mean, sometimes there
9 is no option.

10 I mean, in nosocomial pneumonia we
11 discussed 50 percent mortality. In these two cases
12 there were presented today, I mean the mortality is
13 close to 100 percent.

14 CHAIRMAN RELLER: Dr. Ross.

15 DR. ROSS: I think you are raising a very
16 germane question, one that is very difficult to
17 answer. One of the difficulties comes from the fact
18 that our estimates -- this was discussed yesterday --
19 of treatment effect differ depending on the situation.

20 If we are talking about *Staph. aureus*
21 endocarditis, we think that appropriate therapy leads
22 to a very large treatment effect. Not everyone will
23 get better, but certainly more people will get better
24 -- Many more people will get better than if you don't
25 treat.

1 What is the magnitude of the treatment
2 effect if you have a patient with a single blood
3 culture that is positive for vancomycin resistant
4 enterococci? Let me just say very quickly before I
5 fall into the ice that I do agree that VRE is a real
6 pathogen and leads to bad outcomes, but the question
7 is, in a given patient, if the patient gets better
8 with treatment and you are not quite sure of the
9 significance of a finding for that given patient, what
10 is the exact treatment effect? And it's very
11 difficult to say.

12 So I think that may be one area where
13 biocreep -- and I might not even use the word
14 biocreep, but in terms of trying to figure out which
15 drug is best, that it can be very difficult.

16 CHAIRMAN RELLER: In the example that Dr.
17 Ramirez gave, the high probability of death is one
18 issue. The magnitude of what the infection is
19 contributing there is, I think, a legitimate margin
20 for discussion.

21 I recall Dr. Rice's -- the patient he
22 presented, and some of the discussions that have taken
23 place about using data from compassionate use. When
24 quinupristin/dalfopristin was discussed at this
25 Committee, my recollection is in the order of 3,000-

1 plus patients in the compassionate use category, and
2 it was exceedingly difficult to assess what
3 information regarding efficacy could come from those
4 patients.

5 What was seized on is that smaller group
6 of patients who had persistent bacteremia with
7 vancomycin resistant enterococci where this compound
8 was shown to be associated with cessation of
9 bacteremia -- that's my recollection.

10 So you had large numbers where it was very
11 difficult to tell what was going on and what the
12 contribution of agent was, and a relatively smaller
13 number of patients with a, if you will, surrogate
14 endpoint that was a part of, perhaps a large part, of
15 the decision to approve for this resistant organism.

16 I'd like to couple those recollections
17 with the constraints that Dr. Shlaes mentioned in
18 enrollment of patients with resistant organisms for
19 exclusion criteria. Would it not be possibly far
20 better to have a trial or inclusion design that
21 captured those patients who are now escaping the
22 trials, because they are getting a compound on a
23 compassionate use, and having them captured in a more
24 structured trial that would focus on issues that might
25 lend themselves more readily to interpretation of

1 efficacy of the compound as opposed to mortality, bad
2 outcome related to the underlying diseases that are
3 the very place that these organisms are found?

4 Dr. Talbot?

5 DR. TALBOT: Dr. Reller, I think that gets
6 directly to where I wanted to go, and it deals with a
7 number of the issues that have come up, beginning with
8 the one with why can't you get patients.

9 I think part of the answer there is that,
10 when you are studying an antibiotic, as with other
11 compounds, the first thing you want to understand is
12 does your treatment have efficacy in the target
13 population with the target pathogens.

14 The only way you can do that sometimes is
15 by -- and most times is by eliminating a variety of
16 factors which can confound your interpretation of
17 response. So that's why you have exclusion criteria
18 in clinical trials.

19 Now that tells you if you have efficacy,
20 but the problem with resistant pathogens is that they
21 tend to occur in patients who have all these
22 confounding factors, and that's exactly what we ran
23 into with Synercid, and other people have had the same
24 problem.

25 So you have an inherent sort of

1 contradictory situation, as you would like to study
2 them; but if you study them, then you have all these
3 other things that contribute to mortality and so
4 forth, and what have you got?

5 So that raises this question. We have
6 talked about surrogate markers, and I'm not sure that
7 we have actually been precise enough in what we mean.

8 A surrogate has sort of a bad connotation, in a way,
9 but as Dr. McCracken expressed yesterday, most of what
10 an ID physician, I think, might expect with an
11 antibiotic is, in fact, to eradicate the pathogen.

12 If the pathogen goes away, that in fact is
13 the outcome you are looking for, and sometimes it's
14 the only one you can assess, because of these
15 confounding factors.

16 So I think I'd like to propose that there
17 be some reconsideration of what's a surrogate marker
18 in this field as opposed to what is a valid endpoint
19 that is clinically meaningful. I think that there are
20 probably some clinicians who would suggest that
21 eradication of the bug is not just a surrogate marker.

22 It's actually the clinically meaningful endpoint that
23 you want, and that is, in particular, true when you
24 are dealing with multi-drug resistant pathogens for
25 which there is no comparator agent available to study

1 in clinical trials.

2 So if there could be some discussion about
3 surrogate markers and what they are or aren't, that
4 might advance the discussion.

5 CHAIRMAN RELLER: Dr. Rice's patient again
6 now illustrated that. So maybe we get into something
7 of semantics issue with surrogate markers with what in
8 many cases might be objective endpoints that is the
9 best that we could hope to achieve in the patient
10 presented. It would be a cessation of VRE bacteremia.

11 Dr. Shlaes, you wanted to say something?
12 Dr. Hardalo?

13 DR. HARDALO: Yes. I think actually, just
14 as Dr. Ramirez correctly identified the dichotomy of
15 the options that are available for treatment, Dr.
16 Talbot has identified the dichotomy of the endpoints
17 that we need to consider.

18 That is, there are certain types of
19 infections for which these confounding factors are
20 present and cloud the assessment of efficacy and,
21 therefore, surrogate markers are appropriate as a
22 primary endpoint. Then there are certain infections
23 for which you can assess outcomes more clearly,
24 because there are less confounding factors or there is
25 a better assessment of efficacy.

1 I believe that we have seen two extremes
2 of precedence, one a dossier as small as 63 patients
3 supporting efficacy in refractory infections, up to a
4 dossier as large as 3,000 patients which has been said
5 that it is inadequate to support efficacy and that it
6 is confusing and it's unclear.

7 On the one hand, we are saying
8 observational prospective trials are fraught with
9 difficulty but, on the other hand, we are saying
10 historical data should never be used again.

11 I think, for clarity's sake, we would
12 appreciate any guidance from the necessary
13 stakeholders as to what is it that you want for
14 resistant pathogens, in what setting?

15 CHAIRMAN RELLER: Dr. Shlaes?

16 DR. SHLAES: Actually, just to follow up
17 on this a little bit, I think the suggestion that Dr.
18 Goldberger made at the outset, which was to focus on a
19 very small number of very well characterized patients,
20 and I'd like to limit this necessarily to endocarditis
21 and osteomyelitis with persistent bacteria -- But
22 anyway, a small number of well characterized patients
23 in combination with a package of data, including
24 activity against susceptible pathogens in human
25 trials, including PK/PD supportive data, including

1 supportive *in vitro* data and supportive animal model
2 data.

3 I think, if you take that as a package and
4 you are willing to approve based on that, you would
5 find a warm reception from the industry. So I think
6 that that is a reasonable way forward.

7 CHAIRMAN RELER: If one, for example,
8 took the *Acineto baumannii* resistant organism and one
9 had patients with meningitis with that organism,
10 pneumonia with bacteremia, infective endocarditis, and
11 showed clearing of the organism, this would seem to me
12 to be so much more powerful than trying to make sense
13 out of an intubated patient with hospital acquired
14 pneumonia looking at endotracheal suction specimens
15 with *baumanni* without these other objective
16 eradication endpoints where trying to make sense in a
17 ventilated patient of *baumanni* in an ETS specimen
18 without bacteremia, etcetera, I think is virtually
19 impossible. Dr. Rice, any comment?

20 DR. RICE: I agree. I think it's just a
21 matter of being able to identify enough of those
22 patients to be able to come to conclusions. But
23 ventilator associated pneumonia studies are fraught
24 with problems, as we all know, and although actually
25 continuing to look at suction specimens probably would

1 have the benefit of at least telling you whether the
2 *Acinetobacter* will become resistant to the new
3 antibiotic as well, since that's where you are most
4 likely to find it.

5 CHAIRMAN RELLER: Dr. Archer?

6 DR. ARCHER: Thank you. I wanted to ask
7 Dr. Rice for the IDSA standpoint: I initially wanted
8 to ask if you had any specific plans whether IDSA
9 could help move along the problem of drug discovery,
10 and I was wondering in specific is there's any thought
11 given to setting up a clinical trials network within
12 the IDSA for identifying patients with these specific
13 problems that might be more easily entered into
14 clinical trials, identified specifically by ID docs.
15 Maybe Don Goldmann would want to comment on that as
16 well.

17 DR. GOLDMANN: I guess all I can say is
18 the IDSA is here and more than willing to participate
19 in a larger group that could come to those sorts of --
20 or could develop those sorts of protocols. But right
21 now, to my knowledge, there isn't anything specific
22 that is planned. We are hoping that maybe something
23 like that would come out of this whole process.

24 CHAIRMAN RELLER: Dr. Rotstein?

25 DR. ROTSTEIN: Of course, the idea of the

1 BAMSG, Bacteriology and Mycology Study Group, is to
2 develop a network of intensive care units in hospitals
3 that can identify high risk patients that are suitable
4 for clinical trials. But I have to caution that the
5 very nature of the collaborative is that it tends to
6 focus on intensive care patients who have a lot of the
7 problems that have already been alluded to.

8 I've been giving some thought to other
9 types of patients who may get, however transiently,
10 into ICUs and, therefore, could be considered high
11 risk, such as cardiovascular surgical patients who
12 develop infections in what I might call a more clean
13 environment in terms of confounders, and they might be
14 a good population in which to study drugs, especially
15 on the Gram positive side, in that they develop wound
16 infections, mediastinitis, bacteremia, endocarditis,
17 other types of infections that are more easily
18 identified in terms of endpoints.

19 CHAIRMAN RELLER: Dr. Ramirez?

20 DR. RAMIREZ: Yes. I would like to make a
21 comment, that it was already explained why it is
22 difficult to find resistant organisms in clinical
23 trials, because we don't know the patients with risk
24 factors for resistant organism.

25 At the same time, it is not as simple as,

1 well, let's go ahead and change the trial and enroll
2 the patients, because as enroll the patient with
3 multi-resistant -- because when we are doing a
4 clinical trial, usually we are trying to figure out
5 that the patient is going to have an outcome related
6 to the infection, and the antibiotic is going to
7 change the outcome, and most of the time we want to
8 believe that then the outcome was related mostly to
9 this organism causing the infection.

10 When you get into these patients with
11 multiple medical problems and multiple conditions, the
12 outcome is less and less related to the infection.
13 Since we tend to have an agreement that the only way
14 to enroll these patients in a clinical trial is to
15 really capture is to go to the bone marrow transplant
16 unit and enroll the patient that we don't want to go
17 there, because these patients are going to die of
18 plenty of other problems outside of the VRE or the
19 *pseudomonas*.

20 The more we are willing to accept a
21 patient with confounding factors, the more we have to
22 be willing to accept that the final clinical outcome
23 is going to be irrelevant, and we definitely have to
24 get surrogate markers for these patients; because the
25 mortality is going to be so high that trying to figure

1 out -- attribute the mortality to the infection, you
2 need to have a tremendous clinical trial number of
3 patients, and then we are going to go back to the same
4 problem, that we cannot do the trial.

5 I think it is a good idea, the concept of
6 enrolling patients where we know where the resistant
7 organisms are located, but we have to forget about a
8 clinical outcome -- a valid outcome, and we need to
9 look at surrogate markers.

10 CHAIRMAN RELLER: Dr. Miller, Shlaes,
11 Goldberger and Chesney. Dr. Miller.

12 DR. MILLER: Going back to part of what
13 we've been trying to brainstorm on, which is how to
14 stimulate new drug development, I think we need to
15 think about new partners. It's very disturbing the
16 paucity of drugs in the pipeline, and we've heard from
17 Dr. Shlaes that large pharma, you know, is rapidly
18 moving away from anti-infective development.

19 So following that line of thought, it's
20 really the small pharma and biotechnology companies as
21 well as the Federal government, and specifically the
22 NIAID, I think, that will have to take up the
23 challenge.

24 This has become much clearer to my
25 institute in the recent times because of the challenge

1 from bioterrorism agents. So that with the influx of
2 new monies to address coming up with new vaccines and
3 new drugs for those agents, I think we are going to
4 have some opportunities to also broaden the horizon as
5 far as other products for resistant infections as
6 well.

7 Also, in line with that, I think it's
8 really not compounds that are out already, but there
9 is a tremendous number of compounds and chemicals that
10 have been abandoned by large pharma historically,
11 because they are not the blockbuster drugs, they are
12 not the broad spectrum drugs, that maybe we can think
13 about how to go back to those, license them to the
14 smaller companies, have government support throughout
15 the development process, including conducting clinical
16 trials, and maybe utilizing some of the ideas, the
17 excellent ideas, that FDA has brought to the table to
18 incentivize getting those products developed.

19 CHAIRMAN RELLER: Dr. Shlaes.

20 DR. SHLAES: Okay. So, actually, I have
21 two comments. One is I need to ask Dr. Goldberger
22 just for a clarification on his proposal for the small
23 number of well characterized patients. I'm assuming
24 in that case we are using historical controls, and we
25 are not talking about in the context of a comparative

1 study.

2 Before you jump to that, let me just
3 respond to Marissa a little bit. Actually, I think
4 most companies have already tried that, in the sense
5 of doing retrospective looks at their prior
6 collections to try and identify compounds that have
7 been discarded.

8 Certainly, Schering did it. We did it. A
9 number of companies have done it. Cubist actually
10 licensed a compound -- a discarded compound from
11 Lilly, and so did a company called Intermune,
12 oritavancin.

13 So I think most companies have already
14 done that, to go back through their prior collection
15 to try and identify reasonable candidates to bring
16 forward in today's environment of resistance. So I
17 think what you see in the pipeline now is what
18 companies were able to bring forward from those old
19 collections, which is not much.

20 DR. GOLDBERGER: To answer your question,
21 yes. In essence, the rationale for having highly
22 characterized patients whose outcome, absent affective
23 antimicrobial therapy, would probably be, in many
24 cases, death or at least serious morbidity would be
25 that there would not have to be in that portion of the

1 development program a randomized component, although
2 the expectation would be that there would be some
3 other clinical trial or clinical trials to expand the
4 understanding of the drug. But that's right. That
5 would probably not be a randomized study.

6 CHAIRMAN RELLER: Dr. Chesney and then Dr.
7 Tally.

8 DR. GOLDBERGER: I didn't get my turn.

9 CHAIRMAN RELLER: Mark, please finish.
10 Then Dr. Chesney.

11 DR. GOLDBERGER: I didn't want to take
12 advantage of Dr. Shlaes' remark if he was going to go
13 on a little longer.

14 First, I wanted to address something that
15 several people have said, including Dr. Ramirez, and
16 that was this issue, you know, about concerns about
17 really understanding the clinical outcome, what it
18 means as you enroll a large number of severely ill
19 patients.

20 I guess one of the reasons that we've
21 talked about this issue of including a small number of
22 very well characterized patients is, at least from my
23 own experience, physicians are very Bayesian, and they
24 look at new experience based upon what they've seen
25 already.

1 Looking at a large group of patients with
2 a variety of complex illnesses would look one way
3 without some other good data, but if you had even a
4 couple of dozen patients with one of the organisms in
5 question where you could clearly show therapeutic
6 efficacy, frankly, I think one would look at this
7 larger number of patients in a very different light.
8 That's just sort of my own take on this approach.

9 I had a couple of questions. One is for
10 Dr. Tally, and it's kind of along the model that you
11 will sometimes see when they are asking you to buy a
12 car, they will say "you be the sales manager; you
13 know, you make an offer." No reasonable offer
14 refused.

15 You had a slide that was development of
16 drugs for resistant pathogens, and the issue is FDA
17 clearly indicate the number of patients with resistant
18 infections required in efficacy trials, an absolute
19 number, a percentage of the dominant pathogen Ed,
20 MRSA, MSSA, VRE, etcetera.

21 So my question to you: How much is
22 enough? What's your actual take on that? You are a
23 very reasonable guy. So I think you will give a
24 pretty straightforward answer.

25 DR. TALLY: We asked that question when we

1 looked at piperacillin tazobactam, because clearly the
2 mandate for a combination drug had to show -- that FDA
3 had, combination drugs have to show an advantage over
4 the single drug, i.e., piperacillin.

5 So that was a very clear endpoint. This
6 is from memory now. I think it was about 20 cases
7 with a resistant organism in each system we studied,
8 which would represent about ten to 15 percent of the
9 isolates that were evaluable.

10 With that, we were able to get approval
11 for, I think, four or five pathogens in two or three
12 different areas. That was a guideline that we had
13 when we went into the studies. So we could go and
14 size our studies to be able to do that and bring in a
15 large clinical program in a reasonable time period.

16 In that, somebody had asked me about, you
17 know, failing -- not meeting preset endpoints, and
18 indeed two of the eight studies in that study, we
19 didn't meet endpoints. We had to stop on them.
20 Another one, we actually failed two comparative
21 agents.

22 So I think you can define that. What we
23 don't have as the definition here is how many -- In
24 skin and soft tissue where you are going to have a
25 percentage of MRSA and MSSA -- and I can talk off hard

1 data now -- Where you have 60 percent of your patients
2 out of 200 bacteriologically evaluable patients have
3 staph, and you have about 15 percent of them
4 resistance, is that enough?

5 I can say this to you, because we've
6 already asked you that question, and you people have
7 said yes. So if we can get that clarity in different
8 areas, I think that would be helpful to the industry,
9 in answering that. That's why I put it up, because I
10 think we are almost there on that one. So I think we
11 can now start to put that down on paper.

12 DR. GOLDBERGER: I have one question for
13 Dr. Rice. In the case that you showed which
14 ultimately, I guess, either the final therapy or the
15 final therapy in reserve was quinupristin/dalfopristin
16 for his enterococci, what's your view -- and just
17 using this sort of as a model, your feeling about the
18 fact that, if a product like that, which in this case
19 is the last produce that is currently available that
20 might treat his infection, is out there and widely
21 used for a wide variety of infections where there are
22 many effective alternates, the likelihood that it will
23 be useful in a reserve for patients like this probably
24 goes down substantially. What's your perspective
25 about that?

1 DR. RICE: I think that's a real risk. I
2 mean, I think that we are seeing right now, John Quinn
3 from Chicago believes that as many as ten percent of
4 his people he treats with linezolid become colonized
5 with a linezolid resistant *Enterococcus faecium*, as
6 one description of a linezolid resistant *Staph.*
7 *aureus*.

8 In the Virges study, 21 percent of all the
9 patients who had *Enterococcus faecium* had a reduced
10 susceptibility to quinupristin/dalfopristin, despite
11 the fact that there wasn't even exposure.

12 So I think that that's a problem, but I
13 think that's a problem we have to deal with. I mean,
14 I guess I'm not a big fan of worrying about how
15 something is going to be marketed when it's truly
16 necessary. I mean, I would rather have it out there
17 and then try to deal with it as best we can and try to
18 set up guidelines for how antibiotics should be used
19 than to depress the development in the first place
20 simply because we are worried about the potential for
21 resistance.

22 DR. GOLDBERGER: Do you have a program,
23 for instance, in your institution about, you know,
24 that in any way provides guidelines or limits
25 availability of products like that?

1 DR. RICE: Well, certainly, either
2 quinupristin/dalfopristin or linezolid needs to be
3 approved by the infectious disease consultation
4 service before it is used. Part of that reason is
5 because of expense, but those are -- that's the level
6 of restriction that we have, and actually I have a
7 very active program for educating house staff and
8 attending physicians on issues of resistance, and that
9 has helped us to reduce our use of antimicrobials.

10 CHAIRMAN RELLER: Dr. Chesney had her hand
11 up earlier, and then Dr. Goldmann and -- Bell and
12 Goldmann.

13 DR. CHESNEY: I just wanted to remind all
14 of us that we've switched to the highly resistant Gram
15 negatives, which is very, very important. We have all
16 been faced with those kinds of situations. But, you
17 know, we need to remember that the millions and
18 millions of drugs that are being used are being used
19 in the community, and it's much easier for me to get a
20 handle on how to do some of these studies and get some
21 of the numbers in a captive, hospitalized population.

22 But I think we also need to be reminded that, not the
23 bigger problem, but an equal problem is that of
24 controlling it in the community and getting the
25 patients in the community.

1 CHAIRMAN RELLER: We'll hear from Dr.
2 Bell, then Dr. Goldmann, Dr. Ramirez, and then we will
3 have lunch.

4 DR. TALLY: You had me in that list, and I
5 didn't get a chance. Early on, just after Dr.
6 Chesney. I'm going to interrupt.

7 CHAIRMAN RELLER: Oh. So thanks for
8 reminding me. You've been --

9 DR. TALLY: Because I was answering Mark's
10 questions.

11 CHAIRMAN RELLER: We have a new tally, and
12 Tally is at the top. Please.

13 DR. TALLY: I'll direct it to Dr. Wittes.
14 In studying these sick patients in different
15 syndromes and infectious disease, we don't have the
16 advantage of massive numbers to be able to do a simple
17 study to get the numbers. So we have to -- That's why
18 we are thinking of different ways of studying these
19 very sick patients.

20 About the cost of doing these studies, the
21 high cost is driven by a number of different factors,
22 not the least of which is these diseases have high
23 mortalities, and the ethics committees are demanding
24 more and more safety data so we protect the patient.

25 We have to get more and more data so we

1 can, in collaboration with regulatory agencies, come
2 to a reason why the drug did or did not work. So it
3 takes a lot of pharmacological data with it also.

4 So because of the importance in the health
5 need of getting drugs to treat fatal resistant
6 infections, that's what is driving the cost. If I was
7 doing an outpatient study for bronchitis, the cost is
8 way down, but this is a different patient population.

9 About the use of controls, historical
10 controls, I shudder with historical controls, because
11 of three factors: The pathogens have changed; the
12 patients have changed with new diseases; and medicine
13 treating them has changed.

14 So you are fraught with really making bad
15 mistakes using historical controls. I don't think we
16 should go forward, if you have a chance to do a
17 controlled study, to do it somewhere. That's one of
18 the things I struggle with, because you are more
19 likely using historical controls to come up with the
20 wrong answer because of the changes in pathogen,
21 patient and practice.

22 CHAIRMAN RELER: Thank you. Dr. Bell.

23 DR. BELL: I wanted to pick up on this
24 issue of holding antibiotics in reserve. It's come up
25 a couple of times. I think there are two kinds of

1 antibiotics that could be held in reserve as being
2 "the last resort." One of them is old antibiotics
3 that have -- like vancomycin that have been out for a
4 long time.

5 They are off patent and are gradually
6 losing their effectiveness, and in that situation
7 where, you know, holding them in reserve really, I
8 would doubt, would be a disincentive to new drug
9 development. It really seems like it makes a lot of
10 good sense.

11 It's quite a different matter to urge
12 companies to produce a new drug and say, okay, now we
13 are going to hold that one in reserve. I don't
14 necessarily personally view that as a good idea, if it
15 really is a better drug for any number of reasons, and
16 if it really -- that such a policy is a disincentive
17 to new drug development. That's much more difficult
18 issue to navigate through.

19 When this came up a couple of weeks ago,
20 actually, at Institute of Medicine forum meeting, I
21 posed the question, and biotech people weren't well
22 represented there, but some of the larger
23 pharmaceuticals. I said, well, suppose -- I mean, in
24 essence, what I said was suppose there was no policy
25 of holding in reserve new drugs, and we just said,

1 okay, you know, use it. Would that be enough
2 financial incentive for the pharmaceutical companies
3 to reliably keep the pipeline flowing again?

4 The answer I got -- and again, biotech
5 wasn't there. The answer I think I got was no. The
6 answer I think I got was there just aren't enough new
7 ideas or new classes. It's not that simple. It's not
8 a matter anymore -- What I heard a couple of years
9 ago was, if you just relax on the usage controls, that
10 the pipeline will open again.

11 So I just wanted to mention that. I mean,
12 the idea is certainly on the table, if anybody wants
13 to pick that up. Dr. Tally had mentioned that, you
14 know, this still is a major disincentive to new drug
15 development.

16 CHAIRMAN RELLER: Dr. Goldmann. Dr.
17 Tally, you want to respond to that?

18 DR. TALLY: In response to the question, I
19 think -- and having been in big pharma and looking at
20 the reasons for bringing things forward, it's a
21 multifactorial decision on whether or not a company is
22 going to stay in antibacterials. I think David
23 identified several of them.

24 It's getting harder and harder for an
25 antibiotic that has a final sales of \$300 million to

1 get into the pipeline of a big pharmaceutical company.

2 With all the merging going on, the bar keeps getting
3 higher and higher, because these are for treatment of
4 acute infections, a short period of time, and the
5 economics that David brought out -- This is not
6 statins that you take for the rest of your life.

7 So that's a problem. Second, pipelines
8 have not been producing molecules to bring forward.
9 They have been producing "me, too" molecules which
10 people are saying we -- You know, it's very hard to
11 bring a "me, too" molecule forward, and it is very
12 hard; because all paradigms have not worked, and you
13 got to have new paradigms work.

14 Speaking now for biotech, if you take away
15 the constant specter -- and I get pounded on this all
16 the time. If you take away the specter that
17 immediately the new drug is going to be restricted but
18 would take its place, it would take some of the
19 pressure off raising money for biotech to support
20 innovative research where a lot of it is going on.

21 It would be a partial help to the biotech
22 industry, because as soon -- The question always comes
23 up, is asked, well, you've got a drug that will treat
24 resistant infection. It's going to be restricted, so
25 it's not going to have a market.

1 So if you take that away and you go back
2 to the drug has to meet criteria to find its place in
3 treatment based on its characteristics, then for
4 biotech it would be easier to raise it, and a \$1-\$300
5 million drug is an important drug for biotech
6 companies.

7 So that's in the future what I think you
8 will see, if the current trend continues, is you will
9 see specialty pharmaceutical companies growing up in
10 areas where the big pharmaceutical companies, for
11 complex financial reasons, have moved out of a
12 particular area.

13 CHAIRMAN RELLER: Dr. Goldmann.

14 DR. GOLDMANN: Well, I'm not exactly sure
15 where I'm going with this, but sometimes I think we
16 can learn something from a root cause analysis of case
17 studies. We recently had a young woman with
18 Burkholderia cepacia bacteremic pneumonia with
19 underlying cystic fibrosis.

20 After thoroughly studying this strain and
21 sending it to Toronto and to Columbia where every
22 conceivable synergy test was done, it was determined
23 that no drug or no combination of drugs were going to
24 be of any use. However, there was a drug that was of
25 conceivable use that is not generally tested in

1 microlabs, and that's BPI made by Zoma, which has not
2 only activity against that pathogen but permeabilizes
3 it so that other drugs that normally would be
4 ineffective would be effective.

5 Our efforts to get that drug for any kind
6 of use, compassionate or otherwise, were to no avail.

7 I think it would be useful studying what the barriers
8 were to the availability of that drug for such an
9 indication where it clearly has some potential.

10 Looking at the history of the compound, I
11 think I'm correct in stating that historical controls
12 were used to power an outcome study for
13 meningococemia, historical data showing very clear
14 support of evidence that this would be highly
15 efficacious. And of course, meningococemia, the
16 outcomes are very well delineated. So this seemed
17 reasonable. The pathogen hasn't changed all that
18 much.

19 Yet when the outcome study was done, the
20 primary outcome was not found to be statistically
21 significant, and so the company, therefore, I think,
22 is understandably cautious about the deployment of
23 that drug.

24 So it might be worth studying what would
25 have made a different outcome for my 23-year-old

1 patient who died of Burkholderia bacteremia for which
2 there was a potentially useful agent.

3 In thinking of cystic fibrosis and all
4 this discussion about surrogate endpoints, I think it
5 would be very interesting to look at the clinical
6 trials that have been done in cystic fibrosis.
7 Certainly, there's no more difficult a population to
8 demonstrate an impact on a primary outcome than that
9 group of patients, given the fact that eradication of
10 the organism is almost never the issue, in the lung at
11 any rate.

12 I know of no agent that reliably
13 eradicates *Pseudomonas* from the lung of a cystic
14 fibrosis patient, and yet very good clinical trials
15 have been mounted that have actually changed practice,
16 including the use of TOBI, NEBS which demonstrated an
17 impact on quality of life, on hospitalization, on
18 density of bacteria in the sputum, on inflammatory
19 markers, on FEV₁, other surrogate endpoints.

20 So this might be a good population, not
21 that it applies to patients in an ICU necessarily or a
22 patient with endocarditis, but it's a community that's
23 really thought through the issue of surrogate markers
24 for its clinical trials.

25 I should also point out that I have been

1 very instrumental in keeping drugs in reserve for
2 cystic fibrosis patients for these many years,
3 including the quinolones as they came along and
4 others, and I'm not at all convinced that I've done a
5 single cystic fibrosis patient any favor by doing
6 that.

7 I don't think that we are any better off
8 in terms of the treatment of that disease or the
9 development of resistance than we would have been if
10 we had had an aggressive approach to using these
11 agents as they became available, using appropriate
12 practice guidelines and criteria.

13 So just some observations about a specific
14 disease that might teach us something that might be
15 applicable to other infections.

16 CHAIRMAN RELLER: Dr. Shlaes.

17 DR. SHLAES: Yes. I just want to put one
18 thing in perspective or try and put something in
19 perspective. I mean, I'm a big proponent of the idea
20 that you use it, you lose it is the rule of
21 antibiotics. But the fact is that all politics are
22 local, and there are clear exceptions to this, and
23 there are clear instances where by, in fact, spreading
24 your risks across multiple agents, you don't get
25 resistance.

1 A good example of that are the studies by
2 John Burke where they have in Salt Lake City a
3 computer based ordering system with immediate feedback
4 to physicians who are ordering antibiotics as to
5 appropriateness of therapy for a given infection and
6 hospital antibiogram. But they have a totally free
7 formulary, and nothing is restricted.

8 So whatever is on formulary, which is most
9 antibiotics, physicians can use. They show in very
10 long term studies now that they, in fact, have
11 decreased resistance rates and, compared to periods of
12 time where they had a restricted formulary versus this
13 open formulary with physician feedback, they have been
14 able to actually reduce costs.

15 So I don't think that restriction is
16 always the best way to go. In fact, my experience at
17 the Cleveland VA was that it doesn't work very well,
18 actually even in a single hospital setting.

19 CHAIRMAN RELLER: I would like to thank
20 everyone for the spirited discussion which will
21 continue after lunch, to Dr. Ramirez for holding his
22 question until after lunch. We will reconvene at ten
23 minutes of one. Thank you.

24 (Whereupon, the foregoing matter went off
25 the record at 12:11 p.m.)

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(12:56 p.m.)

CHAIRMAN RELLER: Dr. Schentag will begin the open public hearing.

DR. SCHENTAG: Speaking of rusting out, yes, I am from the Rust Belt. So, hopefully, that won't be perceived as my talk.

Thanks for the opportunity, Dr. Reller, members of the Committee. My privilege today to come and speak about something which is probably titled a bit too long, but you have to be inclusive, after all, and we are talking about development strategies here, and we are trying to both understand and, I think, potentially I'm going to ask that we consider labeling endpoints such as killing rates, resistance failure, dosing types of models, and actually I've got some evidence here that we may be able to think about labeling synergy as well from this kind of study. So I am going to show you both some data and talk about some concepts.

Yesterday, of course, we considered trial design conditions which, you know, might roughly be considered conditions to establish one antibiotic for all, and we are looking at large simple trial type designs.

1 Today I am going to deal with PK/PD trial
2 design issues, if our goal becomes one antibiotic for
3 each, which, of course, is a much more focused
4 approach, targeted more toward superiority.

5 Then I think I am going to point out --
6 and, hopefully, my data as well -- these two
7 approaches are not incompatible, although we certainly
8 take the point that's been made that sometimes you
9 want to use one or the other. But, certainly, they
10 work together well, I think, to answer the questions
11 of antibiotic efficacy for resistant microbes.

12 Now the first exercise is to sort of drone
13 through something which we think is contributory to
14 the resistance issue, and that is that there is a dose
15 translated AUIC or exposure relationship and time
16 relationship to the development of resistance as it is
17 clinically perceived.

18 What I mean by that is, if you just take a
19 large number of patients -- there's about 125 patients
20 in this series of clinical trial type of patients, by
21 the way. These data were aggregated from a number of
22 clinical trials -- and plot the probability that the
23 organism will remain susceptible versus time, they
24 separate out into a group that apparently did not
25 develop much resistance, and when you look at those,

1 the only thing distinguishing is that they have a
2 higher AUIC value of over 100, versus that do start to
3 develop stepwise increases in MIC and then reach the
4 resistance threshold, the points that are shown here
5 on this survival type plot, and those that started out
6 low, less than 100, developed this progressively as
7 you went along.

8 So time relationships and, most
9 importantly, the message that it doesn't matter what
10 drug you are dealing with here, because there was a
11 fairly large number of different drugs represented
12 here. Time and dose too low together lead to selected
13 resistance, and this is probably an expose, if you
14 will, on selected resistance.

15 Now it's actually fairly easy to do PK/PD
16 trials of antibiotics. It's not that hard to couple
17 these onto clinical trials, and that's what we have
18 been doing most of ours as over the years.
19 Antibiotics are good models for this, because we have
20 a relatively easy to define and study target.

21 You could almost look at this as a drug/
22 receptor relationship, and as you know, all
23 pharmacology thrives on the idea of having an easy to
24 quantitate and measure receptor, and the bacteria
25 should be treated that way, because the drug, after

1 all, affects it directly.

2 When you do that, you find that small
3 numbers of patients can give you very robust data that
4 you can easily analyze for differences between two
5 different doses even or two different drugs, if you've
6 got this kind of an endpoint.

7 They also have further power
8 statistically, if you work things through as time
9 considerations, because rates that things happen add
10 even more statistical power to these types of one-time
11 endpoints that sometimes we use when we just look at
12 cure.

13 Really, the nice thing about PK and PD is
14 the more range you have in your data overall, the
15 easier it is to establish break point and
16 correlations. So the fact that you get a thousandfold
17 range in the AUC just when you give a fixed dose
18 trial actually helps you pick at what point do you
19 start to see success as the values go higher.

20 So this is a very useful pharmacologic
21 type of technique, I think, that makes a lot of sense
22 if you develop antibiotics based on a directly
23 measured endpoint. Direct drug effect models and
24 other tools, of course, are the important
25 consideration.

1 Now resistance problems related to study
2 design: Well, from a study design perspective, PK/PD
3 can probably help us out of our noninferiority
4 complex. I think that's what I got out of yesterday,
5 is a noninferiority complex. People know I probably
6 came in with that, but anyway we have to go for
7 superiority, though.

8 You can't study resistance in the context
9 of a noninferiority trial, and I think that most
10 people said that today, at least in one form or
11 another. We really have to go for superiority only,
12 because if we define resistance as not responding to
13 the drug, we can't, unless we define superiority as
14 our endpoint, find a drug that will improve out
15 outcome. I mean, we just have no choice here.
16 Resistance forces superiority on us.

17 The first step in this with PK/PD designs
18 are really conditions where the clinical response and
19 the micro response are closely aligned. If I've
20 learned anything over the years from the folks that
21 see a lot of patients, that are on these committees,
22 they say bring us something where there is a link
23 between your "surrogate" and, you know, what we know
24 is a clinical response in patients.

25 So, yes, I agree, that's what we need.

1 The reason for that is it's easier to believe that
2 antibiotic killed the bacteria and cured the disease,
3 if you work in a disease where there's a link.
4 Resistance should provide those opportunities for us,
5 because resistance should equate to failure.

6 So what I'm going to do for a few minutes
7 now is to talk about a situation where clearly
8 resistance does link closely to failure, and you can
9 show differences between the various approaches, using
10 endpoints like bacterial killing. And eventually, of
11 course, if our populations get large enough, I think
12 we will do it with clinical cure alone as well.

13 MRSA provides this opportunity, because
14 MRSA is -- Most of you may know this. MRSA is
15 starting to fail vancomycin even when it's sensitive.

16 You know, it's not just the VISA strain anymore, but
17 anecdotal reports from all over the country of
18 patients that have sensitive organisms are not
19 responding to vanco. Their bacteremias aren't
20 clearing. Their pneumonias aren't clearing.

21 What we decided we would do is we would
22 start to aggregate those cases before it came to the
23 point where it's unethical to do so -- in other words,
24 where it was unethical to use vancomycin, and that's
25 the VRE situation. It's unethical to use vancomycin.

1 So we were unable to test it against a comparator.

2 I think with MRSA maybe we can get a
3 handle on that earlier, if we can just find those
4 kinds of patients.

5 Now what do I mean by that? I want to
6 define that in a PK/PD term for a second. Well, vanco
7 is a fixed dose drug in the sense that we always dose
8 adjust its blood levels to the same thing, peaks at
9 30s and troughs of around 10, and that always gives
10 you the AUC for this drug of around 250.

11 So any variability in this drug's response
12 is going to be due to MIC variability -- in other
13 words, susceptibility. The organism is going to
14 create your range and your PK/PD data, and that's a
15 good model, because a lot of drugs don't get this
16 feature. So only MIC variability is going to show us
17 differences.

18 The usual MIC for vancomycin -- the MIC₉₀,
19 actually -- has evolved now to the point where it's
20 around 2 mcg/ml. It used to be 1, sometimes even .5
21 in the older days, but it's bumped up to around 2. If
22 you do the AUC calculations, that comes out right
23 around 125 at the break point.

24 Now most of the MRSAs in the United States
25 are probably somewhere around the MIC₅₀ of .5, and that

1 gives you values over 500, and our VISA strain, which,
2 of course, we are all afraid of but it hasn't occurred
3 very often, is up around 8, and that's way low.
4 That's way below 100. So nobody expects vancomycin to
5 work against VISA. In fact, that's how we discovered
6 it. It's failed every time. So there is no doubt
7 that VISA is really VERSA.

8 The question is are there failures
9 underneath this high bar, and that's what we are
10 finding people from all over the country telling us
11 that they are seeing. So that's what we went out
12 looking for.

13 Now why is this happening? Well, because
14 the MIC as a .5 to 1 have shifted, we are getting
15 shifts so that a lot of MICs now are 2, which is
16 basically about two to fourfold loss of activity,
17 which doesn't sound like much, but if you are dealing
18 with dosing which was set, you know, ten, 15 years ago
19 for an MIC of .5 and we didn't raise it as the MIC
20 went up, we've lost about four to fourfold activity.

21 So it should not be expected that it would
22 always work, because we haven't compensated for this
23 with dosing. So now we are seeing these organisms,
24 you can almost predict who is going to have the
25 problem.

1 You get the additional problem, of course,
2 of having MBCs that are up as well, sometimes, and we
3 may need to do what George McCracken said yesterday,
4 which is we may need to do AUBCs as well to explain
5 this data. But for now MICs seem to work. So I'm
6 going to go with that.

7 Now a protocol is to look at patients in
8 this situation, because it gives us an opportunity to
9 study resistance which is first defined clinically as
10 clinical failure, not necessarily as a microbial
11 target to begin with, but clinical failure, I think
12 most people would agree, is an interesting way of
13 defining resistance. The clinicians will nod their
14 head anyway.

15 When you look at those kinds of patients,
16 our first work showed that, sure enough, there is a
17 relationship between how long they stay culture
18 positive and the AUIC, and they are culture positive
19 much longer and much more frequently, 80 percent
20 positive and start out less than 400 which, by
21 definition is kind of the MICs that are in the range
22 of 2 and some ones.

23 If their AUIC is higher than 400, which is
24 the .5s mostly because of the way we dose vanco, in
25 about three weeks or so they are all culture negative,

1 with most of them -- half of them or so by ten days,
2 but the other half persist. So this is vancomycin,
3 the reality.

4 Now you can -- if you want to think about
5 endpoints, if you do free fraction, an AUC of 400
6 that's total is free of 140. So we'll have to talk
7 about that, if you are interested in PK/PD break
8 points later, which I don't want to dwell with too
9 much, because the study will define those.

10 Study design issues: Really dealing with
11 an organism here that's not eradicated quickly. Any
12 improvements in activity could probably first be
13 picked up as faster eradication. So in other words,
14 we could boost the activity of this drug either as a
15 higher dose or adding something to it. You would pick
16 it up first as faster organism killing.

17 If you don't kill it, of course, it
18 disseminates, and that's what kills you with MRSA, is
19 usually widely disseminated organism in blood and
20 elsewhere. Vanco probably serves the role of keeping
21 the patient from death in most cases, but
22 dissemination continues.

23 So what we set out to find, again, was
24 MRSA patients who are on vanco -- remember this -- on
25 it for at least five days with continued culture

1 positivity, the ultimate enriched population. We
2 waited until they had failed vancomycin.

3 Why did we do that? Because there's no
4 guidelines at this point yet for rejecting vancomycin,
5 because people will tolerate ten days of positive
6 cultures in some cases in the literature. So it's a
7 perfect opportunity to start doing this, but we didn't
8 feel like we should randomize yet.

9 So we started out with cohort studies. We
10 looked first retrospectively for all the patients we
11 could get that had vanco on its regular regimen, and
12 then there's a large number of people that are already
13 starting to double vancomycin's dosage. So we
14 collected those. We would get higher AUCs,
15 presumably, and we enrolled patients that had vanco
16 continued, but a second antibiotic. We are searching
17 here for synergy, of course.

18 Now in the vanco failure patient with an
19 MIC of around 2, you really only have those two
20 choices. You can raise your dose and target peaks of
21 around 50 and troughs of around 20, which is what we
22 collected, or we can go for conventional doses with
23 troughs of 10 in combination with something to target
24 synergy, and this is the various array of players that
25 people add at that point.

1 So we have actually the possibility here
2 of testing combination therapy, the same way we test
3 twice the dose of a single agent. Now twice the dose
4 of the agent is additivity by definition. So if we
5 can get activity beyond what you get from additivity,
6 you should be able to begin to define the edges of
7 synergy.

8 Of this choice, there isn't really much.
9 Additivity would be expected in most of these cases.
10 The only *in vitro* data that we had for potentially
11 synergistic drug was Synercid. So that's the one we
12 focused on for a while, and it's based mainly on *in*
13 *vitro* data where it shows that the combination kills
14 faster than either agent alone in a high inoculum
15 situation with some MRSA.

16 There are animal models as well,
17 endocarditis type models that show that vanco in
18 synergy is active -- synergistic in these definitions
19 that aren't completely definitions from my
20 perspective, but they do show some evidence at least
21 of more activity than you would expect from doubling
22 the vancomycin dose in animals.

23 I won't belabor that, because it's just a
24 precedent for why we are doing this. The vanco
25 failure study then focused on failures after five days

1 and collected vanco failures treated with normal
2 troughs, with double the trough, and prospectively we
3 looked at double the trough versus Synercid added to
4 the regular one.

5 So this stuff is mainly for historical
6 control, and again because we didn't feel comfortable
7 randomizing it at this point, and these two here is
8 mostly investigator taste which regimen they ended up
9 with, but as you will see, they ended up pretty
10 comparable in terms of underlying diseases.

11 If you do this, of course, you should be
12 able to do serial cultures, and that was our
13 requirement for the study.

14 Well, here's the outcome. The vanco
15 traditional dose aligned almost exactly with all the
16 single head to head vanco-linezolid studies, vanco-
17 Synercid studies, all the equivalent stuff in around
18 55 percent, and clinical and micro agreed almost
19 exactly.

20 Interestingly, five days on vanco 5.5
21 before they were enrolled. The vanco high dose, a
22 better cure rate, and again close alignment between
23 clinical and micro. So doubling the dose of vanco
24 would show that there is some benefit to additivity in
25 this model, and again this one aligned.

1 The quinupristin/dalfopristin group
2 reached 83 percent and, interestingly, had been
3 treated for 15 days before they went on this, which
4 reflects most clinicians' desperate effort to find
5 something besides adding one of these two expensive
6 drugs to vanco, I think, and that could be rather
7 interesting.

8 Now when you look at the mortality -- and
9 I also did attributable mortality, and attributable
10 mortality is patients who remained MRSA positive and
11 symptomatic and died on therapy. So we were pretty
12 rigorous about attributable mortality in this.

13 The two patients who died on this regimen
14 did not die of continued infection. On the vanco high
15 dose and the vanco traditional dose, they did, you
16 know, show about 16 to 20 percent or so, and 30
17 percent was the total mortality in this group.

18 This group did worse overall, but not
19 statistically significant. These numbers are small.
20 The p on a Fischer Exact Test was around .3 or so. So
21 you don't have enough here for statistical
22 significance when you just use your clinical endpoint,
23 even when it aligns to micro, if you are dealing with
24 groups probably less than 20 patients or so.

25 So what do you do? Well, I could show you

1 something or you could get statistical significance
2 out of this study easily. This is all three groups
3 plotted as how long it took to clear the organism.

4 The Synercid plus vanco group had almost
5 all cultures cleared by Day Five after switching.
6 These other two groups, you know, the double dose was
7 better than the single dose, if you will. S

8 o we did have some evidence of additivity
9 there, but synergy would be defined in this case as
10 action beyond what you would expect from additivity
11 alone. I think that probably this curve, if it holds
12 up, and we are continuing to accrue patients in this
13 study, would probably show very nicely that this is
14 probably the first clinical definition of synergy, and
15 it will most likely hold up long enough, if you accrue
16 enough patients on clinical trials, to do it as a cure
17 as well.

18 So you have to pick the right organism.
19 You have to pick an organism where nothing works very
20 well, and I think we did that, and then do a
21 superiority trial. In a superiority trial, of course,
22 the greater the real differences, the smaller numbers
23 of patients you need, regardless of your delta.

24 You can put any delta on this trial that
25 you want, and you will get the same answer. I would

1 use pretty tight deltas on these types of trials for
2 reasons we have already mentioned.

3 Some of these endpoints have got a lot of
4 noise in them. Particularly the clinical outcome
5 delta has a lot of noise in it in this situation. So
6 I have no problem with putting tight deltas on
7 clinical cure.

8 With micro cure, you know, it's pretty
9 clear, I think. Rate of microbial killing or rate of
10 cure alone -- Sometimes all you need is the PD
11 component. You don't even need the PK/PD. You notice
12 I didn't show that to you.

13 If you are closer to comparator, however,
14 or if you are trying to show two different doses of
15 the same drug different when they are closer together
16 in terms of their response, you might need to convert
17 it to PD and PD.

18 An example of that that we've done long
19 ago already is this quinolone data where we've shown
20 differences sorted by AUC with each group P less than
21 0.01 different from the other, showing faster killing
22 with higher concentrations of the same drug,
23 Ciprofloxacin in this case.

24 Now with that aside, the choice of this
25 concept of surrogates in superiority trials is

1 intriguing, and clinical cure is really a soft
2 endpoint. That's why you need tight deltas, I think,
3 if you want meaningful information.

4 If you choose a disease where clinical
5 cure is closely linked to micro cure, you can get away
6 from that problem somewhat. I do disagree with most
7 people that said nosocomial pneumonia is not one of
8 these diseases, and I think it's mostly because we've
9 been studying it wrong when we study nosocomial
10 pneumonia, and I'd like to show you why I think that.

11 First of all, I would like to argue that
12 the previous data on the quinolone came from
13 nosocomial pneumonia. Micro cure is better, I think,
14 than clinical cure in these sorts of things, and PK/PD
15 analysis is the key, but regulatory trials of
16 nosocomial pneumonia are structured for equivalence.

17 Because they are structured for
18 equivalence, they miss all the high information
19 content data that you could get out of them if you
20 wanted to show differences in nosocomial pneumonia,
21 either using a clinical endpoint or a micro endpoint.

22 Quite simply, they define their endpoint
23 at the end of treatment or ten days after or whatever,
24 some point when all of the change that occurs that you
25 could take advantage of has already come to fruition.

1 I wish Tom Fleming were here, because he
2 would love this slide. He's always focused on are we
3 doing enough to get this correctly. But the
4 statistical point here is that we need to do better at
5 these things.

6 As A and B are two different drugs or two
7 different doses of the same drug, with a micro
8 endpoint or a time to cure endpoint of some sort, they
9 differ very much if you look at them at Day Two. They
10 look different at Day Five, but they don't look any
11 different if you wait for B, which works slower, to
12 fully come to its fruition.

13 All nosocomial pneumonia studies are
14 powered based on a test of cure, ten days or so after
15 the last dose of the antibiotic. So is it surprising
16 we get nothing out of them? Not really, but you got
17 to look here if you do want something out of them.

18 So it's a superiority component to a
19 noninferiority trial that has to be looked at. Okay?

20 You can build it into a noninferiority trial, but you
21 got to build in the information rich place where you
22 get a superiority component.

23 If you are faced with this need to
24 demonstrate superiority, yes, as someone said
25 yesterday very well, you could either loosen your

1 delta and enroll more patients in a cure study versus
2 best available comparator, which is, I think, one of
3 the things people are talking about, or I would argue,
4 I think, that you should tighten the delta requirement
5 and work on endpoints that offer you reasonable
6 opportunity to show you superiority and power it for
7 that.

8 That in a nutshell is why I think micro
9 cure is so useful in these critical care, multi-
10 resistant type scenarios, and the patients have very
11 little to do with this. I mean, our patients were all
12 sick, and I will tell you the stories, the horror
13 stories of that group if you need it, but they were
14 all sick.

15 Now here's the thing that I wanted Tom to
16 see, because I know he's a much better statistician
17 than I'll ever be. I took a crack at a dichotomous
18 versus a continuous endpoint trial, just looking at
19 how many patients you would need, and I borrowed this
20 from David Shlaes' letter to the editor in CID.

21 I tried to take a continuous endpoint
22 study like a time to eradication, and power it equally
23 rigidly, so plus or minus one day here, standard
24 deviation 20 to 40 percent, 80 percent micro rad here
25 at the end, with a target time to eradication of Day

1 Three.

2 Any way you look at it, the numbers of
3 patients you need are staggeringly small to show
4 either equivalence of superiority. So we can do this
5 statistically, if you let us work with these
6 endpoints.

7 Thus, from the front here, from the front
8 lines of digging up resistant patients in multi-center
9 type of arrangements, I think that the data are there.
10 they are obscured in these big NDAs.

11 There's probably 100 information rich
12 patients in every NDA that will teach you everything
13 that you need that's truly important about the drug.
14 I think you got to be careful to avoid your
15 statistically driven quest for equivalence to silence
16 those little voices.

17 Most of you know, I only listen to the
18 little voices anyway. So you see why I say these
19 things.

20 Now recommendations: Primary endpoint of
21 antibiotic action really does need to be looked at as
22 a micro endpoint, whenever superiority trials must be
23 conducted. I think that's the default position. I
24 really do believe it is more important than cure for a
25 lot of reasons, not the least the clinician's obvious

1 perception that if the bug is dead, the patient gets
2 better.

3 You still need to deal with safety issues,
4 but equivalence designs can fix that problem. We've
5 already talked about that.

6 Human superiority trials with micro and
7 PK/PD endpoints must translate into labeling, however.

8 Unless they translate into labeling, this isn't going
9 to happen. I've been told numerous times that, you
10 know, there's still the perception out there that the
11 FDA won't take this data; and if it's true, then this
12 isn't going to happen, and that will become the single
13 biggest impediment to proceeding.

14 Guidance documents also have to recognize
15 that study designs of both types have value to
16 industry via labeling, and those are the two things
17 that I would argue that we look at.

18 Everybody has to do a little disclosure.
19 So I did mine here. I would argue that, of course,
20 one other little disclosure I should say is, yes, I do
21 PK/PD studies and, if you all like that, I'm probably
22 going to end up doing more. So that does make me
23 biased toward PK/PD studies, and I apologize in public
24 for that. But I'm going to keep coming at you with
25 this data, whether or not you do this.

1 So, you know, this is something that we
2 can do out in the clinic. That's why we are going to
3 keep coming at you with this data.

4 Thank you very much.

5 CHAIRMAN RELLER: Thank you, Dr. Schentag.

6 We will next hear from Dr. Drusano from the Albany
7 Medical College, and I think it would be best if we
8 took queries for both Dr. Drusano and Dr. Schentag at
9 the same time after George's presentation.

10 DR. DRUSANO: Thank you, Mr. Chairman. I
11 would like to also thank Dr. Albrecht for recommending
12 that I come down and address you during the public
13 portion of this.

14 I'm going to talk a little bit about
15 suppression of resistance and to take a
16 pharmacodynamic approach. Dr. Chen?

17 Now this is a cultural icon test. How
18 many of you actually know who that is? That's the
19 Duke, and he actually said that: "Life is tough.
20 It's tougher if you're stupid." That was when he was
21 in the "Sands of Iwo Jima," Sergeant Striker.

22 The only reason I show that is because we
23 really are in a real difficult position. We really do
24 need to get new drugs, and to get the ones that are
25 coming into the armamentarium to stay active. It's

1 really an important issue.

2 If anybody doubts that, put yourself in
3 the position of an infectious disease consultant who
4 has to go out and tell the family that their love one
5 has died because they had an untreatable organism.
6 That's been happening at our institution on the
7 average of one to three times a month for the last
8 couple of months because of *Acinetobacter* and
9 *Pseudomonas aeruginosa*. Next, please.

10 So resistance to antimicrobial agents
11 oftentimes, but not always, occurs as a function of
12 single point mutations. Other mechanisms are many,
13 but includes spread of plasmids with multiple
14 resistance determinants.

15 Horizontal transmission amongst patients
16 also confuses the issue. Now examples of a point
17 mutation providing drug resistance are stable
18 derepression of AMP C type beta lactamases for third
19 generation cephalosporins and target mutations or pump
20 upregulations for fluoroquinolones.

21 Now as these occur at a frequency of
22 around one per 10^8 or less frequently, infection site
23 populations exceed the inverse of this number, but
24 often by multiple logs. We can get ten or 11 logs of
25 organisms, not as a concentration but as total

1 populations, particularly when we talk about
2 nosocomial pneumonia.

3 Consequently, such total populations do
4 not behave as a single, sensitive population, but
5 rather as a mixture of two populations of differing
6 drug susceptibility. This raises, I think, a very
7 important question.

8 That is: Can a drug exposure be
9 identified that will prevent the resistant
10 subpopulation from being amplified and take over the
11 total population?

12 Now before I show you anything, there's a
13 lot of folks that had a lot to do with this. Nelson
14 Jumbe just got his PhD from our lab; Arnold Louie,
15 Mike Miller -- just the most wonderful collaborators a
16 guy could have; Wago Liu is one of our major domos who
17 runs the lab, and Mark Dazelle's name got left off
18 here for which I apologize. Vincent Tam and Tazia
19 Fazili are our fellows, and Bob Leary is our
20 collaborator at USD Supercomputer Center, and Chuck
21 Lowry did a lot of the sequencing.

22 The first thing I wanted to show you is a
23 mouse thigh infection model, and I wish Bill Craig
24 were here. We took this. We just copied the Craig
25 mouse thigh infection model with one difference.

1 We left the granulocytes in place for a
2 number of different reasons, because one is for
3 clinical relevance. Two is the simple issue of when
4 you take them away and you want to study something
5 like *Pseudomonas aeruginosa*, you can't get at the
6 resistant mutants because you can never put enough in
7 to get them back, because you killed the animals off
8 so rapidly.

9 So we have granulocytes in this model, and
10 what we have here is pneumococcus, and you see six and
11 a half logs here, 7.9 logs on this side. Now what one
12 sees is that, if you calculate the AUC to MIC ratio
13 required for stasis, one, two and three log drops from
14 stasis. There is no difference between 6.5 logs and
15 7.9 logs. So 16.5, 16.1, 37.6, 34.9 -- these are not
16 different. Next, please.

17 It changes, however, when we look at
18 *Pseudomonas aeruginosa*. Here now as we go from just
19 7.3 to 7.9 logs, 6/10 of a lot difference, the targets
20 go from 14 AUC/MIC ratio per stasis up to 45. When we
21 get up to 3 log drop, 31 over here -- it's 200 over
22 here. Next, please.

23 Clearly, *Pseudomonas* and *pneumococcus*
24 differ in their response. *Pneumococcus* has no
25 inoculum effect to treatment, while *Pseudomonas* has a

1 major inoculum effect. The explanation probably rests
2 in the mutational frequency to resistance.

3 *Pseudomonas* has a high frequency, while
4 *Pneumococcus* has a frequency that was not measurable
5 at the bacterial densities used in these experiments
6 with this fluoroquinolone, and we did that experiment
7 six times. We never were able to isolate a primary
8 resistant mutant from the mouse thigh when we started
9 with a wild type isolate.

10 So what happens with *Pseudomonas* when we
11 look at that? We oftentimes see something that looks
12 like this, if you look at intermediate time, so that
13 you get a major fall-off in the density of organisms
14 at the primary infection site, and then you see
15 regrowth.

16 Now not always, but sometimes what the
17 explanation behind this is, is you have a sensitive
18 population upon which you have a major effect by the
19 drug exposure that you have given the animal, but then
20 you see the resistant subpopulation which starts out
21 very small, around 1 to 2 logs of organisms, but you
22 have unremitting growth of that resistant population.

23 So that when you look at the differential
24 effects of the single drug dose on the two different
25 populations, you can put them together, and that is

1 what one sees when one only looks at the total
2 population.

3 So we decided to model this. On the
4 lefthand side we just have a simple two-compartment
5 open model to look at how the drug moves about the
6 little mousy body.

7 On the righthand side one sees the
8 differential equations that look at the effect of the
9 drug exposure on the two populations, the sensitive
10 and the resistant populations.

11 Now it looks awful, but it's actually
12 really quite straightforward. You have -- On the
13 front part of the equation is the growth side. So you
14 have X_s is the sensitive population. There is a first
15 order growth term that acts on that. L is the
16 logistic growth function. It just makes the organisms
17 bend over into stationary phase so that they don't go
18 off to infinity. That's just the simple growth part.

19 Then you kill them, and you kill them as a
20 function of concentration of drug. The form of the
21 function is down here. It's a simple sigmoid E_{\max}
22 effect function. So what you have here is the maximal
23 kill rate. That maximal kill rate is driven by
24 concentration, and you can see there's a concentration
25 at which the kill rate is half maximal.

1 So this is very much like a Michaelas
2 Mettin form of a function, and all this is saying is
3 that the more drug you get, the faster you kill the
4 organism up to a specific maximal kill rate.

5 So you have growth, and you have kill.
6 You have that for the sensitive population, and you
7 have it for the resistant population. Very
8 straightforward.

9 Here's what we measure, the total
10 population, which is the sum of the sensitive plus the
11 resistant, and then the resistant population. These
12 were all modeled simultaneously in a very large
13 population model that was sent out to UCSD
14 Supercomputer Center where Dr. Leary turned the Blue
15 Horizon machine loose on it. These are the point
16 estimates of the parameters. I just show them out of
17 interest.

18 Well, how did we do, and how did we fit
19 the model to the data? So this is the total
20 population for *Pseudomonas aeruginosa* with the
21 fluoroquinolone. Here's predicted observed, and this
22 is after the MAP Bayesian step.

23 So what we see is we did a pretty good job
24 with fitting the model to the data, as the R^2 for the
25 predicted observed plot is .93. Next, please.

1 For the resistant part of the population,
2 we also did quite reasonably well. Again, this is
3 after the MAP Bayesian step, and the R^2 now is up to
4 .94. So we were able to really quite reasonably
5 describe how different doses of drug were able to have
6 an impact upon both the sensitive and the resistant
7 and, therefore, the total bacterial populations in a
8 mixed population.

9 But what can we do with this? We were
10 able to use the point estimates of the parameters to
11 calculate an exposure, an AUC/MIC ratio, that would
12 shut off the growth of the resistant mutants.

13 This is the number of mutants present at
14 the start of therapy, and the rest of them are the
15 number of mutants present 24 hours later in the mouse
16 thigh. What one sees here is that you require an
17 AUC/MIC ratio of 157 of total drug to hold the number
18 of mutants exactly stable from baseline.

19 So that's nice, but we wanted to see if
20 indeed that was truly correct. Next, please. So we
21 decided to do a prospective validation. We did a
22 validation with two doses of drug, one that was
23 calculated to cause emergence of resistance,
24 outgrowth, amplification of the resistant
25 subpopulation, and one dose that would hold the number

1 of resistant mutants stable at the primary infection
2 site.

3 We wanted to do it with drug doses we had
4 never studied before and for a period of time that was
5 further than we had studied before. So what we see
6 here now is the drug dose that would give you a 52 to
7 1 AUC/MIC ratio.

8 What one can see is that the dots or the
9 boxes are the actual observed values. These are not -
10 - The continuous line is not the fitted value, but
11 rather the predicted values that we got from the
12 original analysis that we did.

13 So the original analysis actually predicts
14 quite nicely what happens to both the total and the
15 resistant population over time as we sample, and when
16 we said that that particular dose would cause the
17 amplification of the resistant subpopulation, that is
18 indeed what happened.

19 When we said it was going to stay steady,
20 it stayed steady for that time frame. So -- next
21 please -- we were able to determine how the overall
22 sensitive plus resistant population responds to
23 pressure from this fluoroquinolone.

24 More importantly, we were able to model
25 the resistant subpopulation, choose a dose based on

1 simulation to suppress the resistant mutants. The
2 prospective validation demonstrated that doses chosen
3 to encourage and suppress the mutants did indeed work,
4 and that was the first, as far as I'm aware,
5 prospective validation of such an analysis. Next,
6 please.

7 Now for the *Pneumococcus*. Now this -- I'm
8 only going to show a couple of slides. This is a very
9 complex topic, and I just don't have time to address
10 it. But it differs by drug. It differs by a lot of
11 different things, and in particular, it differs by
12 whether or not you are dealing with a wild type
13 strain, as I'll show you momentarily.

14 Now just to throw your mind back to the
15 *Pneumococcal* analysis I showed you previously, we were
16 unable to recover resistant mutants with levofloxacin
17 as the selecting pressure in the mouse thigh infection
18 model, no matter what we did.

19 No matter how low a dose of drug that we
20 gave, we could not get resistant mutants. However, we
21 then examined ciprofloxacin as the selecting agent,
22 and now selecting mutants was straightforward.

23 I'll tell you that this may be -- You
24 know, sometimes it is the right thing to take a new
25 drug active against resistant organisms and put it up

1 onto the shelf, but sometimes -- and I think this is
2 an example -- it's really the absolute wrong thing to
3 do, and I'll show you why. Next, please.

4 So we take the little mouse and we put the
5 *Pneumococci* in the posterior mouse thigh. We wait two
6 hours. This is the classic Craig model, and it
7 actually goes back to Harry Eagle, for two hours for
8 it to take hold, and then at hour Zero we begin
9 therapy. At 24 hours the animals are sacrificed, and
10 the number of total organisms and resistant mutants
11 are determined from the mouse thigh. Next, please.

12 So what we did is -- Actually, if you
13 could back up one. I apologize. What we found is
14 that, if we had a plate that had two times the MIC of
15 Cipro in it, we got about 500 mutants per plate.

16 When we went up to four times the MIC of
17 Cipro, we only had a single organism. So a great
18 difference in the mutational frequency to resistance,
19 and as I'll show you later, differences in the
20 mechanisms of resistance. We were not again able to
21 get anything on a levo plate. Next, please.

22 When we looked at the one where you had
23 500 per plate, we looked at the wild type and the
24 resistant to Cipro at two times the MIC, the RC2
25 mutant. So we looked at the MICs in the presence and

1 absence of Reserpine for both drugs.

2 As you can see, for the wild type strain
3 they were essentially identical, and the addition of
4 Reserpine did nothing. But when you go to the RC2
5 mutant, Cipro now has an MIC of 3.5, and you bring it
6 back down to 1 with the addition of Reserpine. You do
7 nothing to Levo.

8 We've now done this about ten times for
9 this isolate, and this number actually goes anywhere
10 between .6 to .8, and one time we got 1 by doing
11 arithmetic cuts. But you have very little change in
12 this one, where you have basically a sixfold change
13 with Cipro and then coming back down with the addition
14 of Reserpine.

15 Now Strain 58, the wild type, the RC2 and
16 the RC4 mutants grew on a plate with four times the
17 MIC of Cipro, were all sequenced through Gyr A, Gyr B,
18 Par C and Par E. Not just QRDR but the entire open
19 reading frame was sequenced for all four target sites.

20 For RC2 no differences were seen between
21 the parent and RC2 daughter strain. This, coupled
22 with the decrement in ciprofloxacin MIC with reserpine
23 exposure -- I apologize for that -- at 3.5 going back
24 down to 1.0 -- this implies that RC2 is a pump mutant.

25 For RC4, a mutation was found in parC at

1 amino acid 79, serine to tyrosine, but this strain
2 also decreased its MIC with the addition of reserpine.

3 So RC2 is a pump mutant. RC4 is a target mutant that
4 also has an upregulated pump.

5 Now we've examined other new
6 fluoroquinolones in this system or in our hollow fiber
7 PK system, which I'll show you momentarily. All
8 resemble levofloxacin and do not allow emergence of
9 resistance for wild type isolates, but they do, once
10 they get the pump mutant.

11 Once they have a mutation that upregulates
12 PMRA, we see a thousandfold decrement in the ease with
13 which -- or increase in the ease with which we can
14 pick out a target mutant.

15 Why is Cipro different for pump
16 upregulation? Likely because it is the most
17 hydrophilic drug and is most efficiently pumped by
18 PMRA. Next, please.

19 Are there other factors that can alter the
20 probability of resistance? Therapy intensity is one,
21 as we've looked at, but therapy duration should
22 influence the probability of having the resistant
23 population become ascendant.

24 This is the hollow fiber system that we
25 use. It originally was developed by Jurg Blaser and

1 Steve Zinner while he was at Brown University. You
2 put the bacteria or viruses -- we've also done HIV.
3 You put the bacteria in the peripheral chamber of the
4 hollow fiber unit.

5 I should say, since he is in the audience,
6 that Mike Dudley contributed mightily to this system.

7 What you then do is introduce the drug into the
8 central reservoir. If you just circulate it around,
9 you have continuous infusion, but you can dilute into
10 the afferent part of the loop and remove antibiotic
11 containing drug from the efferent part of the loop,
12 and you keep an isovolumetric system so that the ratio
13 of the dilution rate to the total volume of the system
14 gives us the ability to set the half-life to anything
15 that we want. Next, please.

16 So we did a ten day hollow fiber
17 experiment for two organisms, MSSA and MRSA that was
18 ciprofloxacin sensitive, for six regimens of the
19 Bristol-Myers Squibb desfluoroquinolone compound.

20 The endpoint was time to complete
21 replacement of the population with resistant
22 organisms. Classification regression tree analysis
23 was employed to look for a breakpoint in the exposure
24 and, as you can see here, -- this is the CART output -
25 - 200/1 AUC/MIC was identified as the breakpoint.

1 A stratified Kaplan-Meier analysis was
2 performed with this breakpoint being the stratum. The
3 breakpoint was indeed significant, irrespective of how
4 you tested it. So what you can see is, if you were
5 less than 200 to 1, you really got resistant isolates
6 to the fluoroquinolone very rapidly. When you were
7 greater than that, you did ultimately, at least in
8 some of the -- in one of the regimens, but it occurred
9 after day seven.

10 So to prevent resistance, I think we can
11 hit hard, get more than 200 to one AUC/MIC ratio, at
12 least in the case of these *Staphylococci*, but stop
13 early. That is, stop prior to seven days, because
14 these are drugs that kill very rapidly. So we can get
15 all of the killing effect and minimize the emergence
16 of resistance.

17 Now the intensity of therapy and duration
18 of therapy both have an impact upon the probability of
19 emergence of resistance. Short duration therapy
20 trials basically should examine an endpoint of
21 frequency of emergence of resistance.

22 Quickly -- we're almost done -- again go
23 to the hollow fiber approach. Now this is *Pseudomonas*
24 *aeruginosa*. Vincent Tam presented this at ICAAC.
25 This is the placebo regiment. We start out over eight

1 logs. It grows up to about 10.5 logs. You can see
2 the number of mutants kind of fluctuates around.

3 Here's the Cipro control. You see it kill
4 from about 8.5 logs down to 4 logs, very nice log
5 kill, but before the second dose at hour 12 you see
6 the start of emergence of resistance, and after that
7 the 24-hour dose and the 36-hour dose do exactly
8 nothing, because what we are seeing underneath the
9 waves of the total population is now the resistant
10 population is very rapidly growing up.

11 Remember, this is a system that does not
12 have granulocytes in it. So here is the
13 desfluoroquinolone compound, a very low AUC/MIC ratio.

14 Three does essentially nothing to the total
15 population, but with the resistant population just
16 before the 24-hour dose now, you have caused or
17 allowed, I should say, the amplification of the
18 resistant subpopulation.

19 As we go to an AUC/MIC of 10, it occurs
20 more rapidly. You get a little bit of a log drop
21 early. That's the sensitive population dying off, and
22 then you see the resistant population basically
23 replacing it.

24 At 90 to 1, you see a very nice log drop,
25 3.5 logs, over a thousandfold decrement in the

1 sensitive population, but you see very rapid emergence
2 of resistance with total replacement of the
3 population.

4 At 110, you see the same thing. So it's
5 completely replaced by hour 48. Finally, by 200 now
6 we can drop it from 8.5 down to 3 logs, so over a
7 five-log kill, and we can keep the organisms, the
8 resistant mutants, under control.

9 We modeled this again. The model on this
10 side is a little simpler, because it's an *in vitro*
11 system. Here are the point estimates from the Blue
12 Horizon run at UCSD. Next, please.

13 Then here's how the model fit to the data.
14 Here is predicted observed. We actually measured the
15 concentrations at all different time points in all of
16 the regimens. As you can see, we did a pretty good
17 job, R^2 to .97.

18 For the total population, the R^2 is about
19 .94, and so we have a very nice fit of the model to
20 the data. Then finally, for the resistant counts what
21 we see after the MAP Bayesian step is the R^2 is about
22 .8, because we have these down here that were at the
23 detection limit, and we had to plot them somewhere.
24 So it kind of killed off the R^2 . Next, please.

25 So this is what we refer to as the

1 inverted U phenomenon. Resistant subpopulations, if
2 you have an inadequate exposure, are initially
3 amplified and then decline with increasing drug
4 exposure.

5 Now this has been postulated actually
6 first in the HIV arena, and this has been talked about
7 a lot there, but to my knowledge at least, nobody has
8 actually been able to demonstrate it with data. This
9 is, I think, again the first demonstration with data
10 of this phenomenon.

11 So just to show this for *Pseudomonas*
12 plotted, this is again the baseline prior to the
13 introduction of drug, the number of resistant mutants,
14 and here is 10, 40, 90, one more, 100, and then
15 finally 200 that we wind up being able to control the
16 resistant mutants.

17 If you want to hold them just steady, we
18 can calculate that from the Blue Horizon run, and that
19 cost a lot of money, and somewhere in the neck of the
20 woods of around 270 node hours worth of time on the
21 highly paralleled machine, or you can do this for five
22 cents and draw a line across and drop the vertical.

23 It was 187 to one out of the Blue Horizon
24 calculation. It's 185 to one out of this calculation.

25 Close enough for government work.

1 So again, we did a prospective validation
2 placebo, something that was high, 137 to 1 AUC/MIC,
3 and then to bracket that 187. So we did 166 and then
4 200, and what you see is you got nice, steady numbers
5 of mutants in the placebo group. There's no pressure.
6 That's exactly what you would expect.

7 Then with 137, yeah, you get a great log
8 kill, but what you see is, boy, you just completely
9 replace it very rapidly by resistant mutants. But
10 when you get up around that break point, you can see
11 this actually is just -- really is on its way up, and
12 if you continue it out, and we did, actually, this
13 actually loses control at hour 96. The 200 does not,
14 and that again is right at where it should be, because
15 we said we were going to hold it exactly steady out to
16 hour 72, and that was our calculation, again a
17 prospective validation of the analysis.

18 So this was the same *Pseudomonal* strain as
19 in the mouse model, but that was levofloxacin in the
20 mouse model. This is the desfluoroquinolone, but the
21 mouse model contained granulocytes, while the hollow
22 fiber system does not.

23 The total drug target for the mouse model
24 was 157, which for levo is a free drug target of about
25 110. The hollow fiber system target is 187, which is

1 an increase of 1.7 fold. But Bill Craig in his animal
2 model, when he does with and without granulocytes,
3 finds that when you take the granulocytes away, the
4 target goes up by 1.5 to twofold, and these results
5 between the hollow fiber and the mouse are very
6 concordant with the original Craig findings. Next,
7 please.

8 So the *in vitro* dynamic model
9 investigations frequently -- and also mouse model
10 investigations -- frequently only examine the total
11 bacterial population. The presence of a small
12 preexistent population more resistant to the selecting
13 drug pressure has major implications, particularly as
14 the bacterial population size increases to near
15 clinical infection size.

16 Here's *Pseudomonas*. Unfortunately, one
17 size does not fit all. There are differences amongst
18 strains. There are differences amongst species.
19 Here's *Pseudomonas*. Target is 187. Next, please.

20 *Klebsiella* with the strain that we used,
21 93. Next, please.

22 Methicillin sensitive *Staph. aureus*, 66.
23 Next, please.

24 MRSA-Cipro sensitive, 143. Next, please.

25 And now this is the daughter strain. This

1 was derived from that MRSA-Cipro sensitive strain, but
2 now the breakpoint goes up to almost 500. Next,
3 please.

4 So some drug exposures allow amplification
5 of the resistant subpopulations. Exposures can be
6 identified that will prevent this amplification and
7 functionally suppress the resistant populations.
8 Doses can be calculated to achieve these targets,
9 because that's what we are doing.

10 We are target setting with these analyses,
11 and doses, particularly of new drugs or of old drugs,
12 can be calculated to achieve these targets using a
13 Monte Carlo simulation approach that I presented to
14 this Committee in 1998.

15 I think, as my favorite Hollywood movie
16 star once said, "Th-th-th-th-that's all, folks!"

17 CHAIRMAN RELLER: Comments, questions for
18 Doctors Drusano and Schentag? Dr. Archer and then Dr.
19 Leggett.

20 DR. ARCHER: That was very nice, George.
21 I have a comment, however, as I'm sure you are well
22 aware.

23 What you have modeled very nicely is the
24 emergence of resistance during the course of treating
25 an infection, but as we know, the problem with

1 antibiotics is the unintended effect on the colonizing
2 flora and the generation of a reservoir from
3 colonizing bacteria, which I would -- I mean, you may
4 have a model for that, but I'm not aware yet of any
5 model for the effect of antibiotics on resident flora
6 in terms of numbers of bacteria, the concentrations of
7 antibiotics. But one would assume that the
8 concentrations of antibiotics are much lower at
9 mucosal sites and, therefore, it would be hard to
10 predict what is going to happen to selecting resistant
11 mutants in that circumstance.

12 DR. DRUSANO: Gordon, as always, a great
13 question, and the answer is -- I wish the
14 statisticians -- I know there's -- Dr. Wittes is here,
15 but I wish all the statisticians were here from
16 yesterday, because my answer immediate to you is
17 something that G.E.P. Box once said, who is a very
18 famous statistician who said all models are wrong,
19 some models are useful.

20 You are absolutely right. The model that
21 I presented does not have universal applicability. It
22 addresses a specific problem of the suppression of
23 resistance during therapy.

24 To answer the other question, you could --
25 Actually, there is a very good model system, at least

1 for *Staph.* and fluoroquinolones. The reason for that
2 is because the mutational frequency to resistance for
3 *Staph.* to fluoroquinolones is very, very high.

4 You don't have to have a big population.
5 In the Lancet about four years ago, there was a really
6 neat little study where, I think it was the Finns,
7 actually took a bunch of volunteers and swabbed their
8 arms prior to, and then got the *Staph.* out, sequenced
9 through them, and did all the right stuff, and then
10 gave them a couple of doses of a fluoroquinolone, in
11 this particular instance Ciprofloxacin.

12 Lo and behold, 48 hours later they
13 reswabbed their arms, and yea, verily, even as you say
14 it is so, Socrates, they had fluoroquinolone resistant
15 *Staph.* in there. So, yes, it's absolutely true that
16 there are certain places where you will get
17 resistance.

18 Now as you go to other organisms like
19 Gram-negs where the mutational frequencies are going
20 to require denser populations, I think you will see
21 that problem ameliorated quite a bit, but you could
22 also, I suspect, do this same kind of analysis and
23 actually choose an exposure that could possibly -- we
24 haven't done the experiment, but it's a great
25 suggestion, Gordon -- see if you could do that to

1 prevent that from happening at the primary infection
2 site -- I'm sorry, at the colonizing site, other than
3 the primary infection site.

4 CHAIRMAN RELLER: Jim?

5 DR. LEGGETT: A question for you, George,
6 and a question for Jerry and then one for both of you.
7 They are all sort of tied together.

8 For the *Pseudomonas*, didn't you just show
9 us the optimal AUC to MIC breakpoint cutoff in terms
10 of being 125, that sort of deal? In that regard, what
11 are your thoughts about this sort of mutation
12 prevention concentration or that sort of thing?
13 That's one thing.

14 DR. DRUSANO: Well, first of all -- Well,
15 let me say that what I showed for basically
16 *Pseudomonas*, for one strain of *Pseudomonas* -- My
17 urging to the Committee and to the FDA is to recognize
18 that -- You know, this represents a couple of years
19 worth of work. So I'm not trying to minimize it.

20 It, you know, hung up our lab for a couple
21 of years, but it's one strain of *Kleb*. It's one
22 strain of *Pseudomonas*, two strains of *Staph.*, and it's
23 a lot of work. But you know, before you should start
24 drawing hard conclusions about what the right
25 breakpoint, if you wish to use that term, is, you

1 should probably base that on several tens of organisms
2 at a minimum that are drawn from the clinical
3 circumstance.

4 What I showed you was implied not -- It
5 was not to be implied to be a one-size-fits-all
6 breakpoint, but actually what I wanted to show you was
7 that it was exactly the opposite of that, because it
8 went as low as 66 and as high as 450. Okay?

9 So what it really means is that is it
10 possible to gain insight, is it possible to generate a
11 breakpoint that we could shoot for as a target? The
12 answer to that, clearly, I think, is yes. Are those
13 the right numbers with "right" in quotation marks?
14 No, they are not, not because there is anything wrong
15 with the numbers per se. There just aren't enough of
16 the organisms.

17 Now so if anything, what I would suggest
18 is that we keep on going and that laboratories other
19 than our own kind of get involved in this, and really
20 get some answers, get 20, 30, 40 strains where we can
21 say for *Pseud.*, for *Staph.*, for *Kleb.*, you know, what
22 are the broad breakpoints. And it's not one number.
23 It will be a range.

24 As to what MPCs are, I think -- Well, I
25 happen to feel strongly. I won't say anything

1 terribly bad except to say that I think, as a number,
2 it is totally worthless, and the reason for that is
3 very simple. That is you have a static concentration
4 of drug, and that's fine if you have a time above a
5 threshold kind of drug like a beta lactam. Then you
6 can probably draw reasonable implications from that.
7 But if you have an AUC/MIC driven drug like a
8 fluoroquinolone or an aminoglycoside, I would say that
9 how can you draw implications for an MPC where you
10 have a completely static set of concentrations.

11 So to me, it is a very, very unhelpful
12 type of measurement.

13 DR. LEGGETT: Where I was headed with that
14 was in terms of this sort of emergence of resistance
15 problem, shouldn't we be reevaluating the so called
16 breakpoints and so, for instance, the argument about
17 levo, a resistant breakpoint of 8 probably really is
18 already way too high, and if we would sort of use the
19 drugs more effectively, could that prevent this?

20 DR. DRUSANO: I think what you have to say
21 is that -- You have to be clear about what your
22 breakpoint wants to do. Okay? You can make your
23 breakpoint predict clinical success. You can make
24 your breakpoint predict microbiological success. You
25 can use a breakpoint to divide populations of

1 organisms, which I happen to think is a waste of time,
2 but please don't repeat that to the NCCLS.

3 Then finally, you can use a breakpoint
4 that will prevent -- Well, I shouldn't say prevent --
5 suppress the probability of emergence of resistance.
6 So any one of those endpoints, I think, is a worthy
7 endpoint. You just have to define what it is.

8 Different doses of drug will give you
9 different probabilities of each of those endpoints.
10 So you have to be specific as to endpoint, and you
11 have to be specific as to the dose to which that
12 breakpoint applies.

13 CHAIRMAN RELLER: Dr. Goldberger, a
14 summary and presentation of issues?

15 DR. GOLDBERGER: Thank you. In the
16 interest of being brief, I will limit my remarks to 45
17 minutes or so.

18 We've had, obviously, a lot of discussion
19 already with regard to some of the questions we are
20 posing for you. So what I'll do is just sort of run
21 through the questions and maybe try to annotate them a
22 little bit as appropriate.

23 For question 1: What are the
24 barriers/challenges that hinder drug development for
25 resistant pathogens? Again, this is based, obviously,

1 on a lot of what you've heard today, yesterday, as
2 well as your own experiences.

3 WE broke this down to some suggested
4 examples that you might want to consider, although,
5 obviously, you are free to consider others.

6 One: For instance, an out-of-class
7 resistance claim, i.e., fluoroquinolone for PRSP.
8 Here I had made mention, in fact, this morning that
9 there were some patient factors we thought that ought
10 to be taken into account in terms of the kind of
11 questions we might want to accumulate.

12 The rather interesting issue of an in-
13 class resistance claim, which initially, of course, in
14 this case sounds a little bit like an oxymoron, i.e.,
15 how do we get a resistance claim for a penicillin or
16 penicillin-like drug for penicillin resistant *Strep.*
17 *pneumoniae*? Even though this is a substantial issue,
18 we would be interested in any comments people would
19 like to make about this, including which organisms,
20 for instance, are appropriate, etcetera.

21 A resistant pathogen with moderate to high
22 prevalence: I think one example we heard about today
23 is how we might try to do trials to get an indication
24 for, say, MRSA where there was a fair amount of
25 bacteremia around.

1 Then, I think, an emerging resistant
2 pathogen of low prevalence: Although it's not clear
3 to me, in fact, how low the prevalence is, a good
4 example might be potentially VRE or more likely some
5 of the discussions this morning about *Acinetobacter*.

6 Again, broadly, how do we overcome these
7 challenges and barriers while assuring that the drugs
8 are shown to be safe and effective for their intended
9 use?

10 Actually, it's probably worth spending a
11 little time as opposed to necessarily getting into
12 enormous detail on the above bullets, in talking about
13 the concepts of what constitutes safety and efficacy
14 in this setting. Again, some of that has already been
15 covered in this morning's session.

16 Question 2: Based upon the presentation
17 from this morning as well as, obviously, your own
18 experience and observations, please comment on a
19 focused drug development approach for resistant
20 pathogens. Obviously, we would like you to include
21 the following in your discussion:

22 The likelihood that such a program will
23 provide sufficient data to address safety and
24 efficacy.

25 We certainly would like you to talk a

1 little more about the issue of the role of data from
2 sensitive strains of the pathogen to support, for
3 instance, an approval for out-of-class resistance,
4 i.e., if we think, for instance, we have a new drug
5 for VRE, the new drug shows no cross-reactivity in the
6 laboratory with Vancomycin. How much data can we get
7 out of treating susceptible strains of *Enterococci*?

8 I mean, this has been discussed this
9 morning. We do believe it is potentially quite
10 useful, but it would be helpful just to hear anymore
11 comments, if there are things that were not covered
12 with regard to this.

13 I think the role of nonclinical data
14 and/or PK/PD data: Obviously, we've just heard two
15 presentations about the latter.

16 Finally, if anybody has anything they
17 would like to touch on with regard to incentives for
18 developing drugs for resistant pathogens. This may,
19 in fact, ultimately come more from the industry
20 representatives who are here.

21 One should be aware that, although there
22 are certain types of exclusivity that already exists
23 via existing legislation, as well as some mechanisms
24 we have to expedite drug development, certain other
25 mechanisms that people have talked, i.e., wild card

1 exclusivity, etcetera, would in fact require
2 additional legislation from Congress.

3 Finally, two other questions: Basically
4 any other issues, ideas, etcetera, or alternate
5 strategies or approaches you would like to present or
6 discuss regarding the development of drugs for the
7 treatment of resistant pathogens;

8 And any comments -- question Number 4 --
9 you would like to make about approaches that might be
10 used to preserve the efficacy of currently marketed
11 antimicrobials and, in fact as well, new
12 antimicrobials that might be developed.

13 Again, we've had some very good discussion
14 about the pros and cons of restricting availability.
15 obviously, that is not the only approach, and I think
16 one of the goals is, if considerable effort is made to
17 develop new drugs for resistant indications, what can
18 we do to keep the usefulness of those drugs around for
19 a while? Thank you.

20 CHAIRMAN RELLER: Dr. Chesney.

21 DR. CHESNEY: Just to get things started,
22 the barriers challenges that hinder drug development
23 for resistant pathogens -- I think most of them, maybe
24 all of them, have already been mentioned.

25 I think the issue of a surrogate marker is

1 so important. I think, for those of us that -- Well,
2 all of us care for these patients all the time. Dr.
3 Schentag's point that the place that we most often see
4 the differences in the first two or three days of
5 therapy based on sterility of the cultures, and this
6 idea of having to wait until ten days to evaluate or
7 compare the patients is not, I think, where the answer
8 is.

9 Then one of the issues that was brought up
10 this morning, which is that the current drug
11 development is so focused on indication, and I think
12 we have to get away from skin and soft tissue and just
13 go to organism focused studies.

14 Then, which has also been brought up, the
15 concept that you have to have no preceding
16 antibiotics, when in fact that's the very reason that
17 patients develop resistant organisms, and certainly
18 for children in the otitis media study, the place
19 where we see the most resistant organisms is in the
20 child that's already on antibiotics or was on
21 antibiotics 24 hours ago.

22 So just to get things started.

23 CHAIRMAN RELLER: I would like to pick up
24 one theme from yesterday with a question for Dr.
25 Chesney. Doctors Drusano and Schentag suggested there

1 may be, based on PK/PD data, ways to prevent
2 resistance. Certainly, the task force had much
3 devoted to how we could prevent resistance, in the
4 first place.

5 I think most people believe that,
6 particularly for resistant *Pneumococci*, the widespread
7 use that 75 percent of antimicrobials for respiratory
8 tract infections, some of which -- we might debate the
9 percentage, but clearly a portion of which is totally
10 uncalled for.

11 So my question, Dr. Chesney, is are there
12 subsets or trial designs perhaps with CDC or NIH
13 support to delineate those respiratory tract
14 infections where, in fact, therapy may give greater
15 harm than it does benefit, those children with otitis
16 media who do not need antibiotics, for example.

17 Clearly, as you pointed out yesterday,
18 there are some that every pediatrician would say --
19 and double tap studies would confirm -- that it's
20 necessary. Those patients with acute exacerbations of
21 chronic bronchitis who do not, where in fact doing
22 placebo controlled trials would help delineate with
23 those subsets those patients with greater certainty
24 for which antibiotics are not necessary and, in fact,
25 there's a downside to using them, that could be the

1 basis for the promotional efforts that were given in
2 our background documents to decrease the superfluous
3 use of antibiotics that helps to create the very
4 problem that we spent a lot of time addressing.

5 So this is looking at it in an entirely
6 different light, not the ethical dilemmas of active
7 control versus placebo, but capitalizing on what we do
8 not understand fully for those subsets where, in fact,
9 not only would placebo be an ethical thing to do. It
10 could provide us the very data that we could delineate
11 those patients targeted for non-use as one part of
12 preventing resistance in the future.

13 DR. CHESNEY: I think I understand what
14 you are asking, and I think, absolutely, we need to
15 delineate the subsets of patients who currently are
16 getting antibiotics who don't need them.

17 I think that, actually, pediatricians have
18 been very aggressive in this regard, along with the
19 CDC. For example, I think rarely do people use
20 antibiotics now for suppressing recurrent otitis
21 media. I think that's pretty much gone.

22 Another population that I think is
23 important is the sickle cell population. In spite of
24 the fact that these children are now getting
25 pneumococcal conjugate vaccine, *H. flu-B* conjugate

1 vaccine and getting the 23-valent vaccine, it is still
2 recommended that they go on prophylactic penicillin.

3 The problem with that is, until five years
4 of age, they also go to daycare and they have family
5 members, and so transmission of those organisms. And
6 that's a population that I think we could almost --
7 that we need to look at in addition to the routine
8 respiratory tract populations.

9 Does that answer the question?

10 CHAIRMAN RELLER: You've included some
11 groups that I hadn't thought of. But Dr. Shlaes has a
12 comment, and Dr. Archer also.

13 DR. SHLAES: Actually, I think one of the
14 interesting aspects to the issue that you raise is
15 that it's important not to consider antibacterials in
16 a vacuum in this regard.

17 For example, I think one of the neglected
18 areas in industry and in human health is acute
19 respiratory viral infections. Now we've had an
20 example in the last few years where we've had a couple
21 of flu drugs come out. I'm not sure that they have --
22 this experience has encouraged the industry in this
23 regard.

24 I think this is a mechanism by which one
25 might make a dent in an appropriate antibiotic use by

1 offering physicians an alternative to treatment of
2 acute respiratory viral infections. How this will
3 play out -- There's a drug, I know, before the agency
4 now from Aventis for rhinovirus.

5 So how this will play out, I think, in the
6 future is going to depend on how physicians view this
7 -- how one can conduct clinical trials to look at
8 these very short duration, acute illnesses, but which
9 account for a very large percentage of outpatient
10 antimicrobial usage, outpatient antibiotic use.

11 So I think that is one area where we as a
12 society and the FDA as a regulatory agency and
13 industry are going to have to look very carefully at
14 how we can look at this area of acute respiratory
15 viral infections to get drugs out there, so that drugs
16 are actually used appropriately for those indications
17 as opposed to inappropriately.

18 One of my, I thought -- I was
19 disillusioned recently when I asked a group of
20 infectious disease physicians at a conference that we
21 were at what they would do when this drug would come
22 out. A pretty uniform response was they would often
23 use both, because they are never sure whether somebody
24 has a bacterial infection or not, which gets to the
25 issue of diagnostics. I think this is something that

1 we as a society need to think about looking forward.

2 Then the other comment I'd like to offer
3 is: At the Institute of Medicine meeting which took
4 place a couple of weeks ago on resistance, actually,
5 David Bell was talking about this, and I'll try and
6 paraphrase what he said.

7 He said a lot of the things we do to
8 prolong the utility of the antibiotics we have now and
9 to kind of prevent emergence of resistance is really
10 like putting your fingers in the dike, and that what
11 we really need is we really need a continuous pipeline
12 of new agents, because these bacteria are going to
13 outsmart us in ways that we haven't thought of yet,
14 just like the case that Lou Rice mentioned where
15 vancomycin came out long before we had MRSA, which is
16 its primary use right now.

17 So I think that is something that we also
18 have to keep very high on our list. Thanks.

19 CHAIRMAN RELLER: Dr. Archer, then Dr.
20 Ramirez.

21 DR. ARCHER: Speaking of diagnostics, I
22 think we haven't spoken about this much, and I think
23 one area where diagnostics would be particular useful,
24 although a huge challenge, would be differentiating
25 colonizing from infecting isolates.

1 I can think of certainly hospital acquired
2 pneumonia as a huge example of we have abundant
3 bacteria, but we don't know if they are causing
4 infection or not. And then coagulase-negative Staph.
5 in the blood. Just as two examples.

6 This might be one area where I know the --
7 Dr. Tally said that kind of dissed genomics earlier as
8 not having done much for drug development, but they
9 might actually help and lead to diagnostics, if we
10 could look at post-genomics, for instance, to look at
11 genes or proteins that are particularly turned on at
12 the site of infection versus colonizing sites.

13 There might be a way to do some type of
14 RTPCR for diagnosis of these isolates.

15 I think, if you could differentiate
16 colonizing form infecting isolates at the outset, then
17 you could eliminate a lot of inappropriate drug use.
18 You could eliminate noninfections from trials so that
19 you could follow eradication of two infecting isolates
20 versus those that were only at colonizing sites.

21 I think there's a lot of other diagnostics
22 that we haven't talked about, but I think those are in
23 many cases just as important as developing new drugs.

24 CHAIRMAN RELLER: Dr. Ramirez.

25 DR. RAMIREZ: Yes. I would like to make a

1 negative comment to the area of prevention. Even
2 though -- and we all emphasize prevention, but one of
3 the realities is that if you look at the literature,
4 outside of *Staph. aureus* that was reported as
5 resistant to penicillin in the United States, more
6 than 90 percent of any other organism that had
7 developed resistance to any antibiotics have been
8 generated in a foreign company.

9 Resistance is an international issue. You
10 can make whatever study you want to. You make all
11 your family medicine doctors not to use antibiotics
12 for acute bronchitis or for viral infection. You
13 still got a resistant organism each year.

14 This applies for the community acquired
15 organisms that travel back and forth all over the
16 world. Then in our intensive care units, we are
17 generating resistant organisms due to the quality of
18 the patients, and there is no way out. We want to
19 keep using antibiotics.

20 Then even though as infectious diseases,
21 we always say, well, we look at the industry to get
22 new drugs as the last resort, but this is the only
23 resort that we want to have. I mean, we need new
24 drugs.

25 I would like to go back to the point why

1 we are here, is that we are here trying to -- because
2 we know that we need new drugs, and we are here trying
3 to figure out how can we make the approval of the new
4 drugs easier. This is how we have to come out with
5 ideas.

6 Now I don't want to put my two cents
7 regarding after all this discussion today. When you
8 look at resistant pathogens with moderate to high
9 prevalence or resistant pathogens with low prevalence,
10 and we are talking the VRE, the *Acinetobacter*, the
11 *Pseudomonas*. From the different presentations, I
12 think that we definitely need to define trials in
13 which we enroll the patient with all the risk factors
14 for resistant organisms. I mean, the trial has to be
15 defined in this way.

16 I like the idea that, if we have the
17 population, we have the patient with risk factors, and
18 even one risk factor will be the patient with the
19 positive culture. Then defining a trial that the
20 entrance to the file is going to be the patient with
21 the positive culture. Then this is going to be the
22 inclusion criteria.

23 I think that we have to go and eliminate -
24 - This was already discussed -- eliminate this idea
25 that to get an approval you need to show the site of

1 infection and then the organism. You need to show the
2 skin and soft tissue and then show the organism.

3 Probably we just need to get approval for
4 the organism, because in reality what's happening in
5 real life is that at this moment, if we get 100 ID
6 physicians, I will say, okay, you have to tell me what
7 is the approval for linezolid for VRE? Who are?
8 That means you have VRE in the urine, in the blood, in
9 the skin, you just use linezolid.

10 Then we don't care in reality to see -- I
11 mean, we care about the resistant organism and the
12 drug. Is this -- Now we understand that the organism
13 isn't a CSF. I mean, we may have a different type of
14 dosing, but if the organism is in the blood or is in
15 the lung or is in the urine or is in the soft tissue,
16 it is the same. So we want to use the antibiotic.

17 Then I think that we need to concentrate
18 probably on developing a trial that you have a
19 positive culture, you enroll the patient, and then
20 because these patients are going to have multiple
21 medical comorbidities, the clinical outcome -- we
22 cannot follow the clinical outcome -- we have to look
23 at bacteriological outcome.

24 I feel this is almost in agreement,
25 because we are talking of all of these surrogate

1 markers, but the only one that is clear is
2 bacteriological outcome.

3 I would probably suggest that -- I was
4 thinking here. If I have to look at bacteriological
5 outcome, we agree that probably the sputum is not a
6 good sample, but I would get a specimen urine, blood
7 and CSF, probably three specimens that if I can repeat
8 a particular time the culture in the same specimen,
9 the MDR organism is not there -- I mean, this would be
10 a good outcome.

11 I really don't know what happened with the
12 patient, because this patient is very sick, and the
13 patient most likely is going to die of whatever other
14 diseases. But this is going to be the outcome.

15 This is what I come out with after all
16 these discussions, how to probably decrease the number
17 of patients. I also agree with the idea that this has
18 to be low quantity, high quality. This has to be the
19 specific center, the very good clinical investigator,
20 minimum number of patients, high quality of research.

21 CHAIRMAN RELLER: Dr. Bell.

22 DR. BELL: Dr. Ramirez said some of what I
23 was going to say. He said many other wise things,
24 too. But I want to reiterate that, although the
25 approach to dealing with antimicrobial resistance has

1 to be multi-faceted, we need diagnostics, etcetera,
2 which was laid out in the Public Health Action Plan.

3 We are only kidding ourselves if we think
4 that we are going to solve the problem by judicious
5 use guidelines and diagnostics and stuff. We need new
6 drugs.

7 I would encourage the FDA and the drug
8 companies and NIH to be aggressive in making sure that
9 we have the new supply -- we have the constant stream
10 of new drugs, because the trends are all going upward,
11 and it all comes down to that.

12 I think we should be using these other
13 parameters that have been alluded to here. One
14 question I have for the -- I guess maybe it's for the
15 industry. Are there any lessons that were learned
16 from the recent experience with Synercid and Zyvox
17 that might be instructive retrospectively in terms of
18 -- well, profitability of those drugs or issues
19 regarding clinical trials that, you know, from a
20 retrospective look might be informative in terms of
21 how things could be done differently?

22 CHAIRMAN RELLER: I want to make sure we
23 get back to Dr. Miller but, Dr. Shlaes, why don't you
24 respond to this, if that's what your hand was up for.

25 DR. SHLAES: Well, I'm hoping that there

1 are a lot of people out there in the audience who can
2 help with this. But I think everybody learned a lot
3 of lessons from both of those situations, Synercid and
4 linezolid, and I think actually Dr. Goldberger's idea
5 of less quantity and more quality probably comes from
6 that experience; because I think in the case of
7 Synercid there was a lot of quantity and not much
8 quality in a lot of those cases.

9 It was very hard to sift through the data
10 to figure out what was what, and I know this Committee
11 struggled with that for a long time. So I think that
12 was one of the lessons that was learned.

13 Another lesson that was learned was this
14 idea of getting more pathogen specific and looking
15 across clinical indications at efficacy against
16 pathogen and using data from one indication to support
17 efficacy in another indication. I think that was
18 another valuable lessons that we all, I hope, learned
19 from those experiences.

20 I'm not sure what industry has learned on
21 the commercial side, to be perfectly honest, from
22 those two drugs. Both drugs have serious issues with
23 toxicity, which are impacting their sales.

24 So I'm honestly not sure what commercial
25 lessons we've learned. Maybe if there's somebody else

1 around who can speak to that better than me -- Is
2 there somebody who wants to take a stab at that?
3 Okay, they've left me out to dry. Good.

4 DR. TALLY: We were asked of the impact of
5 this, because with developing a drug it's -- do we
6 learn lessons? I don't think we've had enough time
7 with linezolid on the market. It's the second full
8 year.

9 I know they are disappointed in the amount
10 of sales they have at this point in time in that
11 flattening off. I know Synercid -- Aventis stopped
12 detailing Synercid this year, and their sales are flat
13 and possibly going down.

14 I remember Lou Rice telling me at one
15 meeting that the clinicians will figure out which drug
16 to use, and I think what they have done is substituted
17 linezolid for quinupristin/dalfopristin, because it's
18 a safer, easier agent to use and seems to work in
19 those patients. But I think it's finding its place,
20 based upon what David just said, with the recognition
21 that there is some adverse events associated with it.
22 But I don't think we've gotten enough of the data to
23 see the final decision on the commercial, because it
24 takes three to four years, really, to gather all that
25 data, but I know there is disappointment there at this

1 point in time.

2 DR. MILLER: I just wanted to take a
3 moment to go back to David Bell's skepticism about
4 prudent drug use. I guess in the immediate time frame
5 I agree with that. However -- and we've said -- A
6 number of people have said this, and we have trouble
7 identifying patients for these trials.

8 If we had a diagnostic method, we could
9 overcome those limitations. We have difficulty using
10 the drugs, because we don't know enough about the
11 culture and sensitivity of the organisms, because
12 basically on standard of practice right now, we don't
13 do a lot of that. So it's empiric therapy.

14 So I guess I throw back to FDA: Is there
15 any precedent to ask the pharmaceutical sponsors to
16 come in with diagnostic methods at the time they come
17 in with their drug applications or if there would be
18 any way to leverage that activity or boost the
19 development of diagnostics?

20 The other statement or the other issue I
21 wanted to return to, and I know that will increase the
22 cost of drug development, so we have to be careful
23 there. But also post-marketing surveillance in terms
24 of assessing whether we are actually using the drugs
25 optimally, monitoring for resistance where we can link

1 drug use to resistance in the isolates and in specific
2 patients, and then -- I know this will be heresy as
3 well -- using the outcome of resistance as an adverse
4 event to then go back and either change labeling or
5 withdraw drugs or do other actions within purview of
6 FDA. Thank you.

7 CHAIRMAN RELLER: Doctors Soreth, Ross and
8 Sumaya.

9 DR. SORETH: To answer your question, Dr.
10 Miller, about using diagnostics as leveraging within a
11 drug development program, I don't think we've done
12 that as such. I know in discussion of enrichment
13 strategies for PRSP, there is utilization of a
14 *pneumococcal* urinary antigen test in such trials, but
15 we certainly didn't use it as leveraging in a
16 company's drug development program. But it's an
17 interesting thought.

18 To make a comment about something that Dr.
19 Ramirez said with regard to site specific indications
20 or claims versus organism driven claims, I just wanted
21 to make a couple of comments.

22 Although I think we understand the
23 importance of quality data, data that would perhaps
24 give us an experience of a drug's efficacy in
25 bacteremic patients where we would have some

1 confidence that the placebo rate or spontaneous rate
2 for cure approaches zero, if not is zero.
3 Nevertheless, I would still make a plug in some
4 scenarios for site specific study of a drug's
5 efficacy, because knowing how the drug performs in
6 patients with bacteremia might be very different from
7 knowing the drug's effectiveness in certain
8 sequestered sites.

9 You mentioned the CSF. We don't
10 necessarily know how well drugs penetrate bronchial
11 tissue, pulmonary tissue, based solely on experience
12 from bacteremic patients.

13 So in addition, there is important safety
14 information that comes from easier to study, site
15 specific infections, knowing that the majority of
16 those patients are not going to have resistant
17 organisms, because we are talking about organisms that
18 occur at a low prevalence.

19 So I think we are trying to look at
20 combinations of the traditional approach that might
21 give us a lot of information about how a drug performs
22 in a certain site and what the safety margin is, in
23 combination with those smaller numbers of patients who
24 have resistant organisms. I think the two taken
25 together will help us work more quickly and get to

1 where we want to be at the end of the day with a drug
2 development program.

3 I don't think, particularly in patients
4 with resistant organisms who may, as is the case with
5 VRE, be fundamentally sicker patients, we necessarily
6 well understand a drug's safety profile, because there
7 are so many confounding factors in those patients.

8 CHAIRMAN RELLER: Dr. Ross.

9 DR. ROSS: I just wanted to follow on Dr.
10 Soreth's remarks about pathogen specific versus site
11 specific indications. There's, obviously, pros and
12 cons to both approaches.

13 Historically, if you look at some very old
14 antibiotic labels, they will state that the drug is
15 indicated for treatment of serious infections due to
16 such and such pathogens. Then there's a shift to
17 treatment of, for example, lower respiratory tract
18 infections, and then more recently, much more defined
19 sort of sites of infection.

20 This becomes problematic with organisms
21 like VRE where you may not really have enough bugs at
22 one particular site to really get a study that has the
23 statistical power that we normally would want.

24 One of the things to keep in mind,
25 however, about pulling things across different sites

1 of infection, that with the same pathogen as the
2 natural history and the outcomes can be very
3 different.

4 Just to take a specific example, for the
5 linezolid application -- I presented this to the
6 Committee in March of 2000. This was just to set the
7 framework. This was a dose response trial comparing a
8 high dose of linezolid versus low dose of linezolid in
9 patients with VRE infection at various sites.

10 There were differences in outcome in
11 patients with VRE bacteremia at the high dose versus
12 those who had it at the low dose with a higher
13 response rate at the high dose. In contrast, in
14 patients who had urinary tract infections due to VRE,
15 the two arms had outcomes that were much more similar.

16 This becomes important if you are trying
17 to say, well, what's the benefit, what's the value
18 added of a drug, especially if you are talking about
19 patients with UTI in a nosocomial setting where one
20 major part of the treatment effect is taking out the
21 Foley.

22 So I think that's one of the reasons that
23 we are interested in looking at the site of infection.

24 The other aspect of it is that, if you start pooling
25 pathogens and pooling infections at different sites,

1 you are mixing together very different patient
2 populations.

3 That may be okay, but you need to
4 understand you are doing it, and it can become
5 complicated, especially if you are doing things with
6 historical controls, which is one other thing on the
7 table.

8 So I just want to make those potential
9 problems and pitfalls -- put those on the table.

10 CHAIRMAN RELLER: Doctors Chesney,
11 Rotstein and Ramirez to respond to Dr. Soreth. But we
12 need to keep in order, for fairness. Yes, Dr.
13 Chesney?

14 DR. CHESNEY: Thank you. This is a quick
15 one. But again what are barriers hindering drug
16 development? Getting enough resistant pathogens -- I
17 just wanted to emphasize the concept that -- or the
18 point that Dr. Talbot made, which is developing
19 networks.

20 Dr. Goldman mentioned a very resistant
21 Burkholderia, and we had one recently. If we knew
22 through networking that a certain company was looking
23 at that particular drug, then I think that it would be
24 much easier to accumulate some of these very resistant
25 organisms.

1 CHAIRMAN RELLER: Dr. Rotstein.

2 DR. ROTSTEIN: I would like to return to
3 the question at hand here and ask if we could possibly
4 liberalize the guidances with regard to resistant
5 organisms. If we don't have proper guidances, maybe
6 that's a thought, that we need new guidances for
7 resistant organisms, something totally different, so
8 to write some regulations in that regard so that we
9 can make progress in this area.

10 In addition, I would like to just talk
11 about some surrogate markers for MRSA. We've been in
12 the habit of using the MRSA probe, and this has helped
13 us considerably in making the diagnosis of MRSA at an
14 earlier stage.

15 What often happens with MRSA is you get
16 the organisms. You have to wait at least 48 hours
17 thereafter to confirm that it's MRSA. That is a total
18 of 72 hours. If you could use a probe, we could have
19 the answer within hours.

20 So you would swab a lesion or sputum,
21 whatever, use the problem, and possibly using an MRSA
22 probe or other probes, PCR probes that we do have. We
23 could get answers faster and then be able to initiate
24 therapy earlier.

25 The problem with resistant organisms is

1 you don't know if it's a resistant organism, as people
2 have said before, when you start empiric therapy. You
3 find out about it afterwards. The use of probes that
4 are allowed, because they are not currently allowed in
5 most protocols, would certainly help this issue.

6 Thank you.

7 CHAIRMAN RELLER: We'll come to the back
8 table. Dr. Sumaya, you still have your query, and Dr.
9 Ramirez. Then we'll get back to Dr. Goldman and Dr.
10 Talbot and Dr. Rice.

11 DR. SUMAYA: My comment, I think, relates
12 to what Dr. Bell had said, and you started out with --
13 also commented on by Dr. Ramirez.

14 I'm very supportive, because this is a
15 very big issue and will get worse as time goes on, and
16 the need for the pipeline and how we can facilitate
17 the process through FDA and others and potentially use
18 incentives and even marketing support of some type.

19 We are looking at the eligibility criteria
20 to be able to bring in the appropriate types of
21 subjects in to develop studies, and the surrogate
22 markers, I think, is another very important area, and
23 I would be very supportive of that above the clinical
24 data that would have to be there. But I think
25 surrogate should be the first amongst equals, you

1 might say. However, in saying that, I think that it's
2 important for this type of national problem that we
3 look at it on a national basis and look it as the
4 population or public health base.

5 That's where I wear my other hat. So I
6 think it's very important that we look at the genesis
7 of this carefully. I think the genesis principal
8 factors in that relate to the use of antimicrobials,
9 the indiscriminate use, widespread use which may not
10 be best in many cases.

11 So I think we need to invest some time and
12 some dollars looking at that particular issue and the
13 opportunity here, because we are talking about
14 industry working with FDA and other public health
15 service agencies, networks. This may be the
16 opportunity.

17 So I was very pleased when I saw Dr.
18 Soreth talk about having education in addition to
19 research and other activities in her presentation, and
20 even in the latter presentation that we had by Dr. --
21 well, it was the John Wayne presentation -- Drusano.
22 The use of the laboratory in looking again in the
23 genesis of antimicrobial resistance, that development,
24 I think, is also extremely appealing.

25 So what I would say is this is an

1 international problem, but in this country we have the
2 widest access to the widest amount of antimicrobials
3 of any other country, and so I think it's a particular
4 problem that we have to be very careful in.

5 So I would put a lot of money into
6 preventive type measures as well.

7 CHAIRMAN RELLER: I was curious with Dr.
8 Sumaya's comments and Dr. Bell, and I'll prod him a
9 little bit on the Centers for Disease Control in
10 prevention.

11 You know, you expressed, David, some
12 skepticism about the ability to affect indiscriminate
13 use.

14 DR. BELL: No, no, no.

15 CHAIRMAN RELLER: Our inability to
16 appreciably affect the indiscriminate use of
17 antimicrobials. You didn't say that?

18 DR. BELL: No.

19 CHAIRMAN RELLER: That's what I heard.
20 Maybe we just haven't been as innovative or provided
21 conscientious practitioners through rapid diagnostic
22 measures, you know, alternatives like Dr. Shlaes
23 mentioned, appropriate advertising, marketing of
24 appropriate use to prevent some of this, not in any
25 way diminishing the need for new agents. But we also

1 heard Dr. Shlaes say you go back to the shelves,
2 there's not much there.

3 Dr. Tally said genomics of the organisms
4 and innovative chemistry has been disappointing to
5 date. So that I don't hear, all incentives to the
6 contrary, that -- and given the time lag and the cost,
7 that there is going to be an immediate solution with
8 new agents.

9 I mean, it's not -- You know, what we want
10 to avoid is the heresy of the exclusive emphasis. I
11 mean, there isn't one solution to this problem, and we
12 need perhaps a continuing balance and long term
13 approach.

14 I don't want to take Dr. Ramirez's time,
15 but David, why don't you go ahead and respond, to keep
16 it focused here?

17 DR. BELL: Well, I think everybody agrees
18 that the way we are going to deal with anti --
19 Antimicrobial resistance is never going to go away.
20 What we need to do is turn it from an urgent problem
21 into kind of a routine problem.

22 There are several facets that need to be
23 addressed simultaneously. The Public Health Action
24 Plan to combat antimicrobial resistance, which many
25 folks here provided input on, provides for

1 surveillance and prevention and control and research
2 and product development. All these are important.

3 In fact, I might as well just say, the
4 task force is going to have its first annual report
5 coming out this spring to be discussed at an open
6 public meeting in the Washington area June 26th. So
7 we would like to take that opportunity to present what
8 the agencies have done so far and get further input.

9 There's quite a bit in there under
10 prevention and control that CDC has been doing. I
11 mean, certainly, antibiotics are way overused and
12 misused in this country, and that is a major driver
13 for resistance, and we need to cut back on the overuse
14 and misuse.

15 There's evidence that we can do that, and
16 CDC and partners have been working with state health
17 departments and medical associations and consumer
18 groups and a variety of other groups in the community
19 and health care settings and in agriculture to try and
20 reduce the overuse and misuse.

21 I think that, certainly, when no
22 antibiotic is indicated, that's a clear message there
23 for viral infections, when we are to treat
24 colonization, when we know that we are, we can reduce
25 that.

1 There's less evidence that that actually -
2 - that reducing overuse and misuse actually lowers
3 resistance rates. There's some more reason to believe
4 that it might possibly prolong the inevitable
5 development of resistance, but I don't mean to detract
6 for one minute -- and I'm sorry if my comments were
7 misunderstood, and I want to take -- You know, I want
8 to make this very clear, particularly if there are any
9 journalists in the room or anything.

10 I mean, it's a major concern. We need to
11 cut -- and we can do this. All I'm saying is that
12 this alone will not work, that it is a matter of
13 putting our finger in the dike, and we do need the new
14 drugs, and this meeting is about how do we get the new
15 drugs. That's all I wanted to say.

16 CHAIRMAN RELLER: Dr. Ramirez. Then we
17 need to get to the back table here. So let's go.
18 Ramirez, back table, and Dr. O'Fallon. I think that
19 was the order, and Dr. Maxwell.

20 DR. RAMIREZ: Yes. I totally agree that -
21 - This is why I mentioned that just education alone is
22 not going to work.

23 It has been mentioned several times -- Two
24 comments -- several times that the new diagnostic
25 methodologies. I can tell you our experience in

1 Louisville. Gene Summers, the Director of our
2 laboratory -- we've been working with atypical
3 pathogens for years, and he is concentrating
4 developing internal techniques for the diagnosis of
5 atypical pathogens.

6 For several years probably most of new
7 antibiotics that have been approved by the FDA --
8 there have been the multi-center studies, all the
9 samples to our reference laboratory, and we have for
10 legionella, mycoplasma, chlamydia, whatever test is
11 there, maybe PCR, every culture, we are doing, and we
12 are getting samples flown all over the world.

13 Then besides having this reference
14 laboratory, approximately three years ago we said,
15 well, what about we go to the community now, because
16 we are -- You know, we have cultures. We can do
17 Chlamydia culture every day. We can offer -- We are
18 offering this to all the drug companies. We are doing
19 all this multi-center.

20 So three years ago we decided to go to the
21 Louisville community. I said, listen, guys, you want
22 to make diagnosis of atypical pathogens, we have a
23 state of the art here at home. You don't need to send
24 it to California. You just -- here.

25 We spent all this money and effort in the

1 market in our tests. We get one PCR request every two
2 months. Why? Because everybody say why you are going
3 to be asking -- why you are going to spend the money
4 on any of your fancy tests when I just use the
5 fluorospector -- it's going to cover everything.

6 This is what all the societies are telling
7 us. You just use this antibiotic. That covers the
8 organisms that may cause this. Then the bottom line
9 is that even new diagnostic methodologies is not going
10 to help. Physicians are going to -- they are not
11 going to order the tests. Physicians are going to use
12 the antibiotics that is there that cover the bulk of
13 likely organisms.

14 Then again, to me, education is not going
15 to work. New diagnostic methodologies are not going
16 to work. And I want to go back then to the process of
17 developing new drugs.

18 Again, we look at the full population of
19 patients. We've been saying that enrichment of the
20 population -- The problem with the enrichment may work
21 for otitis media, but the problem is that when you get
22 resistant organisms and you start looking at the risk
23 factors for resistant organisms, risk factor for
24 *Pseudomonas*, *Acinetobacter*, the VRE, the MRSA, you
25 keep getting to this tunnel that all the risk factors

1 are the same.

2 You need to have the patient with multiple
3 medical comorbidities, immunocompromised as being in
4 the hospital for sometime. Then essentially, if you
5 want to do the trial, your inclusion criteria is going
6 to be these patients with plenty of risk factors.
7 Still, you have to enroll 200 patients to get at the
8 end the four or five patients with MRSA, the four or
9 five patients with *Acinetobacter*.

10 This is why I think that the inclusion
11 criteria should be the positive culture, not the risk
12 factors, because again we need to try to decrease the
13 number of patients that we evaluate.

14 The other problem that I see with the site
15 specific -- and I want to again defend my position of
16 why not site specific, because some site specific is
17 very simple to get the organism. The urine is
18 classical one, because part of the clinical diagnosis
19 of UTI is get the 10^3 bacteria. Then you really have
20 the organism as part of the clinical diagnosis.

21 When you get into a skin and soft tissue
22 infection, you got to enroll a lot of patients to be
23 able to figure out one organism causing the skin and
24 soft tissue infection. The industry already say you
25 have to enroll ten patients to get one organism. You

1 enroll ten. This is \$30-\$40,000 for each one of these
2 ten to get one organism.

3 This is why I think that we need to be
4 more flexible with the site specific approval, if we
5 want to get these drugs quickly for us to be able to
6 use for these multi-resistant organisms.

7 CHAIRMAN RELLER: Dr. Goldmann.

8 DR. GOLDMANN: Probably you have all seen
9 Indian Jones and the Temple of Doom where Indian Jones
10 is in the bottom of the tomb, and he is surrounded by
11 snakes with his love interest, and he is trying to
12 figure out a way out. So I would say that he needed
13 some new tricks, just as we need some new antibiotics,
14 because we are surrounded by snakes. But try and
15 imagine an Indiana Jones in which he wasn't surrounded
16 by snakes, and he had weeks and years, if he wanted,
17 to try and figure out the best routes of escape from
18 the tomb.

19 So we've sort of gotten ourselves in the
20 position where we have no choice but to ask the
21 pharmaceutical industry to come up with new drugs and
22 do it pronto to give us armamentarium to really make
23 some rational decisions about treatment and control.

24 That said, I think that everyone here
25 would probably benefit from reading the Institute of

1 Medicine report, "Crossing the Quality Chasm," which
2 has an epilogue written by Paul Plessig on complex
3 systems theory.

4 I had sworn I was never going to use this
5 jargon in my entire life to a group physicians and
6 scientists, but I've done it now, because if ever
7 there was a complex system to which his thinking
8 applies, it's the problem we have before us.

9 To get some flavor for what we need to
10 accomplish, I would urge FDA and pharmaceutical
11 industry, in particular, to ask themselves what was it
12 that allowed Ceclor to become the number one drug in
13 terms of dollar sales in oral antibiotic virtually
14 overnight, and even as late as a study -- I think it
15 was in 1998 -- in Colorado Medicaid population, it
16 remained one of the major second line drugs for the
17 treatment of otitis, even though, in my humble view,
18 the drug has no use in the modern therapeutic
19 armamentarium.

20 What it was that made Cipro become the
21 number one dollar selling oral antibiotic shortly
22 after its introduction, again primarily for the use in
23 respiratory tract infections -- So I think we have to
24 ask ourselves what the regulatory and commercial and
25 market forces were that allowed that paradigm to play

1 out and continues to play out in other ways in the
2 current day.

3 I have to agree with David Bell in many
4 respects. I think that the potential for doing better
5 prevention is very real, and we'd best pay attention
6 to how to do this best and invest the resources in it.

7 There is absolutely no question that
8 outpatient use of antibiotics can be improved. The
9 evidence is becoming very clear that a multifactorial
10 behavioral approach using data feedback, physician
11 reminders, education and other behavioral techniques
12 will have an impact.

13 There is no question that parental and
14 patient attitudes can be improved. If you take the
15 time to read a paper I just published with mainly a
16 fellow who did most of the work, comparing Germany to
17 the United States published in Lancet and Infectious
18 Diseases a couple of months ago, I was astounded to
19 find the differences in attitudes of patients and
20 parents in Germany versus the U.S.

21 In Germany, by far the request is for
22 alternative therapies for the treatment of upper
23 respiratory tract infections, not for antibiotics. I
24 think there's a lot we can learn from other cultures
25 about how to change the current perceptions.

1 In terms of our use in the hospital, I
2 have to thank Dr. Rice for putting up this wonderful
3 slide of what happened in Rahal's institution, and
4 what was the answer to this problem? It says here
5 elimination of imipenem resistance through contact
6 isolation, patient cohorting and local use of
7 polymyxin.

8 For the elimination of imipenem resistant
9 *Pseudomonas* is just ongoing contact isolation and
10 local polymyxin. So if you look in any intensive care
11 unit in this country, you have to ask yourselves why
12 this is a retroactive -- a totally reactive response
13 to a major problem.

14 How we can have an environment in our
15 intensive care units where still, to this day, study
16 after study after study shows 35 percent adherence to
17 standard hygienic measures. If you were to go into a
18 computer chip manufacturing plant and somebody a
19 second time didn't grease themselves, cover themselves
20 with a mask, a hat, a gown and gloves to make their
21 computer chips, if they did it twice, they would be
22 fired.

23 We have this attitude that we are all so
24 busy that somehow we can't do any better than this.
25 And of course, the problem is exacerbated by a public

1 health system which is supporting to a very -- let me
2 use the word frugal, to be nice about it -- extent the
3 staffing of our intensive care units, in spite of the
4 fact that there is now abundant evidence from
5 epidemiologic studies that overcrowding and
6 understaffing leads directly to increased infection
7 rate with resistant organisms.

8 So I know that's somewhat of an editorial
9 sort of pent up, but this is not a simple solution.
10 It extends from marketing -- I'm sitting here looking
11 this entire time at my conflict of interest, which is,
12 you'll be happy to know, Zosen pen, subliminally
13 getting the feel and touch and look of Zosan all day
14 long and, yeah, you know, I'm impartial. Sure. I'm
15 not influenced at all by this pen or the biscotti that
16 the Pfizer rep brings me when she comes to see me.

17 So it extends from the patient and the
18 parent all the way up through the agencies that
19 oversee the behavior of the pharmaceutical companies.

20 It's a complex system, and there are no easy answers.

21 CHAIRMAN RELER: Thank you. I think next
22 was Dr. Talbot. Then we will come to Dr. O'Fallon,
23 Maxwell, and then to the table at the back at the
24 right. Dr. Rice, you can sequence in after Dr.
25 Talbot, because those ends got blurred a little bit.

1 George?

2 DR. TALBOT: Thank you. We've been
3 advised to think outside the box. So I'd like to do
4 something a little different here, which I would like
5 to actually directly answer Dr. Goldberger's
6 questions.

7 So number 2/1: Nothing I've heard so far
8 suggests to me that the FDA with its experience and
9 competence and charge could not ensure that a focused
10 development program would provide sufficient data to
11 address safety and efficacy for new antibiotic aimed
12 at a resistant pathogen. So I think that the
13 likelihood is very high that that could be successful.

14 That's in part because, as Dr. Ramirez has
15 pointed out, those are patients who have a major
16 medical need. So the assessment of the benefit/risk
17 ratio can take that into account.

18 So how could such a focused development
19 program proceed? We have discussed that. It relates
20 to actually slashes 2 and 3 below, which is use of
21 data on sensitive strains, the use of nonclinical
22 data, the use of PK/PD data. I think all those have
23 to go into making the story that gives you conviction
24 about what is going on.

25 Another important point here to

1 reemphasize is the surrogate point. I discussed this
2 yesterday again today, as have other people. I think
3 one key -- The distilled thought I would leave you
4 with is that one person's surrogate is probably
5 another person's endpoint.

6 If we look at that with relation to the
7 delta issue, we have a choice sometimes of changing
8 the delta, which may or may not work, or we have a
9 choice of changing to an endpoint where you can apply
10 a rigid delta and have confidence in your conclusions.

11 I would suggest that some "surrogate endpoints"
12 actually should be true endpoints that can be studied
13 with statistical certainty and lead to an approval.

14 My last point relates to the Subpart H and
15 the surrogate endpoint question again. I think one
16 disincentive -- and that's the last slash under number
17 2. One disincentive is the Subpart H requirement for
18 confirmatory trials.

19 The problem is that, if you have had to
20 use a "surrogate endpoint" in the beginning to get
21 approval, once you've got that conditional approval,
22 it's not clear that it is going to be any easier
23 afterwards to do a confirmatory study.

24 So I would much rather -- I would suggest
25 that companies and the agency try to avoid, if at all

1 possible, that situation where they have to do a
2 confirmatory study in humans, because it may not be
3 anymore possible after the fact than it was before.

4 So I hope that's helpful, Dr. Goldberger.

5 CHAIRMAN RELLER: Dr. Rice?

6 DR. RICE: I just want to again echo the
7 importance of education, and I think in one respect,
8 people have talked about diagnostics. Dr. Ramirez
9 talked about diagnostics being ineffective, because
10 people don't use them.

11 I would predict that strong education,
12 even if people use them, diagnostics will be a
13 failure. All you need to do is walk around the
14 country and look at the number of people who actually
15 have their broad spectrum antibiotic regimen changed
16 because their blood culture has grown out a
17 susceptible organism. I think you will find that the
18 culture suggests that everybody just continues,
19 because they are more worried about what they don't
20 know about than what they do.

21 So I think, in conjunction with
22 diagnostics, there has to be a very broad based
23 education program. That should probably be based
24 around not preventing people from starting
25 antibiotics, but probably encouraging people to stop

1 quickly.

2 Victor Yu and Nina Sing's study out
3 Pittsburgh, I think, is going to be a landmark study
4 showing that you can treat people unlikely to be
5 infected with very short courses.

6 The other final point I just wanted to
7 make in response to why the industry may be
8 disappointed with linezolid and Synercid: Synercid
9 had some administration problems, but it's clearly
10 just tossed. Linezolid is not being used, because it
11 is five times as expensive as vancomycin, and 90
12 percent-plus of the infections you are treating with
13 it can be treated with both.

14 So if industry isn't going to be realistic
15 about that pricing, then all of these will fail.

16 CHAIRMAN RELLER: Dr. O'Fallon. Then Dr.
17 Maxwell and then we will come to Dr. Yuh and others.

18 DR. O'FALLON: We've been -- I'm concerned
19 now to change the direction a little bit here. As not
20 being a physician in the field, I'm more concerned
21 about what are we going to be interested in seeing
22 when we are going to have to judge the approval or not
23 of a new drug for this indication. What kind of
24 evidence do we really want to have?

25 I have some -- I'm very troubled by what

1 I'm hearing, the suggestion that it doesn't matter a
2 whole lot what happens to the patient, that the
3 important thing is to show that the bugs are killed.
4 It is very important to show the bugs are killed. No
5 question about that. The PK/PD, cidal, the whole ball
6 of wax are all absolutely important and necessary.
7 Not arguing.

8 I personally would not want to approve any
9 drug that didn't have any -- appropriate, well
10 designed clinical data, evidence.

11 Now how much would that have to be? Last
12 year or the last year and a half, we have had two
13 applications for labeling for drug resistant. The
14 first one came in with 14 cases, and we said that
15 wasn't enough. The second one came in with roughly 40
16 and got approval.

17 What I am suggesting is this. If there
18 are enough patients out there for a particular
19 indication, organism, however you want to go about
20 that, that there should be a properly controlled
21 study, and I do think it should be a superiority study
22 against a placebo; because, face it, folks, there
23 ain't no history here. We're writing history as we go
24 along. There just is nothing that we can trust in the
25 way of history.

1 So it's pretty much got to be a placebo
2 controlled study, and it should be, obviously, a
3 superiority. We don't want to prove it's less than a
4 placebo. But we have seen some of them with the --
5 where the organisms are very rare but potent. They
6 are a potential bad problem.

7 Then I think that we should be -- What I'm
8 recommending is this. I use the word Phase II in a
9 statistical sense, and that confused you all. There
10 are studies that are used to get preliminary evidence
11 of efficacy. They take 25 to 50 patients. If you
12 define your success variable intelligently, you can at
13 least -- and decide ahead of time what will constitute
14 sufficient success -- I will say 50 percent of the
15 patients succeed would be one possibility -- you can
16 design a study with 25-50 patients that will give you
17 evidence about whether or not the new agent or this
18 agent has that success rate or more in the given
19 population.

20 I would recommend that they at least give
21 consideration to that sort of thing in cases where
22 everyone says there aren't enough patients to do a
23 true comparative trial.

24 The final point: The folks over there at
25 that table are saying that the IDSA, I guess it is,

1 will do anything in their power to help facilitate
2 this. I think one of the things we really need is, as
3 Dr. Chesney said and others have said, a network of, I
4 think, community, community physicians who are going
5 to be willing to participate in well designed studies
6 to establish the efficacy of these patients -- I mean
7 of these treatments in specific diseases.

8 Are there community physicians, and I'm
9 sure there are, who are more than willing to
10 participate in this? Yes, it takes time. It's much
11 more difficult to put a patient on a study and do all
12 the follow-up that's necessary in order to get the
13 endpoints, but I think that's what is needed, and I
14 would like to see something going along those lines.
15 Perhaps NIAID could be helping with that.

16 CHAIRMAN RELLER: Thank you, Dr. O'Fallon.

17 Dr. Maxwell, do you still have something you want to
18 say?

19 DR. MAXWELL: Yes, just briefly. I feel
20 that attacking the problem requires a multi-faceted
21 approach, including many of the comments that have
22 been made, the new drugs in the pipeline, looking at
23 site specific versus bacteriologic measures of
24 efficacy and vice versa, surrogate markers. But I
25 think one important point that has been missed is the

1 consumer, the patient, as Dr. O'Fallon mentioned.

2 The education of the consumer is extremely
3 important, because as a practicing clinician still,
4 there are many patients that will come to me who have
5 no indication for an antibiotic. Of course, I won't
6 give it to them, and they will go get it even on the
7 Internet now, and they self-treat themselves.

8 So I think that it behooves us to look at
9 all of the parameters, including a strong educational
10 effort for the consumer and for the industry as you
11 market drugs to consumers.

12 I think that it is part of the
13 responsibility of the industry also to get the
14 consumer to understand what role they can play to make
15 sure that they are using these drugs appropriately,
16 because most of the consumers just believe, if it's
17 there, you should be willing to give it to me; and
18 they see it as being somewhat mean spirited if you are
19 unwilling.

20 The explanations that you would give as a
21 clinician often falls on dead ears and, matter of
22 fact, many of the clinicians buckle, particularly
23 clinicians in the community who depend on the patients
24 coming to them will buckle and give an antibiotic even
25 though they are that it's just a viral infection.

1 So I would say that education should
2 really not be lost and should probably be a real
3 important component of any strategy that we look to
4 mend this fence.

5 CHAIRMAN RELLER: Dr. Yuh, and others who
6 had their hands up earlier at the PhRMA table.

7 DR. YUH: As a statistician by training, I
8 think I learned a lot today. One of my jobs is to
9 summarize information I learn. So I'd like to share.

10 I think we touched many important issues,
11 in particular, for today's topic. We discussed the
12 pros and cons using the surrogate control. We
13 discussed the PK/PD modeling approach. We discussed
14 the surrogate. We discussed other useful things,
15 enriched design in particular.

16 Everything we are talking about are some
17 pros and cons. I think we cannot generalize for every
18 approach I have heard today to all indications, all
19 the patient population. Perhaps a lesson here is we
20 need a combination of those things here.

21 Maybe a working group can examine each
22 approach. As Dr. O'Fallon says, which one is
23 necessary? Which ones are neither necessary nor
24 sufficient? Which one is sufficient? So we can help
25 understand which one we can use for which indication.

1 In particular, I think I also heard about
2 maybe one trial is more pivotal. We can use all
3 information to support, confirm, the first pivotal
4 trial. I think that is helpful to industry as well.

5 Another one I was thinking about is the
6 safety. The PK/PD, the surrogate marker and so forth
7 may not give enough safety information where we need
8 sometime to show the advantage of the drug. So how we
9 get that information?

10 This is an Astra Zeneca philosophy. I am
11 sure many PhRMA companies share the same philosophy.
12 We talk about cost, everything. We believe patients
13 come first, science second. Everything else can go to
14 third or we can talk about a boundary later. Thank
15 you.

16 CHAIRMAN RELER: Dr. Drusano, can you
17 come up to one of the microphones, and then Dr.
18 Hardalo, you wanted to say something.

19 DR. DRUSANO: Thank you, Mr. Chairman.
20 Just a brief comment. I've been hearing a lot about
21 setting up networks, and I think that is really a key
22 issue.

23 I have also been listening to Dr.
24 Goldberger. There are solutions out there but,
25 unfortunately, many of the high probability solutions

1 require new enabling language from Congress. So we
2 can set those aside. But I think, really, NIAID may
3 have a key role to play in the solution, not to set up
4 study units per se, but to support the development of
5 exportable assays that are going to be probes for the
6 resistant pathogens that you care about, and they have
7 to be exportable.

8 So once you have that as an
9 infrastructural support, drug companies could then put
10 monies into a place like the Infectious Diseases
11 Society, because they could actually go around and
12 would know where the high probability units are, and
13 they would be different from pathogen to pathogen.

14 One unit may have a lot of MRSA. Another
15 unit may have Burkholderia. Another unit may have
16 *Acinetobacter*. So if you have an interest in specific
17 resistant pathogens, these should be funded by the
18 companies, but could be helped out by infrastructure
19 support. And I don't think that would require a lot
20 of other enabling language. Maybe I'm wrong.

21 CHAIRMAN RELLER: Dr. Hardalo.

22 DR. HARDALO: I actually wanted to
23 underline what Dr. Drusano is saying. I think that
24 part of the reason why it costs us so much to do these
25 studies is that the infrastructure costs are fixed,

1 regardless of how big you make the study. If you need
2 to have a certain network in order to capture a
3 certain number of isolates or a certain number of
4 patient cases, it's a fixed cost.

5 We heard very well form Dr. Tally that,
6 even if you are talking about studying an infection
7 that has between 4,000 and 12,000 patients per year,
8 you can expect to spend anywhere from \$180 million to
9 \$200 million just to bring a drug to market.

10 Now if we want to recover the cost of that
11 over five years, you can start doing the math to say
12 what your drug price is going to be. So although we
13 are quite sorry that things like linezolid and
14 Synercid are expensive, it took a lot of work, money,
15 time and resources, and there just simply aren't
16 endless quantities of that.

17 To reiterate what we have also said in
18 terms of how drug companies make their decisions on
19 what to develop, antibiotics universally have fallen
20 in the lower third to middle third of the portfolio
21 when it comes time to make budget decisions based on
22 what we anticipate will be the net present value.

23 Adding on these additional things which
24 are nice to have like post-marketing surveillance for
25 safety adverse events, post-marketing surveillance for

1 antimicrobial susceptibility, all of which are
2 perfectly justifiable but are public health services,
3 not the services of a manufacturer of the drug, will
4 simply increase the cost and decrease the net present
5 value of the antibiotic, making it even less likely
6 that a drug company will choose to develop a new
7 antibiotic and bring it to market, especially for
8 anticipated restricted use.

9 Diagnostics, very clearly, will help,
10 because when you look at community acquired
11 infections, just as Dr. Ramirez has said, why do
12 clinicians not change their prescribing habits when
13 they are prescribing things like Secor and like Cipro?

14 Because they lack the information to tell them to do
15 something differently, either to say it's wrong, what
16 you are doing has a price, or you should be doing
17 something better.

18 Again, the only setting for placebo
19 controlled trials would be in those respiratory tract
20 infections where it's a viral etiology. It would be
21 completely useless to talk about placebo controlled
22 trials for MRSA pneumonias or *Acinetobacter* pneumonias
23 in a hospital. Basically, the placebo are the
24 antibiotics we already have, which are useless.

25 So last but not least, I think what we are

1 hearing is that each one of us has a responsibility to
2 take a piece of the pie, to work together in a
3 consortium to the best solution, as Dr. Yuh has said,
4 sorting out what's a nice to have from what is a must
5 have, what's possible from what is potentially a need,
6 and working forward toward the shared goal, which is
7 figuring out how we can bring better products to
8 market to put them to the best use.

9 CHAIRMAN RELLER: Dr. Goldmann, and then I
10 want to ask Dr. Goldberger, because I know many of the
11 members have imminent departures, if there's
12 additional information you would like to be brought
13 out to encourage us to do so swiftly. Dr. Goldmann,
14 Dr. Goldberger.

15 DR. GOLDMANN: Yes. I just have a
16 question maybe the pharmaceutical representatives can
17 help with.

18 We've talked a lot about setting up
19 clinical trials groups. I'm in charge of the Risk
20 Group IV of a large clinical trial group in intensive
21 care units. One of the issues that I have already
22 confronted is a tendency of some pharmaceutical
23 companies to want to do their clinical trials of
24 whatever agent in their own units that they are
25 comfortable with or whatever relationship they already

1 have, as opposed to getting involved de novo with a
2 clinical trials group that may or may not have more
3 rigor or resources to deal with the kinds of questions
4 we are talking about today.

5 The cystic fibrosis community solved that
6 by essentially creating a network which was so all
7 encompassing and so powerful that you virtually cannot
8 do a study in cystic fibrosis without using that
9 network.

10 So I just want some dialogue around this
11 issue, whether the pharmaceutical industry sees
12 something that the clinical trial groups were talking
13 about can do that will make it more hospitable or make
14 it conducive for them to participate in those
15 networks.

16 DR. HARDALO: I guess, you know, one of
17 the things that we've learned in terms of being
18 innovators is that the first decision, is there an
19 upside, yes, and a downside, no. Is there a good
20 reason to go through a network? Well, for certain
21 diseases like infections in cystic fibrosis,
22 especially when you are dealing with an all
23 encompassing network, the upside is, yeah, you better
24 deal with them, because the downside is you don't get
25 your study done.

1 I think we are rapidly coming to problems
2 like *Acinetobacter* where the cases are so widely
3 dispersed that it would be impossible for one company
4 to do a reasonably sized, robust study in the absence
5 of an effective network. However, until we see that
6 we can use organizations like BAMSG, for example, to
7 study these things, there is an unknown, and that
8 makes most companies quite uncomfortable, not to know
9 will we be able to get a protocol through in a
10 reasonable amount of time that will be robust enough
11 to serve the needs of the FDA? Does the FDA accept
12 this, and would a network like BAMSG be in contact
13 with the FDA or at least in conversation in this type
14 of a workshop, so that whatever came out of such a
15 work group would be acceptable for registration
16 purposes?

17 Otherwise, the -- If the answer is, no, it
18 wouldn't be, and we would have to go through the same
19 design process twice, then nothing will ever go
20 forward for resistant organisms.

21 So I think there's a definite willingness
22 to collaborate, but again we all have to be on the
23 same wave length.

24 CHAIRMAN RELLER: Dr. Rice, yesterday Dr.
25 Andriole, you, Dr. Talbot, Dr. Goldmann have brought

1 this up. It seems to me like this is a perfect
2 opportunity at the council level at IDSA to put
3 together a resistance trials consortium that could
4 collaborate with those groups that we have heard
5 discussed today to take the first step, so to speak.

6 If it's not used, then in a way it would
7 be a missed opportunity for industry, FDA, CDC, all of
8 those who are interested in this problem. There may
9 be perhaps seed money from the NIH for infrastructure
10 to the IDSA to set up something like this. What do
11 you think?

12 DR. RICE: I'll be happy to bring that
13 message back and trumpet it for you.

14 CHAIRMAN RELER: And, Dr. Miller, is that
15 an option? I mean, we are talking about
16 infrastructure to meet a national public health need.

17 DR. MILLER: It's certainly a discussion
18 we can continue to have. I think we feel like we have
19 already broken ground with Risk Group IV, the drug
20 resistant bacterial infections in ICU setting, and we
21 want to forge forward with collaborations with
22 industry and to assure you that we do discuss with
23 FDA, you know, when we are getting the pre-IND
24 packages together and make sure that the clinical
25 trials are robust enough to answer the questions at

1 hand, and that approvals are imminent then if we
2 follow through and we are successful in the outcomes.

3 You may not know, but the Division where I
4 am located holds over 400 INDs on a variety of
5 products from, you know, antimicrobials, vaccines, and
6 other novel phage therapies and all kinds of things.

7 So you know, we are not a pharmaceutical
8 firm, but we really are feeling the necessity to
9 partner both on the resistance issue and addressing
10 other public health needs.

11 CHAIRMAN RELLER: Dr. Goldberger, set us
12 up for the last word.

13 DR. GOLDBERGER: Okay. Well, I think
14 actually, looking at the questions, there's been
15 extensive discussion of a lot of the points in
16 question 1. I think there's also been pretty good
17 discussion in question 2, and I want to particularly
18 thank Dr. Talbot for his comments, and I would only
19 add to his one comment with regard to the need for
20 confirmatory trials and the concerns about that.

21 That could conceivably be a place where
22 the longitudinal epidemiologic studies talked about
23 yesterday might conceivably fit in, rather to provide
24 additional information as opposed to being the primary
25 studies to support a regulatory decision, where I

1 think there were some more concerns about the
2 conclusions one might draw from them.

3 I think or I presume that the Committee
4 has pretty much discussed the strategies they think
5 were appropriate and whether or not there were any
6 alternative strategies, and that was question 3.

7 I think we've sort of covered a lot of
8 these issues. I don't know if we have heard anything
9 dramatically new, but I like to think we've at least
10 had a reasonable discussion.

11 I think there has been at least some
12 discussion about the preserve the efficacy issue. I
13 think that at subsequent meetings this will probably
14 need a little more discussion in terms of how much
15 value we think these approaches have, which approaches
16 are likely to be more fruitful, and which approaches
17 are likely to have the least negative impact in terms
18 of patient care and, particularly, drug development.

19 So taking into account the fact that we
20 believe there will be at least one subsequent meeting
21 to continue discussion on this topic, and encouraging
22 everyone who has additional comments to provide them
23 to the docket that has been set up and will be
24 effective right after this meeting, we're probably
25 satisfied with what we have heard.

1 I know everybody is desperate to leave as
2 opposed to having another two hours of discussion just
3 to polish off the fine points. So from my
4 perspective, I'd be happy to provide thanks to
5 everyone. But of course, as the Chair, you make the
6 final decision.

7 CHAIRMAN RELLER: I think it's been
8 remarkable that we've kept everyone to the end, and I
9 think the concomitant commitment to that is you stick
10 with us to the end, and we will try to finish at a
11 balanced time that would enable people to do that.

12 So I would like to close today's --
13 adjourn today's meeting, and will look forward to the
14 continuation of these important issues in different
15 multiple venues and future meetings. Thank you.

16 (Whereupon, the foregoing matter went off
17 the record at 3:20 p.m.)

18
19
20
21
22
23
24