

1 don't really know how they work, for example, the  
2 tricyclic antidepressants, and then muscle  
3 relaxants.

4           So, I am not sure that we have come a long  
5 way in the analgesic development area. One of the  
6 reasons for that has to do with the issue of  
7 various descriptors of pain.

8           [Slide.

9           This is an archaic way of actually  
10 bringing this about, and I thought that we would  
11 start here with this. Dr. Cush actually jokingly  
12 referred to this kind of archaic description prior  
13 to beginning this session.

14           Somatic pain, visceral pain, and  
15 neuropathic pain, not that neuropathic is archaic,  
16 but this issue of somatic and visceral are, so  
17 somatic pain - caused by the activation of pain  
18 receptors in either the cutaneous body surface or  
19 deeper tissues, such as musculoskeletal tissues,  
20 whereas, visceral pain, pain that is caused by  
21 activation of pain receptors, gee, a really similar  
22 kind of description, not exactly the way Dr. Woolf  
23 would have necessarily described the various  
24 different effector agents of somatic or visceral  
25 pain.

1           So, pain receptors from infiltration,  
2   compression, extension or stretching of the  
3   thoracic, abdominal, or pelvic viscera, such as  
4   chest, stomach, and pelvic areas.

5           What has actually survived this archaic  
6   descriptors is the neuropathic pain - caused by  
7   injury to the nervous system either as a result of  
8   a tumor compressing nerves or the spinal cord, or  
9   cancer actually infiltrating the nerves or spinal  
10   cord, but unfortunately, this now definition  
11   removes or leaves out the issue of inflammation to  
12   the nerve root as part of the causal relationship  
13   of neuropathic pain.

14           [Slide.

15           Then, we move to something we have already  
16   talked about, not just the sense of where it is in  
17   the body, but, in fact, the descriptors of how  
18   severe it is, so mild, moderate to severe. They  
19   are very useful as descriptions. Patients  
20   understand severe pain versus mild pain, but to any  
21   one patient, that might be very different, so for  
22   me, I think walking into the dentist office is  
23   severe pain without even having them do anything.

24           So, it does not provide any rigor.  
25   Perhaps these should be used to modify the

1 definitions of acute and chronic pain indications,  
2 which perhaps might allow patients to understand  
3 more about how to use, but what measure do you  
4 apply for mild, moderate, severe, and ultimately,  
5 that measure, either defined by the sponsor or by  
6 the agency in evaluating that measure, ultimately,  
7 it is the bias of the agency, investigators, and  
8 sponsors to suggest which is really which, which is  
9 mild, which is severe, which then brings us up to  
10 acute versus chronic pain.

11 [Slide.

12 I would like to remind you when we think  
13 about this, I think the discussion that was ensuing  
14 right before we took the break was really a  
15 critical one. It is both a temporal sequence, as  
16 well as the idea that the mechanisms are separate.  
17 It shouldn't necessarily mean that we are defining  
18 them absolutely. This is an area that is  
19 iterative, it is still in development.

20 We don't have a clue about all the  
21 aspects, as you have already heard, and, in fact, I  
22 expect that in 10 years from now, we will know a  
23 lot more than we do today.

24 So, acute pain - short-lasting, so  
25 temporal component, manifesting in objective ways,

1 perhaps that is mechanistic. It can be easily  
2 described and observed.

3           It may be clinically associated with  
4 diaphoresis and tachycardia, so there are clinical  
5 events that take place associated with the  
6 transient events, the transient stimulus that leads  
7 to the acute pain.

8           Maybe only lasting several days,  
9 increasing intensity over time, which might lead to  
10 this issue of that bridge between acute and  
11 chronic, the subacute pain. It can occur  
12 intermittently, episodic or intermittent pain. Dr.  
13 Sherrer referred to an OA flare superimposed on top  
14 of a more chronic event.

15           Usually related to a discrete event for  
16 onset, such as postoperative, post-trauma,  
17 fracture.

18           And then there is chronic pain -  
19 long-term, typically defined if it lasts for  
20 greater than three months, in the context of cancer  
21 pain, perhaps less based on survival issues. More  
22 subjective and not as easily clinically  
23 characterized as acute pain, and has a more  
24 psychological overlay.

25           I don't mean to suggest that we are

1 incapable of understanding and identifying chronic  
2 pain, but tachycardia and diaphoresis is not  
3 necessarily associated with the onset of chronic  
4 pain. This kind of pain usually affects a person's  
5 life, changing personality, and their ability to  
6 function, as well as their overall lifestyle.

7 [Slide.

8 That brings us to a discussion that Dr.  
9 Firestein led just before - what about the general  
10 descriptor of pain, why can't we just label these  
11 things for pain and let the marketplace decide, why  
12 can't we just say it works in this kind of pain,  
13 and you could try it in something else, and if it  
14 doesn't work, you try something else.

15 That might be helpful and useful, but it  
16 is not particularly informative to patients,  
17 particularly with what we know today. The general  
18 pain definition has been broadly used in the past,  
19 however, acute and chronic indications use  
20 different models, may be mechanistically different,  
21 and have different safety issues.

22 Furthermore, the psychological component  
23 clearly separates acute pain from chronic pain, and  
24 that may have very important implications for  
25 therapeutic intervention, patient response, and

1 patient safety claims.

2 [Slide.

3 Unfortunately, one of the major proponents  
4 of this kind of meeting was not able to make it  
5 today, and I wanted to allow Dr. Lipman to seem  
6 like he is actually in the audience by bringing up  
7 some of the things that he has referred to in the  
8 past, one of which is this particular statement  
9 from a paper in Cancer Nursing, which is that  
10 chronic pain has a psycho-social component that  
11 must be dealt with before depression becomes a part  
12 of the clinical picture. Chronic pain should be  
13 recognized as a multi-factorial disease state. So  
14 it is a state that is responding to something, but  
15 nonetheless, may be an independent disease state  
16 requiring intervention at many levels.

17 [Slide.

18 This diagram actually reflects these many  
19 levels and demonstrates the interaction that over  
20 time basically, whatever the pathologic process is,  
21 associated with the interaction with physical  
22 factors, leads to anxiety, depression, and  
23 psychological factors overlying each of these  
24 events, so that in the right circumstance and in  
25 the right patient, there could be issues of

1 isolation and loneliness, totally informing the  
2 patient leading to increasing anxiety and  
3 depression, the issues of hostility, why me, why is  
4 this happening to me, why can't I deal with this,  
5 and then the issues of social factors, which lead  
6 to the increasing loneliness and anger associated  
7 with this increasing isolation, thus suggesting a  
8 time period that we are liable for being able to  
9 intervene, to be able to allow this cascade of  
10 events perhaps not to progress.

11 [Slide.

12 So, in thinking about trial design from  
13 the regulatory point of view, we have to think  
14 about again how Dr. Witter suggested, what are the  
15 issues regarding how to inform patients about their  
16 use of these particular therapeutic interventions.

17 So, look for trial designs that will allow  
18 us to see the result of how to translate the use to  
19 the patient, so as Dr. Hertz suggested before, we  
20 are becoming much more interested in disease states  
21 to be studied than models to be studied.

22 At the time, we didn't have a lot of  
23 understanding of the diseases. It seemed  
24 reasonable to try to look at models, but is  
25 alveolar bone pain in dental extraction the same as

1    bunionectomy, is dysmenorrhea, which actually has a  
2    clear mechanism of understanding of why there is  
3    cramping and abdominal discomfort, is that actually  
4    extrapolatable in a general way to other forms of  
5    pain.

6                So, some of the models that we were  
7    looking at are disease states that we have been  
8    looking at, have been osteoarthritis, chronic low  
9    back pain, which has been a big debate, some of  
10   which we will be informed in a little bit by Dr.  
11   Borenstein, fibromyalgia, an area of great and  
12   intense investigation, which has some very  
13   interesting aspects to the psychological overlay of  
14   how people deal with their pain, and perhaps  
15   genetics, about who selects out the individual  
16   response to an inciting event, and then who goes on  
17   to develop a chronic pain syndrome without further  
18   inciting episodes.

19               Neuropathic pain, and there are many of  
20   those, I just selected out two - diabetic  
21   neuropathy and amyotrophy, cancer pain and the old  
22   issues associated with that, that are quite unique.  
23   Temporomandibular joint pain, peripheral vascular  
24   disease perhaps, and then not only the disease  
25   states or models, but what about mechanistic



1 approaches.

2 [Slide.

3 I am going to present three different  
4 possibilities for your consideration. I almost  
5 feel like Rod Serling in creating the Twilight  
6 Zone. These are all just for your consideration.  
7 We would like to throw out the possibility that we  
8 want to engender drug development.

9 We think this might be a good way to go,  
10 but now that I am on the light side rather than the  
11 other side, perhaps I don't have the right  
12 perspective that other people have about what is  
13 necessary, so we have to think about this together  
14 as whether or not these are the right ways to do  
15 things.

16 So, possible indications of one disease or  
17 model, one could even add in mechanism perhaps, an  
18 example, signs and symptoms of osteoarthritis. Not  
19 everybody knows that OA is osteoarthritis but us  
20 rheumatologists do. So, an example, signs and  
21 symptoms of OA, two replicate randomized and  
22 controlled trials, three co-primary outcomes in  
23 which each must win, so it would be pain, function,  
24 and a patient-determined global. And why would we  
25 want that latter one is again it is important for

1 us to know how the patient feels, not unimportant  
2 in labeling and allowing other patients to know  
3 what that means. There yet may be other measures  
4 that become important as we will talk about in a  
5 second.

6           There needs to be superiority to placebo  
7 or perhaps superiority to an active comparator.  
8 There could even be discussions, although it is not  
9 on this slide, about non-inferiority to an active  
10 comparator, but, in fact, that would have to be  
11 defined based on some issues as shown in the  
12 appended paper from Ellenberg and Temple about  
13 placebo responses and things like that.

14           [Slide.

15           There is also the possibility of thinking  
16 about a whole organ system indication, such as  
17 musculoskeletal disease, and then one might think  
18 about, for example, improvement in the pain of  
19 musculoskeletal disease.

20           Three models of diseases, though, might be  
21 required to achieve this, all within the rubric of  
22 musculoskeletal disease, so low back pain perhaps  
23 in association with studies in osteoarthritis, and  
24 then perhaps also in fibromyalgia, all of which  
25 affect the musculoskeletal system, we believe, and

1 perhaps inform us somewhat about the use in a  
2 general way in musculoskeletal disease.

3           You will need two replicate randomized,  
4 controlled trials for each model or disease state.  
5 There need to be three co-primary outcomes, each of  
6 which have to be won on, of pain, function, and  
7 patient-determined global, and it could be  
8 superiority to placebo or superiority to active  
9 comparator, or maybe in the right circumstance  
10 non-inferiority that we could discuss.

11           The important aspect of this would be that  
12 the label would reflect, not just the idea of  
13 musculoskeletal disease, but reflect the approval  
14 of all the disease or models that had been studied,  
15 so therefore, you would get the approval for  
16 musculoskeletal disease in osteoarthritis and  
17 fibromyalgia and chronic low back pain, which would  
18 be actually in the label, as well as in the  
19 Clinical Studies Section, to inform people about  
20 the responses.

21           Furthermore, we would be even interested  
22 in discussing the issue of, well, gee, in  
23 fibromyalgia, maybe wind-up, the concept of wind-up  
24 pain is really critical, and perhaps, in fact, if  
25 you could interfere with that, in drugs that are

1 quite unique, that have nothing to do with what we  
2 have thought about pain before, such as an NMDA  
3 inhibitor, perhaps that might be the right way to  
4 go and achieve that for fibromyalgia.

5 [Slide.

6 Then, the big discussion point that a lot  
7 of people have heard before and we have informed  
8 people about is the idea of a general chronic pain  
9 indication. Now, this seems to be quite a high  
10 bar, however, just think about how high a bar it  
11 reflects, meaning it could be suggesting that drugs  
12 could be used in any form of chronic pain.

13 Now, this leads us to a discussion of  
14 lumping and splitting, and some of the discussion  
15 we have had to date would suggest that it is going  
16 to be impossible as we learn more mechanisms to  
17 actually get a drug that would be appropriate for  
18 chronic pain totally, and that may well be true.

19 Thus, I would take you through this  
20 argument, suggesting that replicate trials in each  
21 model should be in disparate diseases, so you would  
22 have to study one aspect of musculoskeletal  
23 disease, one aspect of cancer pain, and perhaps one  
24 aspect of neuropathic pain, and that product,  
25 whatever that product might be, would have to win

1 in all three areas.

2           However, this is not to limit the possible  
3 areas. It may be that you could figure out  
4 something else besides neuropathic pain to study  
5 and thus get the same rubric - must measure pain,  
6 patient global, and some functional outcomes are  
7 the co-primaries, and again win, must be superior  
8 to placebo in all three and superior to the active  
9 comparator, and again, I point out that the label  
10 reflect two issues.

11           One would be the approval for the broad  
12 category, limited specifically by safety  
13 considerations, and the label will also, based on  
14 the data accumulated to achieve this, would  
15 demonstrate that the therapy is approved for the  
16 indication of chronic pain, but also the three  
17 diseases or models or mechanisms that had been  
18 studied, so therefore, it is kind of four things.

19           You get all three areas, perhaps other  
20 areas that you were also studied in, so if you did  
21 musculoskeletal disease into two different areas of  
22 osteoarthritis and chronic low back pain, they also  
23 would be referenced in the label and in the  
24 Clinical Trial section as thought appropriate for  
25 patients information and clinician information.

1 [Slide.

2 Yet, there is still yet another approach,  
3 which we certainly want to encourage, although we  
4 are not entirely sure how to go about doing it, I  
5 don't know if you are, is the mechanistic approach.  
6 We don't yet know how to do it, we don't really  
7 know the models, but possible examples, as Dr.  
8 Witter alluded to, perhaps alteration of wind-up by  
9 inhibition of NUDA receptors in fibromyalgia,  
10 alteration of brain plasticity or neuroplasticity,  
11 alteration of early markers that might predict  
12 specific and verified clinical outcomes, thus  
13 giving a broad opportunity to really drive the  
14 science and improve drug development.

15 [Slide.

16 All of this has to be remembered in the  
17 context that we, at the Agency, have to label  
18 things in the context of benefit to risk. So, as  
19 this cartoon suggests, as this unfortunate person  
20 sitting at this particular cafe selecting out which  
21 food to choose, and seeing the risks and benefits  
22 that are listed up on each one, it would not be  
23 dissimilar from a physician, patient, or clinician  
24 choosing particular drugs to choose based on their  
25 benefits to risk, as listed within documentation

1 that had been accumulated in trial development.

2 Thank you very much.

3 DR. FIRESTEIN: Thank you, Lee.

4 Discussion Points #3 and 4

5 DR. FIRESTEIN: At this point, we have  
6 been asked to discuss Points 3 and 4 here. Yes?

7 DR. MAX: I would like to comment to Lee.  
8 As I have said to you before, I really like one  
9 thing you said, and I am really profoundly worried  
10 and I really hate another thing you said.

11 What I really like is that your primary  
12 goal is to advance the science by encouraging many  
13 clinical trials in many diseases, and I have  
14 written a review article in Anesthesiology last  
15 July with Clifford, where we conclude that the best  
16 way to learn about mechanisms in human is from  
17 clinical trials in many diseases, and your approach  
18 does that.

19 The one thing--and I think it is a detail  
20 that I am very concerned with--is your stipulation  
21 that each trial needs to demonstrate, at the same  
22 time, a win for not only pain, pain scores over  
23 placebo, but in addition, a global outcome, global  
24 patient preference, and quality of life.

25 I would argue that if you look at large

1 databases of opioid trials and malignant and  
2 nonmalignant pain, as my colleagues in the  
3 Anesthetic Division have, and in my experience  
4 looked at chronic neuropathic pain and chronic back  
5 pain in other trials, it is unusual that one shows  
6 all three at once, and maybe we are behind you in  
7 OA, and I am afraid if you tell industry that you  
8 need to have a win in all three for each positive  
9 trial, that it's a why study pain, let's give that  
10 up, it's an impossible thing to meet.

11 I would propose the alternative, that you  
12 show pain is reduced more than a placebo by  
13 statistically significant outcomes, and at least  
14 you show evidence that you are not intoxicating the  
15 patient, there is no deterioration in the global or  
16 in the patient preference, and perhaps as an  
17 additional tier, you can get additional claim to  
18 give the incentive to develop better quality of  
19 life. That's my counterproposal.

20 DR. SIMON: I would just like to point out  
21 that, and I am delighted that I have stimulated  
22 this kind of discussion, that the quality of life  
23 measures are not necessarily the same thing as  
24 function, and what we are relating to are  
25 functional measures, not necessarily requiring the



1 bar of achieving an improvement in quality of life,  
2 although that is very important to us and certainly  
3 would be a secondary outcome that we would be  
4 looking for.

5           It is unfortunate that a lot of the  
6 definitions of health-related quality of life  
7 measures have been assumed to be measures of  
8 function. It is not necessarily clear that all  
9 are measures of function, and I am not yet sure  
10 that we have all the measures that we need to  
11 achieve this particular proposal.

12           It may well be that measures of function  
13 yet need to be developed in cancer, for example,  
14 that will allow us, to inform us in the relative  
15 short term of study, that patients with cancer  
16 whose pain is improved would benefit from function,  
17 as well.

18           This is a suggestion of not just the  
19 development of new drugs, but new outcome measures  
20 that is critical, and I think Dr. Strand will be  
21 discussing some of the issues about the tiered  
22 nature of how to look at that question.

23           DR. FIRESTEIN: Dr. Strand.

24           DR. STRAND: I just wanted to comment back  
25 to you, Mitch, that, in fact, we know from

1 certainly musculoskeletal diseases, OA and RA, that  
2 when you improve pain, and even if that is the most  
3 that you seem to improve in terms of the disease,  
4 such as the COX-2's in, say, rheumatoid arthritis,  
5 you are still getting responder analyses, you are  
6 still showing improvement in physical function, and  
7 improvement in health-related quality of life.

8           So, in fact, these domains are affected  
9 very significantly by pain and they are improved by  
10 pain, so I think that perhaps the bar is not as  
11 high as you might think.

12           Obviously, we have to look at it in terms  
13 of what disease states or what mechanisms of pain  
14 we are trying to treat, but it goes to show that  
15 with the multiple ways pain affects people in their  
16 day-to-day lives, if we are improving that, we  
17 should see it in these other aspects.

18           DR. FIRESTEIN: I guess the other issue is  
19 whether pain and these other outcome variables,  
20 especially quality of life, are independent. I  
21 think we have had a lot of these discussions with  
22 regard to rheumatoid arthritis and osteoarthritis  
23 where quality of life is a dependent variable on  
24 pain, as well as other aspects of joint  
25 destruction.

1           So, it is not clear to me that you gain a  
2 lot from a measure of quality of life if you don't  
3 get a win because of statistical vagaries or an  
4 inaccurate instrument for measuring that when the  
5 patient is subjectively better based on other  
6 criteria for pain.

7           Yes, and then Dr. Katz.

8           DR. ELASHOFF: Yes, the whole issue of  
9 exactly what the correlation is between these  
10 measurements across patients or across studies is  
11 an empirical one. I suspect that they are never  
12 completely independent, but that the correlation in  
13 some cases might be low and in other cases it might  
14 be high.

15           I think one needs to think conceptually of  
16 what one might expect in any given situation and  
17 why you might expect them to be less correlated or  
18 more correlated, but this is an empirical question  
19 on which a lot of light could be thrown by proper  
20 analysis of older studies.

21           Typically, there isn't enough in-depth  
22 analysis of exactly what the relationships are  
23 among various outcome measurements, and I would  
24 like to encourage that not only new studies be  
25 asked to really look in detail at the relationships

1 between these outcome variables, but that older  
2 studies could be re-analyzed to address that  
3 question.

4 DR. KATZ: I would like to caution against  
5 a "one size fits all" strategy with regard to what  
6 domains one might require to say that a trial is  
7 successful or not successful, and I would also like  
8 to caution against an overly enthusiastic  
9 generalization from the rheumatic diseases to other  
10 types of pain in that regard.

11 For example, it is clear that if somebody  
12 is on their death bed with cancer pain, you know,  
13 one's obligation is to relieve pain and its  
14 associated suffering, and the opioids are a  
15 miraculous and time-proven strategy for that.

16 To then require that that patient get out  
17 of bed and walk down the block, or do some other,  
18 you know, or improve functionally in some way would  
19 be a big mistake and would prevent us from really  
20 achieving our primary goals in that situation.

21 Certainly, one could design a functional  
22 measure heavily weighted towards pain that might  
23 show function, but that is, you know, just a  
24 remeasurement trick that doesn't really accomplish  
25 anything I don't think.

1           Similarly, in the patient, a 75-year-old  
2 with postherpetic neuralgia, with a 4 out of 10  
3 pain, they might be pretty much doing what they  
4 need to do every day anyway, and that doesn't meant  
5 that relieving their pain is not an accomplishment  
6 even though it would be very tricky to design a  
7 functional or quality of life measure that would  
8 show dramatic improvement.

9           Lastly, you have got some really bad power  
10 calculation issues in terms of powering a trial to  
11 improve an SF-36 or something like that. It really  
12 sets a very high financial and feasibility  
13 threshold when, in many cases, relieving pain is  
14 really the primary goal.

15           Although in osteoarthritis, I can  
16 certainly accept that function is an intrinsic part  
17 of what we are trying to improve there, and in that  
18 context, it may make more sense, so I think we need  
19 to think carefully about each individual situation.

20           DR. FIRESTEIN: Dr. Callahan and then Dr.  
21 Cush.

22           DR. CALLAHAN: First, I would like to  
23 agree probably in musculoskeletal diseases, they  
24 are very different, but I do agree with Dr. Strand  
25 in terms of pain and function are highly

1 correlated.

2 My question was for Lee. When you say  
3 pain based on our discussions this morning, are you  
4 talking about a global pain or talking about  
5 various types of pain to get a global pain, as well  
6 as specific pain that would get at more of what was  
7 presented by Dr. Woolf?

8 DR. SIMON: Dr. Firestein, can I answer  
9 that?

10 DR. FIRESTEIN: Of course.

11 DR. SIMON: Thank you.

12 DR. FIRESTEIN: The Chair appreciates your  
13 request.

14 DR. SIMON: I learn from previous  
15 experience.

16 I think that your question really relates  
17 to the lack of development of the area. If this  
18 was five years hence, and Dr. Woolf's scenario was  
19 translated to a specific new receptor inhibitor, we  
20 would likely be thinking exactly in the terms that  
21 you have just said.

22 Our problem is, is that we are not yet  
23 there. I could envision three different receptor  
24 inhibitors demonstrating improvement and perhaps  
25 even getting a moniker chronic pain indication

1 depending on whether or not they are broad enough  
2 to warrant that, again going back to the lumping  
3 and splitting concept.

4 Yes, I believe in the splitting concept  
5 because I think that, and I think much of our  
6 division does, many in our division do, because I  
7 think the reasons for that are very logical and  
8 disease-specific and mechanistic understood.

9 For example, in acute pain, I can't  
10 imagine that a drug that necessarily works in  
11 dysmenorrhea will necessarily work in bunionectomy,  
12 and just because it works in dysmenorrhea and is a  
13 good model to study for that particular event, and  
14 it tells you something about one day of use,  
15 doesn't mean it is translatable to other forms of  
16 pain, but I think we are limited.

17 We don't have all of that information yet.  
18 I would like to believe that what I have proposed  
19 or what we have proposed may actually lead us in  
20 the way to develop more, not less.

21 DR. CUSH: My comments are directed at Lee  
22 and Jim, that I think given the comments of Dr. Max  
23 and Dr. Katz, I think that to consider a pain  
24 indication is reasonable and then to define that,  
25 that the indication here is pain, but there is also

1 improvement, not only in pain, but in quality of  
2 life or function or in a patient global, that could  
3 be in the indication as determined by the research  
4 that is done, might be very useful to users and to  
5 patients and whatnot.

6 To get to your suggestions regarding  
7 indications, I like the idea of disease-specific,  
8 organ-specific, and then global indications, I  
9 think that that sets sort of sequentially more  
10 difficult tasks, but greater implications to the  
11 populace, and I think that the design you laid out  
12 would be very useful.

13 DR. FIRESTEIN: Dr. Abramson and then Dr.  
14 Ashburn.

15 DR. ABRAMSON: Lee, I would just like  
16 address the splitters versus lumpers question and  
17 make a case for splitting.

18 Even in the realm, the domain of  
19 musculoskeletal disease, because fibromyalgia, OA,  
20 and low back pain are obviously going at different  
21 mechanisms perhaps, and I think we are at a moment  
22 now where we can hypothesis test some of the  
23 mechanistic concepts, and we can do it using  
24 clinical studies.

25 I think if we look at fibromyalgia



1 differently, if we lump them, we may lose the  
2 opportunity to looking at different  
3 mechanistic-based pain pathways. So, I would argue  
4 for splitting largely as a way to do clinical  
5 trials to test these different potential mechanisms  
6 neatly and cleanly.

7 DR. ASHBURN: I found your presentation to  
8 be quite interesting and I think that many of your  
9 aspects were starting to be well thought out, but I  
10 have the same sort of love-hate relationship that  
11 Dr. Max presented before, because one of the things  
12 that you alluded to even when you were talking  
13 about your experience in the dentist and your  
14 wife's experience in dentists, is that pain is many  
15 things.

16 Pain is not purely nociception, which many  
17 physicians think of it, but rather, pain is a  
18 global area, and it is best treated using a  
19 bio-psycho-social model of care including  
20 interdisciplinary care of which medical management  
21 is only one part of the care.

22 When one is talking about taking care of  
23 patients with complex disease, even I think of  
24 headache as complex, maybe my neurology colleagues  
25 don't think of it, but those patients are fairly

1 complex. Medical management is only one part.

2           The NIH Consensus Conference was done  
3 almost a decade ago now, presented that  
4 self-management techniques were equally efficacious  
5 to the medical interventions that we frequently  
6 focus on.

7           So, one of the issues is that setting  
8 study and outcome measurements in those patients is  
9 a good start, but is fairly difficult to do. There  
10 are disease-specific measures of health that Dr.  
11 Carr may talk about that are under development with  
12 regard to the care of individuals who have complex  
13 pain problems, but they are in their infancy.

14           They frequently look at function, they  
15 look at physical function, as well as mental  
16 function, and they usually have several different  
17 scores enveloped into one area, and then the  
18 question would be, drilling down, is improvement in  
19 one functional score adequate, is improvement in  
20 many adequate, does it matter.

21           Those are the sort of issues that make me  
22 nervous, and the concern that I have is, is that  
23 while it is an excellent idea to integrate  
24 measurement of outcomes amongst a wide variety of  
25 fields as a requirement to looking at new

1 medications, requiring that positive benefit be  
2 shown may be a barrier to care and may actually  
3 decrease interest in the development of new  
4 medications for the treatment of these patients.

5 DR. FARRAR: I have to say that I really  
6 enjoy coming to these meetings because I get to sit  
7 in a room with a group of real experts and hear  
8 them disagree vehemently about things that we are  
9 all talking about, and yet with the same common  
10 goal, which is to strive to make patients' lives  
11 better, which is ultimately what medicine is about.

12 I think, in part, I won't comment on what  
13 I loved and hated about Dr. Simon's presentation,  
14 but one of the things that he said that certainly  
15 is applicable to this, is that things are going to  
16 change and that we are not targeted today or we are  
17 not charged today with coming up with the final and  
18 ultimate answer, that we are charged with coming up  
19 with what makes the most sense for right now.

20 It made me think about the fact that we  
21 really have to be honest with ourselves. If we had  
22 a drug that was absolutely spectacular in the  
23 treatment of pain, in the way that penicillin was  
24 with pneumococcal pneumonia, you wouldn't need a  
25 randomized trial and you could use any measure you

1 care to use, and you would come up with a positive  
2 result.

3           What comes to mind in pain management is  
4 hip replacement in an old patient who has a broken  
5 hip that is amenable to that treatment. I mean any  
6 way you look at that, the patient is better. The  
7 patient's pain is better, they can walk again, they  
8 can get out of bed. Any measure you care to use  
9 would work.

10           The unfortunate part is that in  
11 medications, we are not yet at that step. It seems  
12 to me, therefore, that what we are charged with  
13 really is providing enough information to the  
14 people who are going to be using these medications  
15 to allow them to make reasonable choices about how  
16 they treat their patients.

17           I agree that, you know, the clinician on  
18 the front line is faced with a whole bunch of  
19 different choices, and if we can figure out the  
20 mechanism and figure out a test that will give them  
21 the mechanism, then, by all means, a mechanistic  
22 approach makes sense.

23           If can figure out whether we know this  
24 patient is going to develop an allergic reaction  
25 and this one is not, then, we should choose

1 obviously only the group that has the allergic  
2 reaction.

3           It occurs to me that we are not there yet,  
4 and that really, in many ways, what the label needs  
5 to reflect--and I keep coming back to the label  
6 because ultimately, that is what gets out to the  
7 public and then obviously clinical trials on top of  
8 that, but what the label needs to reflect is what  
9 is it that we know about this drug, do we know that  
10 it is safe given in three doses, do we know that it  
11 is safe given in 1,000 or in 500 milligrams, do we  
12 know that it is safe in terms of kids or adults or  
13 pregnant and not.

14           In terms of efficacy, do we know that it  
15 works when given in a single dose--that is  
16 important--do we know that it works when it is  
17 given over a long-term period of time.

18           With that kind of information in hand, I  
19 think it is possible to practice medicine, and that  
20 is really what we are targeted at doing today.  
21 Clearly, one size does not fit all, and every drug  
22 is going to have a different set of underlying  
23 things that we need to know about it.

24           That makes the job very, very complicated,  
25 which is clearly indicated by the amount of

1 disagreement that we have, but I think we need to  
2 focus on that.

3 DR. FIRESTEIN: Thank you, although I  
4 don't think the sham surgery for hip replacement  
5 protocol has been completed yet.

6 DR. STRAND: I just wanted to say that  
7 neither should we be trying to shove responder  
8 analyses based on other diseases into the pain  
9 field, and the fact that RA and OA have actually  
10 been addressed very differently from that point of  
11 view, but that we should really be thinking about  
12 these things as domains, domains of physical  
13 function or function period domains of  
14 health-related quality of life, and not pick the  
15 instrument.

16 We have lots of disease-specific  
17 instruments for various kinds of diseases, we have  
18 ones for cancer pain, et cetera, so that we don't  
19 have to shove the idea into a situation where it is  
20 not clinically appropriate.

21 DR. McLESKEY: Well, Lee, you certainly  
22 stimulated the discussion. As the industry  
23 representative, I would probably be negligent in my  
24 duty here if I didn't have at least some response  
25 at this stage.

1           I would like to echo Dr. Farrar's comment  
2 of a minute ago that obviously our entire goal for  
3 being here, your agency, and the various roles of  
4 the folks in this room is to advance the practice  
5 of medicine, to advance the options available to  
6 treat patients.

7           I hope we keep that foremost in our minds  
8 as we discuss all of these various issues, what  
9 will optimize that result, what will optimize the  
10 advance of the practice of medicine and how can we  
11 safely achieve that goal with advances in the  
12 medications available to our patient public.

13           The pushback that I have heard you receive  
14 already or your comments receive already from a  
15 couple of the members of the committee on this side  
16 of the table specifically, I think probably is  
17 representative of the novel concept that you have  
18 approached, the innovative concept that you have  
19 approached, and expected kind of a result from  
20 that, understanding our current knowledge base of  
21 disease models, and so forth, and how to measure  
22 accurately the effectiveness, and so forth, of  
23 various medications.

24           The concept that you mentioned especially  
25 for a general claim of three disease states and

1 having to hit on all three of the aspects of pain,  
2 function, and global, to me seems like a pretty  
3 high bar, and I wonder if the industry colleagues  
4 of mine in the room would not feel similarly, and  
5 yet, on the other hand, we don't want to act like  
6 antagonists and pull back and push back and oppose  
7 advances as the advances in the understanding of  
8 the mechanisms of pain have been discussed earlier  
9 today.

10 So, I would just suggest that we don't  
11 want to make the hurdle so high that, in fact, it  
12 will stifle innovation and move exactly in the  
13 direction we don't want to go. We want to  
14 stimulate innovation and advance and move forward.

15 So, again, I hope I am not coming across  
16 as somebody who is antagonistic to advance, I am  
17 not, but I think to accurately represent industry,  
18 we would like in the future to work closely with  
19 the regulatory authorities and with the  
20 academicians, and so forth, to come up with some  
21 kind of a compromise approach that is reasonable,  
22 that provides a hurdle that we think we can get  
23 over and accomplish the eventual mission of pushing  
24 medicine forward.

25 DR. FIRESTEIN: On the other hand, maybe



1 the bar for a global pain indication needs to be  
2 high because a drug that really is or a therapeutic  
3 that really is appropriate for all pain  
4 indications, as a global pain indication would  
5 suggest, is not really practical at least with the  
6 current state of knowledge.

7           There are so many mechanisms of pain, it  
8 is actually unlikely that we would find something  
9 that is effective for wind-up pain and fibromyalgia  
10 and osteoarthritis and cancer pain, and the  
11 question is whether or not, under those  
12 circumstances, the graded approach that has been  
13 suggested, in particular a disease-oriented  
14 approach followed by an organ-oriented approach,  
15 followed by a global pain indication is reasonable  
16 because the final Holy Grail of global pain is, in  
17 practical terms, not really approachable based on  
18 the science that we have heard today and has been  
19 written about over the past several years.

20           DR. McLESKEY: Perhaps so, but on the  
21 other hand, the comments that I have heard from Dr.  
22 Farrar and others indicate that maybe we are not  
23 quite there yet, and are we trying to run a little  
24 bit too soon before we have perfected the issue of  
25 walking.

1           But, nevertheless, as you have said, that  
2   in order to achieve a global claim, which would  
3   obviously be attractive to industry, and I would  
4   argue would be attractive to clinicians to some  
5   degree, as well, to offer them flexibility, and so  
6   forth, if we are to hit on three separate  
7   indications or diseases and to perform those  
8   indications in replicate, and on each of those hit  
9   on the three issues of pain, function, and global,  
10  that implies to me that the sponsor would have to  
11  perform a substantial number of pivotal trials in  
12  order to achieve that mission, which again makes  
13  the hurdle extremely high.

14           DR. BRANDT: Just a question for  
15  clarification based on what you just said, Gary.  
16  You referred to global pain. My understanding of a  
17  patient global, for example, is a little different  
18  from that, and one of the problems is there are  
19  many, many, many globals, it depends on how you ask  
20  the question.

21           For example, taking all things into  
22  account, how is your arthritis or how is your  
23  disease doing, which takes into account side  
24  effects, it takes into account other joints than  
25  the index joint and so on.

1           Perhaps Lee could clarify what he meant by  
2 his global.

3           DR. FIRESTEIN: Well, my understanding is  
4 that global means all pain, all indications.

5           DR. SIMON: Actually, let's be very clear.  
6 A patients global response is very different than a  
7 global indication, and so we would ask for patients  
8 to tell us how they feel, as Dr. Brandt has  
9 suggested, but Dr. Firestein, I think--I don't mean  
10 to put words in your mouth although I am delighted  
11 about what you said--was referring to the concept  
12 that this high bar would likely stimulate further  
13 development because, in fact, it would allow us to  
14 look at a therapeutic that would be active in very  
15 different disease states, thus, a global chronic  
16 pain indication. A very different use of the  
17 "global."

18           DR. WOOLF: I think this issue has  
19 implications for the preclinical development of  
20 analgesics which we haven't really spoken about,  
21 but the information that can be derived in terms of  
22 global action across a matrix of pain models is  
23 essential.

24           I think that as the development plan for  
25 any given analgesic is entered into, we need to

1 have as good an evidence as possible of the action  
2 of the particular drug, its specific action in  
3 terms of which targets it is interacting and its  
4 relative efficacy in a broad range of different  
5 models, models that are maybe more sophisticated  
6 than some of the ones that are being currently  
7 used.

8 DR. MAX: Let me put forth what I hear is  
9 the consensus around the table and see if it really  
10 is. I think we may be suggesting to you that there  
11 is no objection to having a general pain claim that  
12 requires two studies in each of three different  
13 disease categories.

14 We could learn a lot from all the  
15 different studies that will come in, and I just  
16 hear some objection to making the lowest level  
17 general pain claim have each of the six trials get  
18 all three endpoints, and the counterproposal is  
19 that general pain can be six trials, 3 times 2,  
20 each getting pain, is reduced significantly, but to  
21 get statistically significant global patients and  
22 function would be incentivized by a higher level  
23 reward, just like the rheumatoid arthritis claims  
24 do that, and I think I agree with Vibeke and others  
25 that it is important to have an incentive to

1 develop better measures because there are real  
2 issue, should we be spending for COX-2's, should we  
3 be giving opioids chronically.

4           Function makes a difference in these  
5 questions, and we need to know more about it, but I  
6 think we are suggesting to you that there be an  
7 additional carrot for this.

8           Does that capture what you are saying,  
9 Charles?

10           DR. McLESKEY: I am not sure, Mitchell, I  
11 am not sure that there is universal unanimous  
12 agreement that there would be three separate  
13 disease states studied in order to achieve a  
14 general claim.

15           I am one guy representing, obviously,  
16 trying to represent industry, but I work for one  
17 company, and I would suggest that before such a  
18 generalization or a statement like that of general  
19 acceptance were achieved, that there be some kind  
20 of working group formed where there would be  
21 representatives from several of the major players  
22 in this area to make sure we have consensus of that  
23 kind of an approach.

24           DR. FIRESTEIN: But it is important to  
25 remember that whether the number is three, you

1 know, three disease areas, or four, or two, or five  
2 or six or more, that the global pain indication  
3 should, by necessity, be a very high standard,  
4 because it needs to cross all mechanisms.

5           The question is whether it serves the  
6 clinicians well to have a global pain indication  
7 for a drug that does not work well in neuropathic  
8 pain, for instance, if you have done one or two  
9 other diseases or organ systems.

10           I think the bar is, by necessity, going to  
11 be high for global pain because that is in essence  
12 all pain under all conditions. It seems to me  
13 based on what I have heard today that there are  
14 lesser labeling criteria that still are very broad  
15 and still would be probably more reachable than we  
16 are today with current technology.

17           So, asking for all pain under all  
18 conditions when it hasn't been demonstrated is  
19 perhaps asking for something that is not really  
20 appropriate at this point.

21           DR. McLESKEY: I appreciate your comments.  
22 My only retort to that is that we need to balance  
23 incentives in order to advance the field versus the  
24 hurdles that are placed in order to achieve those  
25 goals, and that kind of a consensus development at

1 this stage, I would suggest needs input from some  
2 others who perhaps are not at this table.

3 DR. WOOD: Just to respond to this, I  
4 think it is important. I don't think we have  
5 consensus, at least certainly not from me, that the  
6 global pain indication would be required for  
7 approval.

8 So, I would visualize that a drug would  
9 come to the Agency and get approved perhaps with a  
10 more restricted label and could progress  
11 incrementally up that scale as experience, and so  
12 on, increased.

13 It would seem improbable to me that a  
14 company would go for a global pain indication as  
15 its first step. That would be an awfully high-risk  
16 strategy and one that would seem to me  
17 counterintuitive anyway.

18 So, I would be less concerned I think that  
19 you are, Charles, at the dangers of that, because  
20 you would only be going for a global pain  
21 indication once you had received approval for  
22 probably multiple other indications and had  
23 reasonable level of experience.

24 So, I think we are sort of arguing about  
25 something that is not likely to be even an early

1 step in drug development. Maybe I am wrong.

2 DR. FIRESTEIN: Why don't you go ahead and  
3 respond, and then Dr. Ashburn, and then we will  
4 probably move on.

5 DR. McLESKEY: Well, that is certainly a  
6 presumption that you have made, and there is  
7 actually a history, a recent history that global  
8 claims have been achieved, maybe with hurdles not  
9 quite so high, and again, obviously, the broader  
10 the claim can be, the greater the incentive there  
11 is for innovation from the sponsors.

12 All I am saying is that if we make the  
13 hurdle quite high or, as you say, if we have to  
14 incrementally approach it, the costs go up with  
15 that approach, and the resistance to innovation  
16 then may rise, which obviously, we don't want to  
17 see happen, as well. We want to encourage  
18 innovation.

19 DR. ASHBURN: I think the point that you  
20 make is something that one needs to bring out,  
21 flesh out a little bit more, and that is, is that  
22 if you make a global claim too difficult, then  
23 companies I think tend to go for a very narrow  
24 focus or very narrow indication to get a product on  
25 market with the expectation that that product for



1 pain will be used in a wider range of patients, so  
2 as an off-label use, and that has a double-edged  
3 sword, that if you make the bar too high, people go  
4 for a narrow indication. Then, the medication will  
5 be released, and then it will be used in patients  
6 in whom it has not been studied.

7 Not only is that a problem with regard to  
8 lack of good outcome data to guide clinical  
9 judgment, but also has a problem with regard to  
10 safety. That is one of the issues, trying to  
11 strike a balance, so that you encourage people who  
12 are developing products to widely study them the  
13 medication, but not make the barrier so high that  
14 they go for a narrow indication and actually  
15 increase the risk of harm to patients once a drug  
16 is released.

17 I also want to just re-flesh on the  
18 outcome measurement, is that I think it is a  
19 wonderful idea to include outcome measurement as a  
20 part of the clinical trials for these products.  
21 The concern that I have is that it is sending the  
22 voice that positive benefit in all those different  
23 fields are a requirement.

24 So, I think that tracking outcome  
25 measurement can be a vital important required part,

1 but I visualize that data being used to guide the  
2 development of the label rather than being a  
3 primary indicator for approvability.

4 DR. FIRESTEIN: Well, now that we have  
5 resolved this problem, I don't know that we  
6 answered the questions that you raised in No. 3  
7 here by providing you with a list of appropriate  
8 models, but we did discuss No. 4 in some detail.

9 Again, just to reiterate, the notion is  
10 that there are still very broad claims that would  
11 still be available without a global pain  
12 indication, is that correct?

13 DR. SIMON: Correct.

14 DR. FIRESTEIN: At this point, we will  
15 move on to a discussion of back pain by Dr.  
16 Borenstein.

17 Back Pain - Chronic Issues

18 David Borenstein, M.D.

19 DR. BORENSTEIN: I wanted to thank the  
20 Advisory Committee and Lee Simon for asking me to  
21 speak today. He said I should make it practical,  
22 and I try to be a practical person, so hopefully,  
23 what I will speak to you today about in regards to  
24 back pain will, in fact, be practical.

25 It was one of the things I did want to

1 raise my hand and speak, but having the option of  
2 actually being able to speak and having the  
3 microphone allowed me to use it at this time.

4 [Slide.

5 I just wanted to give you a little  
6 background about myself for those who may not know  
7 me. I am from the George Washington University  
8 Medical Center, not the other one across town. So,  
9 if you want to find me, that is where you will find  
10 me. I have been involved with low back pain in its  
11 various forms, both on clinical trials and from the  
12 standpoint of taking care of patients, I guess now  
13 about 24 years, so I think I have some experience  
14 at least in regards to low back pain.

15 [Slide.

16 When the Advisory Committee and Lee asked  
17 me to speak, there were some issues that they  
18 wanted me to discuss, so I thought I would sort of  
19 put them out and say what they were in one form,  
20 but what they also truly meant, and that was to  
21 find the forms of chronic low back pain and its  
22 prevalence.

23 What does that really mean? Is it  
24 frequent enough and important enough to study? If  
25 we have it, it's a problem that everyone talks

1 about, but is it really big enough a problem for  
2 which it is worthwhile to actually look at?

3 Will patient selection including etiology  
4 and severity influence the performance of drugs in  
5 development? That means is it possible to identify  
6 and separate the individuals who have back pain.

7 This may be all moot if we can't really  
8 separate them out, they are just going to be one  
9 group of people, then, we may just need to discuss  
10 back pain, but there may be subgroups that we  
11 really want to identify.

12 Which are the appropriate outcome  
13 measures? That is, can improvements in back pain  
14 be related to therapy, in other words, can it be  
15 determined? If we have back pain patients and we  
16 treat them, can we actually tell whether we do  
17 anything for them?

18 [Slide.

19 4. Will a general indication be useful  
20 for different labeling claims? I know Lee beat  
21 this up already, somatic versus neuropathic versus  
22 chronic headache. So, if you have someone who has  
23 pain, it's in the low back, will it, in fact,  
24 translate to them as far as their headache is  
25 concerned, will there be some applicability?

1           Finally, with chronic low back pain, will  
2 it serve as a measure for efficacy for a general  
3 chronic pain indication, or should it remain  
4 independent for a specific disease, exactly what we  
5 have been discussing this morning?

6           I don't know if I have all the answers for  
7 it, but I figured I would be discussing them and at  
8 least I will give you my point of view.

9           [Slide.

10          So, what is chronic low back pain, what  
11 does that mean, and what is its prevalence? How  
12 often does it occur?

13          [Slide.

14          Well, in a lot of different studies, low  
15 back pain is described as the pain that occurs in  
16 the area with boundaries between the lowest and the  
17 crease of the buttocks. So, when we talk about low  
18 back pain, we are really not talking about leg  
19 pain, we are not talking about sciatica, although  
20 that is part of what we see with low back pain, so  
21 depending upon how you define it, one can have a  
22 wide variety of people.

23          If you just define chronic low back pain,  
24 this would be the anatomic area that you might want  
25 to study. That doesn't mean you wouldn't

1 necessarily study individuals with sciatica, but  
2 that might be a special group.

3 [Slide.

4 What is chronic low back pain? It has a  
5 duration. Duration may be as defined previously up  
6 to three months, that is, up three months there is  
7 this opportunity of having a repair, being in this  
8 acute nociceptive stage, so that the body may heal  
9 itself and then go back to its baseline state.

10 However, after possibly three months,  
11 maybe sooner, this neuroplasticity has occurred and  
12 thereby you are in a state where the nervous system  
13 has had a response to this injury and you are now  
14 in a chronic pain state.

15 Others have described chronic pain as pain  
16 that persists longer than the expected period of  
17 time for healing, so some people have described  
18 chronic pain occurring within two days or two  
19 weeks, not even waiting two months to be in a more  
20 chronic stage because it is no longer in this acute  
21 healing phase.

22 So, once again, these are at least two  
23 different definitions that one might want to  
24 describe in regard to chronic low back pain.

25 [Slide.

1           What is its epidemiology? Is it  
2 worthwhile to study? Is it frequent enough, will  
3 you find people who would want to be in clinical  
4 trials because of this problem?

5           Well, 20 percent of the U.S. population  
6 develops back pain yearly, so 1 out of 5 is a  
7 potential candidate for a clinical trial. That  
8 doesn't mean all of them have chronic low back  
9 pain, but certainly 1 out of 5 do develop it.

10           Back pain is the second most common cause  
11 of disability in the United States, and it is the  
12 most common among men, accounting for 16.5 percent  
13 total disabilities in individuals greater than 18  
14 years of age in 1999. So, I propose that it is an  
15 important problem. Not only does it cause pain,  
16 but it also causes disability, and it's expensive.

17           If you look at Workers' Compensation  
18 claims, which is far from all the individuals with  
19 low back pain, from 1986 to 1996, during this  
20 one-year period of time, 8.8 percent of the claims  
21 were for back pain, but was up to almost 85 percent  
22 of the costs.

23           So, having better therapies for low back  
24 pain is important. Not only it a frequent problem,  
25 but it also is potentially disabling and

1 significantly expensive.

2 [Slide.

3 So, there are at least reasons for which  
4 having better therapies would result in betterment  
5 to the individuals with it and society in general.

6 Is there a fiction as regards to how low  
7 back pain does over time? In other words, the  
8 usual story has been that most patients get better  
9 within a two-month period, so we don't have very  
10 many going on to a chronic phase.

11 [Slide.

12 Well, this study was done and reported in  
13 the Annals of Rheumatic Disease back in 1998. This  
14 was done in the Netherlands where they had  
15 individuals who were a bit younger, those  
16 individuals who we might want to think about being  
17 involved with low back pain. They had about 450  
18 individuals where they sent out postal  
19 questionnaires over a 12-month period and followed  
20 them over time to see what happened.

21 Most people, in fact, got better. The  
22 median was about 7 weeks. However, still, at 3  
23 months, 1 out of 3 still had back pain. You say,  
24 well, they still might get better. If you want to  
25 know whether these individuals will still be there



1 one year later, this study would suggest 1 out of  
2 10.

3 So, with the individuals who have low back  
4 pain, 1 out of 10 in general will still be having  
5 it one year later. So, we do, in fact, have  
6 individuals who are available to be studied. You  
7 have, if you think of at least 2 percent, let's  
8 say, of the U.S. population each year going into  
9 the chronic back pain category.

10 [Slide.

11 Now, it's very funny to me, when people  
12 ask me what is back pain, having written a 700-page  
13 book on it, it is very difficult for me to answer,  
14 and so when I hear people saying chronic back pain,  
15 I just go twirling around saying which one do you  
16 mean.

17 In my book that I wrote on this, we had 60  
18 different reasons for developing the symptom, the  
19 symptom of low back pain. Now, it takes a little  
20 time to figure which disease is causing that, and  
21 we will talk about whether we are good at that or  
22 not, but this is one of the ways one might look at  
23 the various categories with low back pain, whether  
24 it's mechanical, rheumatologic, infectious,  
25 psychiatric, so there are a wide variety of

1 disorders which can be associated with this  
2 problem.

3 [Slide.

4 Now, let's simplify it a little bit. What  
5 turns out to be the case is that the systemic, the  
6 rheumatologic, the endocrinologic, the psychiatric  
7 types of illness associated with low back pain are,  
8 in fact, relatively few.

9 This is probably being generous on the low  
10 side. Probably mechanical pain may be more like 90  
11 or 95 percent of all the individuals looking at a  
12 large enough population of individuals. So,  
13 mechanical low back pain can be defined as one of  
14 these various problems.

15 It can be associated with disorders  
16 dealing with the muscle, ligaments, or tendons  
17 which have been injured. It can be discogenic, it  
18 can be the intervertebral disk which has been  
19 affected, and that is a whole separate topic of  
20 whether that causes pain or not, but can be  
21 associated with a herniated disc which may also  
22 result in a radiculopathy or sciatica.

23 There is also apophyseal joint disease,  
24 and I am sure that Dr. Brandt would agree that  
25 osteoarthritis affects the lumbar spine, so there

1 is some osteoarthritis there, as well. There is  
2 spinal stenosis, spondylolysis, and  
3 spondylolisthesis, which is an instability of the  
4 spine, and then scoliosis can, in fact, be  
5 associated with chronic low back pain.

6           These can all occur acutely. Some are  
7 more associated with a more chronic situation. So,  
8 you can have some that are acute and some, then,  
9 that will go on to the chronic phase.

10           [Slide.]

11           I would certainly like to hear what Dr.  
12 Woolf has to say about my sources of pain as  
13 regards to the lumbar spine. My suggestion is it  
14 is once again complicated as to which structures  
15 are being affected.

16           Superficial somatic, I love when comes in  
17 as far as the back is concerned. I can pick up  
18 herpes zoster and cellulitis pretty easily, and  
19 that is easy to do. It gets more complicated the  
20 deeper in the body you go, and that is why this is  
21 so complicated because we are very good at  
22 osteoarthritis of the fingers, but it becomes much  
23 more difficult when it is osteoarthritis of the  
24 zygo-apophyseal joints, because we can't get our  
25 fingers around them. It becomes much more

1 difficult to diagnose.

2           So, deep somatic structures, such as the  
3 muscles, the joints, the bursa, and fascia, also  
4 have a characteristic kind of pain, which I would  
5 propose is different than superficial somatic pain  
6 in its character, in its clinical symptoms.

7           The same for radicular pain associated  
8 with nerve root difficulties compared to visceral  
9 referred pain mediated through sympathetic  
10 afferents versus neurogenic pain, which may be more  
11 of this diabetic neuropathy or amyotrophy,  
12 psychogenic pain, which exists totally in the  
13 cerebral cortex.

14           So, when you deal with low back pain,  
15 depending upon which structures may be affected,  
16 and which nerves may be affected, you can get a  
17 different character of pain. I truly believe that  
18 I can tell the difference between somatic and  
19 radicular.

20           [Slide.

21           It was also suggested that we have  
22 difficulty in deciding what pain intensity is, and  
23 I was always quite interested in knowing what  
24 minimal, mild, moderate, and severe was. Dr.  
25 Simon's definition was he gets it as soon as he

1 even gets close to the dentist's office.

2 Well, this is the way I decide about it  
3 because we don't have any specific machine that  
4 measures it specifically. I do it on the basis of  
5 function, and this is what I do in the office every  
6 day.

7 Minimal is mentioned in passing and its  
8 normal function. The person came in because they  
9 had knee pain, but when you go through your total  
10 review of systems, they mention that their back  
11 bothered them once in a while.

12 Mild is a component of symptoms with mild  
13 dysfunction. They are concerned that they are not  
14 running as far as they used to because their back  
15 bothers them. That is starting to concern them.  
16 It doesn't bother them with the rest of their  
17 activities, it is their recreational activities.

18 Moderate, it is getting in the way of what  
19 they do with their work, it is becoming an impact  
20 upon how they do their daily lives, and severe, the  
21 point that Dr. Simon didn't tell you, is that he  
22 brings his wife with him when he goes to the  
23 dentist because he will need someone to help him  
24 put on his clothes after he gets done.

25 That is the equivalent when I have someone

1 with severe back pain who comes to me, they come  
2 with someone else, because they can't function to  
3 put on their clothes to get in the office or to get  
4 out of it.

5 So, there are ways of differentiating  
6 among the various types of discomfort these  
7 individuals experience.

8 [Slide.

9 The diagnosis of back pain is nonspecific  
10 in 80 percent of patients. This is a dictum which  
11 is repeated again and again and again, and it is  
12 based upon some studies which been in the  
13 literature for quite a long period of time, really  
14 before there was an MRI or CT scan.

15 It is easier to just repeat it as to go  
16 out and really find out if it's true or not, so it  
17 is repeated and said most of the time you really  
18 can't tell what is going on with these individuals.  
19 That might be a problem if you were going to base a  
20 whole indication on an entity which you really  
21 couldn't diagnose, and I could understand why that  
22 might be a problem.

23 [Slide.

24 Is that truly what happens? There was  
25 just a very interesting paper, set of papers, which

1 appeared in the Archives of Internal Medicine just  
2 in the last month. It came perfectly on time in  
3 regard to this meeting.

4 Basically, it was a pro and con situation.  
5 What the authors were saying is specific diagnosis  
6 is possible or specific diagnosis is impossible.

7 On one side there was this physician, Dr.  
8 Abraham, who raised the point that, in fact,  
9 specific diagnoses are possible, that there are  
10 clinical symptoms and signs associated with  
11 differentiation of muscle, joint, and ligamentous  
12 structures, that it is possible to, in fact,  
13 differentiate mechanical versus systemic disorders,  
14 that you can categorize these clinical symptoms,  
15 that can be done, and that subtyping these  
16 individuals does have the possibility of improving  
17 therapy, that is, if you can separate the specific  
18 mechanisms either or pain generators or the nerves  
19 that are mediating it, might it be possible to get  
20 a better therapy because you could identify them.

21 [Slide.

22 On the other side was Rich Deyo, and he  
23 has been long known for being of the school that  
24 you really can't make diagnoses. His point,  
25 however, his not hidden agenda, quite clear agenda,

1 he is concerned about individuals utilizing health  
2 services to make diagnoses, which don't really make  
3 a difference, so people doing MRI's and x-rays, and  
4 all this.

5 His point is specific diagnosis is  
6 impossible. You can find anatomic abnormalities  
7 in asymptomatic individuals. This will result in  
8 overutilization of imaging techniques. There is  
9 inconsistency with physical findings. In general,  
10 if we look at it, nonspecific therapy works,  
11 nonsteroidals can work in a wide variety of things,  
12 so if they do, why bother to try and find the  
13 specific pain generator, they work in general.

14 My point is probably a mixture of both. I  
15 think both have points to be made for their side.  
16 I think it is possible to separate these  
17 individuals a bit better, and I think even Dr. Deyo  
18 in his response said yes, it probably is  
19 recognizing that his concern was about utilization,  
20 and not the fact that you couldn't diagnose some of  
21 these more specific problems.

22 So, I do think it is possible, but until  
23 we categorize and study a bit more specifically, we  
24 may not be able to come up with better therapies,  
25 and that is part of what this group needs to



1 decide, is that worthwhile, and that is what the  
2 committee will have to sort of deal with.

3 [Slide.

4 Also, is it possible to differentiate  
5 among these various types of problems, can you do  
6 the difference between somatic, neuropathic, and  
7 radicular pains? Yes, they can be differentiated,  
8 and specific pain generators are difficult to  
9 identify, but localization is not essential for  
10 effective therapy.

11 So, my point would be this, that it is  
12 possible to categorize some of these individuals  
13 with chronic low back pain, you can put them in  
14 broad categories, and then you can study them to  
15 see, in fact, they are responsive to different  
16 types of therapies.

17 I think it is important to try and  
18 separate somatic versus radicular, but that doesn't  
19 mean they should be mutually exclusive, and some  
20 therapies may, in fact, work in both areas.

21 [Slide.

22 Now, as my third point, are there pain  
23 outcome measures or low back pain measures which  
24 have been shown to be effective in picking up  
25 differences? Now, Dr. Strand is I am sure going to

1 do an excellent job talking about this tomorrow,  
2 and I am not stealing any of her thunder at all,  
3 because I am just going to go into this for a  
4 minute or two, because I don't want to tread too  
5 far afield.

6 But I do believe, at least as part of our  
7 discussion, do we have these outcome measures, do  
8 we have back-specific function measures, do we have  
9 pain measures, and do we have patient global  
10 satisfaction measures that make a difference?

11 [Slide.

12 Well, back-specific function measures do  
13 exist, and these have been tested for a long period  
14 of time. They are the Roland Morris Disability  
15 Questionnaire and the Oswestry Disability Index.

16 [Slide.

17 For those who may not be aware of them, I  
18 am just going to take one minute to just describe  
19 them to show you that they do, in fact, exist, they  
20 do function assessments as a means of telling how  
21 back pain patients are functioning and how they are  
22 doing.

23 There are 24 items from the Sickness  
24 Impact Profile. The functions that they pulled out  
25 affect back pain that day. The scores are added,

1 and this has been a validated and reproducible  
2 instrument for a number of years since it first  
3 came out in 1983, and has been associated with  
4 picking up differences and improvements in patients  
5 with low back pain on a function basis.

6 [Slide.

7 Then, we have individuals who have been  
8 measured with the Oswestry Disability Index, and  
9 this is also a pain and function assessment. There  
10 are 10 sections on various functions with 6 levels  
11 of assessment in each.

12 They measure physical and social functions  
13 that day. They can once again be added up to 100,  
14 and have been validated and are reproducible  
15 instruments, as well. So, from the standpoint of  
16 function, we certainly have capabilities.

17 [Slide.

18 In regards to pain assessments, I will  
19 leave it once again to others to describe whether  
20 these are the appropriate ones or whether there are  
21 others that are better in describing specific  
22 different types of pain.

23 One may have a general type of pain  
24 assessment tool, and if you have a specific  
25 character of pain, a neuropathic pain, or another

1 type of pain, one might use that specific tool, as  
2 well, in that specific circumstance.

3 [Slide.

4 Then, in regards to global satisfaction, I  
5 would ask this group to strongly believe that a  
6 question to the patient asking how are you doing  
7 and are you doing better is a worthwhile outcome,  
8 and should always be, period, case closed.

9 It doesn't take too long to ask, it takes  
10 very little time to circle, but that is what I ask  
11 every day, and you can do it with smiley faces, you  
12 can do whichever which way you want, but that is  
13 what the patient cares around, do I feel better all  
14 over, and what was said in regards to toxicities  
15 and frequency of dosing and everything else all  
16 gets wrapped up into the way the patient feels.

17 So, I think whether they are satisfied  
18 with their therapy, very much, a little, mixed  
19 reviews, or I really hate it, really does get to a  
20 significant outcome as far as these studies are  
21 concerned, and I think it is a very simple question  
22 to ask, but a very important piece of information  
23 to know.

24 [Slide.

25 Then, of course, optional measures are

1 also possible depending upon whether you think  
2 there is depression associated with these  
3 individuals with chronic pain. There is the  
4 general health status circumstance with SF-36 and  
5 various depression scales, I just picked out one.

6 This could be optional if you think  
7 depression is playing a significant role in regards  
8 to these chronic back pain patients.

9 [Slide.

10 So, I do believe there are instruments  
11 that exist that measure the effect of drug  
12 interventions on chronic pain for function, pain,  
13 global satisfaction, and for general health status.

14 [Slide.

15 Now, what was mentioned also is quite  
16 clear, that is, chronic pain therapy is  
17 multimodality. Depending upon how long it has been  
18 present, one may use one drug, two drugs, three  
19 drugs, four drugs. One may use a variety of other  
20 physical modalities, physical therapy, exercises, a  
21 wide range of things in order to take care of back  
22 pain.

23 I am not sure how one wants to deal with  
24 that in saying they need to be additive or have a  
25 baseline state and then take one aspect away and

1 seeing if substitution makes a difference, either  
2 making the patient go back to their baseline state  
3 or, in fact, improve upon their baseline state.

4 So, these are some of the therapies that  
5 are available as far as back pain is concerned.

6 [Slide.

7 These are the therapies, the drug  
8 therapies associated with low back pain. I want  
9 you to know that I looked in the PDR to see if one  
10 had an indication for chronic low back pain. None.

11 So every day that I work in the office, I have no  
12 indication for any of the drugs that I am using.

13 I feel comfort with that, but uneasy. I  
14 have to tell my patient if they are smart enough or  
15 willing enough to ask me is this indicated for  
16 this, the answer is not specifically, but I think  
17 you have a problem that will respond to this.

18 So, here is a wide range. This isn't my  
19 list, this is culled from a number of different  
20 papers and studies looking at what has been  
21 effective as far as chronic low back pain occurred.  
22 This has been nonsteroidals, muscle relaxants,  
23 analgesics, antidepressants, anticonvulsants,  
24 alpha-2 adrenergic agonists, and a miscellaneous  
25 group including the NUDA receptor antagonists.

1 [Slide.

2 I am not going to go through all of these.  
3 Certainly many of you know them already. There are  
4 the nonsteroidals. This was recently reviewed in  
5 Spine in 2000, suggesting that these medications,  
6 in fact, do have benefits as far as chronic low  
7 back pain is concerned.

8 The ones that are short-lived, have short  
9 half-lives, they can be used for the acute  
10 exacerbations that Dr. Sherrer was talking about,  
11 that if you have someone who has a baseline state,  
12 but has an acute exacerbation, one can use a short  
13 half-life nonsteroidal, long, sustained effects for  
14 long half-life medications, and certainly from the  
15 standpoint of COX-2 inhibitors, decreased toxicity  
16 because the people will be on drugs for extended  
17 periods of time is certainly an important  
18 indication and concern, that it may be good for a  
19 week or two, but when you are talking about one or  
20 two years, it is still going to be safe.

21 I am not suggesting that one needs to  
22 study it that long a period of time, but there are  
23 patients who are on these drugs for extended  
24 periods of time, so toxicity is something I am  
25 concerned about when I start these patients, but I

1 don't really know how long they are going to end up  
2 on them, but if they work, I keep using them.

3 [Slide.

4 Then, there are, of course, the muscle  
5 relaxants as they have been described previously,  
6 and these are important adjuncts to therapy. If  
7 you wanted to see the effect of any one of these  
8 for longer than six months, I couldn't show you a  
9 study that really did that on any regular basis.

10 [Slide.

11 Non-narcotic and narcotic medicines are  
12 all used in patients who have chronic low back pain  
13 depending upon their status.

14 [Slide.

15 I am almost out of time, but I wanted to  
16 be practical. We have been very much talking about  
17 mechanisms and all. I deal with patients just like  
18 many of you, and I thought what I would do to end  
19 up my discussion today is live my life.

20 You have a few patients with chronic low  
21 back pain. This is what they are getting. This is  
22 a 52-year-old person who had a work-related  
23 myofascial injury in the lumbar spine. It is mild  
24 to moderate, she is still able to function. We  
25 changed her nonsteroidal to a diclofenac product.



1 She remained on her muscle relaxant when she has an  
2 acute exacerbation, so she can stay at work. She  
3 knows that she can dose with an extra short form of  
4 the medicine, and she knows that she is supposed to  
5 be on her exercise program in order to maintain her  
6 function.

7 [Slide.

8 There is a 67-year-old person who has  
9 facet joint disease, has basically osteoarthritis  
10 as part of their chronic low back pain. This  
11 individual is treated with a COX-2 inhibitor and a  
12 muscle relaxant, and has been on this regimen for  
13 an extended period of time.

14 This, I would say was the mild to moderate  
15 chronic somatic type of pain.

16 [Slide.

17 Then, I have another individual who has  
18 had a laminectomy, some of these are post-surgical  
19 individuals, who happens to have a fractured screw  
20 in his back, but he doesn't really want to get it  
21 taken out.

22 So, this individual, over time, and I have  
23 been taking care of him over 10 years, has gone  
24 through a variety of therapies now where he is now  
25 currently on a COX-2 inhibitor nortriptyline, a

1 fentanyl patch, and a short-acting narcotic when he  
2 has his acute exacerbations.

3 [Slide.

4 Then, finally, for the individual who has  
5 moderate to severe neuropathic pain, who is still  
6 in this chronic back pain situation since he has a  
7 component of pain, he has had a traumatic  
8 neuropathy to the sciatic nerve.

9 He is on a long-acting nonsteroidal,  
10 gabapentin, oxycodone, long acting, and short-term  
11 narcotic for when he has an exacerbation.

12 That is what chronic low back pain therapy  
13 can be depending upon who you are seeing and what  
14 kind of status they are in. I do believe it is  
15 possible to separate these individuals out. Many  
16 of these individuals have been on a variety of  
17 therapies for an extended period of time.

18 [Slide.

19 So, I would like to conclude with this and  
20 hopefully have answered some of these questions,  
21 but probably have raised more. I do think that  
22 chronic low back pain is a model for chronic pain.  
23 I think it is an important problem.

24 I think there are enough people in the  
25 society for which it is worthy of being

1 investigated. There are outcome tools available I  
2 think at this time that can at least give us a  
3 handle as to how to measure it, but certainly  
4 others, as they are developed, would be useful.

5 Somatic pain is identifiable, that is,  
6 pain related to musculoskeletal disorders, and for  
7 terms if you don't like somatic, but prefer  
8 musculoskeletal system, would be where I would put  
9 that, are identifiable and can be seen and studied.

10 The degree of pain and effect of study  
11 design I think is also possibly differentiated.  
12 For those who have mild to moderate pain, it might  
13 be possible to do a single drug versus placebo with  
14 an active comparator, however, when you have these  
15 individuals who have more severe pain where there  
16 may be more mechanisms involved, there, you may  
17 have individuals who may be on a stable multidrug,  
18 multimodality therapy, but there, take the drug  
19 away, have them flare, and then replace it with the  
20 study agent and thereby be able to determine  
21 whether they did better or worse from their  
22 baseline state.

23 That is where I will conclude. Thank you  
24 very much for your attention.

25 DR. FIRESTEIN: Thank you very much. We

1 have about 10 minutes to discuss Point No. 5, which  
2 is to comment on the value of chronic low back pain  
3 as a separate labeled indication versus part of a  
4 broader claim.

5 Discussion Point #5

6 DR. MAX: A question for Dr. Borenstein.

7 One big distinction that seems to come out of your  
8 talk is the distinction between people who have low  
9 back pain every day for a year or two years and  
10 those who are having clear-cut, new injury, where  
11 perhaps the disc is getting another little tear,  
12 and all the studies, like the postcard study you  
13 show, had people with new relapses.

14 Do you think it would be appropriate in  
15 clinical trials to make some sort of distinction  
16 between these people who probably have some acute  
17 inflammatory pain on top of it, which might respond  
18 to different drugs and how would you do it?

19 DR. BORENSTEIN: Well, I do think it is  
20 possible to separate these individuals out. Some  
21 people have a chronic ongoing back pain, which it  
22 may vary a little bit, but is essentially there for  
23 extended periods of time. We are talking months  
24 and months and months.

25 There are other individuals who have

1 exacerbations of their pain, they wax and wane.  
2 Those individuals do have a different kind of  
3 story. Some of those may think it is the weather  
4 that bothers them or certain activities that will  
5 have an effect upon their pain.

6           So, I do think it is possible through the  
7 appropriate questions at the start of such a study  
8 to differentiate from these individuals who has a  
9 chronic stable type of pain versus those who are  
10 having acute exacerbations, which may have more an  
11 inflammatory component.

12           DR. MAX: Has the methodology been  
13 developed yet in any of the published clinical  
14 trials of back pain to distinguish these two  
15 classes?

16           DR. BORENSTEIN: Well, as I tried to show,  
17 there is great debate about whether one can define  
18 or describe low back pain, and this has just been  
19 written about last month. I think if people do take  
20 care of back pain patients, you can separate these  
21 individuals out.

22           There are a certain criteria where one  
23 might say their level of pain has remained at a  
24 certain level for a period of time. So, I do  
25 believe that it is possible to separate them out,

1 but has it been studied specifically as to which  
2 group this may be, whether it is osteoarthritis  
3 with more a flare component? No, that hasn't been  
4 done.

5 DR. FIRESTEIN: Dr. Sherrer.

6 DR. SHERRER: I think Dr. Borenstein has  
7 shown that low back pain has all the general  
8 problems that chronic pain has in general, and I  
9 don't think it is going to offer us anything  
10 specific.

11 You pointed out that you have  
12 osteoarthritis affecting the low back, you have  
13 inflammatory joint disease affecting the low back,  
14 you have soft tissue pain affecting the low back,  
15 and I think we see that clinically.

16 Then, you have the chronic persistent  
17 pain, the chronic intermittent pain. It is the  
18 same thing we see with chronic pain elsewhere. So,  
19 I don't see that separating low back pain out per  
20 se is going to be beneficial unless we are going to  
21 be able to separate out inflammatory low back pain  
22 or osteoarthritic low back pain.

23 DR. BORENSTEIN: My suggestion would be  
24 that we could. If you have a sed rate greater than  
25 20, you have an inflammatory process which

1 separates out most, I do think it is possible to  
2 separate out those individuals who have  
3 inflammatory back pain.

4 I think we can separate out the  
5 spondyloarthropathies. Those people have  
6 infections, and all those. I would not suggest  
7 that it is so difficult to do. I think it is  
8 clearly possible to identify those individuals who  
9 have mechanical pain.

10 Now, if you want to separate out those who  
11 have it solely on muscle discomfort versus joint  
12 discomfort, it may become a bit more difficult, but  
13 from the standpoint of an inflammatory versus  
14 non-inflammatory standpoint, I think that is not a  
15 difficult process to undergo.

16 DR. GOLDKIND: I would like to ask Dr.  
17 Borenstein what evidentiary base are you familiar  
18 with that speaks to the polypharmacy, not  
19 surprising at all, but striking how patients with  
20 chronic low back pain, and that is probably going  
21 to be true in other chronic pain situations, are on  
22 polypharmacy, but is it fully anecdotal or do you  
23 see studies that incorporate that aspect.

24 DR. BORENSTEIN: Most of them are  
25 anecdotal. I mean it becomes most of the way drug

1 studies are done for the most part except in  
2 rheumatoid arthritis, and they haven't necessarily  
3 been transposed into chronic low back pain, is that  
4 you have a stable therapy, which can be on a wide  
5 variety of drugs, and then you take one drug away.

6 This, I do not believe has been  
7 specifically done in chronic back pain patients who  
8 are on more than one drug. That is the problem that  
9 we face. If this was thought to be a good  
10 process, then, in fact, that could be done, but  
11 that is the way some of these patients with chronic  
12 back pain need to be treated.

13 Some, in fact, can be treated with one or  
14 two drugs. Others with more severe pain are  
15 treated with multiple drugs.

16 DR. GOLDKIND: Do you think there would be  
17 any value in studying specifically combinations,  
18 how we put drugs together, or does the current  
19 clinical trial design where there is background  
20 that includes the remainder suffice for clinical  
21 practice?

22 DR. BORENSTEIN: Well, getting back to  
23 what Dr. Woolf was talking about before, this may  
24 be one of the ways of trying, in fact, to identify  
25 those individuals. Just hypothetically, you have a



1 group of people who are on a nonsteroidal, muscle  
2 relaxant, a tricyclic. You have three drugs.

3           You come along and find out that you  
4 intervened with one, you take one of those out and  
5 intervene and find a subgroup of people who have a  
6 specific response, this might be interesting in  
7 identifying those individuals who have a response  
8 to that specific group, because it is going to be  
9 very hard to find these people who have chronic  
10 back pain, who are going to be on placebo versus  
11 the active comparator, and nothing else.

12           So, I think this may be one of the ways of  
13 getting those drug trials done and also identifying  
14 those individuals who may be doing subgroup  
15 analysis to see how they may have responded above  
16 and beyond what the mean might have been otherwise  
17 to get at some of these mechanism problems.

18           DR. FIRESTEIN: Dr. Cush.

19           DR. CUSH: I think from Dr. Borenstein's  
20 comments we should be very concerned that despite  
21 the prevalence of the condition, the number of  
22 agents which have targeted back pain for an  
23 indication are very few, and that is surprising and  
24 disappointing.

25           I think that the FDA should make an effort

1 to try to make this an indication if, on one hand,  
2 to spur and excite research in this area as an  
3 indication, but obviously, this was always out  
4 there and people could have gone after it, and  
5 companies may have stayed clear of low back pain as  
6 an indication for a variety of reasons, maybe the  
7 difficulty in studying patients, the outcome  
8 measures, and whatnot, but this is an inherent  
9 problem in there and maybe the FDA can look forward  
10 to try to develop ways of pushing people in this  
11 direction as far as research and clinical trials.

12 One way might be for that global  
13 indication that we argued about in the last  
14 session, maybe one of the defining diseases under  
15 that heading might be low back pain.

16 MS. McBRAIR: As the consumer rep, I just  
17 wanted to thank Dr. Borenstein for supporting the  
18 idea of studying patient function, their patient  
19 global assessment, and possibly quality of life.

20 People can have a lot of pain medication  
21 and pain control, and not be able to function very  
22 well, as oftentimes noticed by employers and  
23 families and others, and I think we need to take a  
24 clear look at what we are doing to people when we  
25 offer them all these medications.

1 DR. FIRESTEIN: Dr. Brandt.

2 DR. BRANDT: Polypharmacy is not unique to  
3 low back pain. It may be a general phenomenon in  
4 patients who have chronic pain. In osteoarthritis,  
5 those people who are given a prescription NSAID, a  
6 very significant proportion are taking also an  
7 over-the-counter NSAID and acetaminophen and  
8 glucosamine. So, it is not unique to low back.

9 DR. BORENSTEIN: If I could just comment  
10 on that. Once again, although ideally from a  
11 scientific basis, it is nice to think of nice  
12 straight lines as the only way things happen, but  
13 dealing with human beings, they always find ways of  
14 making the lines curve.

15 I have never seen a straight one yet, and  
16 there is always a little bit of everything, and the  
17 trouble that we have is trying to identify those  
18 people and how they verge away from this line, this  
19 straight line, where the curves come in.

20 So, that is why I was saying polypharmacy,  
21 yes, there may be this peripheral sensitization and  
22 other things playing a role, as well as  
23 nociception. Some people may have some of both,  
24 and that even though it may be what we would  
25 expect, where a COX-2 or a nonsteroidal may have no

1 effect, in certain circumstances, they do seem to,  
2 and so we are always surprised, we are always happy  
3 it happens, but I can't really always explain it.

4           So, though knowing the basic science is  
5 clearly essential, and the better we get at it, the  
6 better we will be able to have therapies. At this  
7 point, I still think that we still have to use a  
8 little bit more leeway in the way we actually use  
9 these drugs to try and maximize the effect in our  
10 patients.

11           That is once again the basic goal is to  
12 make the patients better. The science will catch  
13 up with the human beings as they tell us how they  
14 are doing.

15           DR. FIRESTEIN: One of the problems with  
16 looking at low back pain as a single entity is that  
17 it becomes difficult to manage them with an  
18 individual agent for diseases, that has multiple  
19 etiologies, just as we don't have a single  
20 indication for heart disease, for instance, but we  
21 wouldn't necessarily even desire a single  
22 indication for low back pain, which is in part  
23 caused by osteoarthritis or other mechanical  
24 derangements, and the like, or neuropathic  
25 diseases.

1           I wonder if we would be doing the patients  
2 a service or a disservice by lumping all those  
3 patients together rather than trying to be more  
4 specific in targeting our approaches.

5           For instance, you already mentioned that  
6 90 percent of the patients have mechanical issues,  
7 and that might be one way of at least getting one's  
8 arms around the indication rather than just trying  
9 to include all back pain.

10           DR. BORENSTEIN: My response with that  
11 would be exactly that. I think you can separate  
12 out these individuals who have musculoskeletal  
13 versus the systemic illnesses, and make a  
14 difference for those individuals.

15           It becomes difficult to say that it is  
16 only joint, and not muscle, because you can get  
17 referred pain, as well, so if you are able to deal  
18 with that process and make a difference, even  
19 though it may be muscle first and joint second, or  
20 joint first or and muscle second, you can still  
21 make an impact in this musculoskeletal arena.

22           DR. FIRESTEIN: Dr. Katz, and then we will  
23 finish up.

24           DR. KATZ: I would like to come down on  
25 the side of an entity of chronic low back pain.

1 Again, as Dr. Borenstein did, we are talking about  
2 non-neuropathic low back pain, we are talking about  
3 eliminating systemic diseases, but I would be in  
4 favor of that being an indication unto itself and  
5 also that being a disease model that could be used  
6 to work towards a musculoskeletal claim.

7 All of these diseases can be split  
8 infinitely into different subgroups that may  
9 respond more or less well. We just heard earlier  
10 that hypertension is actually a number of different  
11 diseases that respond differently, but nobody is  
12 bothered by the idea of having a drug for  
13 hypertension, diabetic neuropathy, it is the same.

14 Postherpetic neuralgia, it doesn't bother  
15 us to approve a drug that works at best in a third  
16 or 40 percent of patients, knowing that our  
17 approval only applies to a subgroup, but knowing  
18 equally well that because we don't know how to  
19 segregate out that subgroup, we need to provide a  
20 physician a reason to use the medication.

21 We also know that much more harm has been  
22 done by under-recognition and undertreatment of  
23 chronic pain than by overtreatment, so if we had to  
24 come down on which side we would want to occur, I  
25 would prefer to err on the side of seducing

1 physicians into treating their patients.

2           The fact that the chronic low back pain,  
3 even musculoskeletal pain is somewhat  
4 heterogeneous, I think is a strength in the sense  
5 that if you can show in a good trial that your  
6 medication works for this admittedly heterogeneous  
7 group of disorders, then, all the more it should be  
8 applicable to a broader musculoskeletal pain  
9 diagnosis where its heterogeneity is actually a  
10 strength, and not a weakness.

11           DR. FIRESTEIN: I would agree with that as  
12 long as we are primarily discussing mechanical back  
13 pain.

14           DR. KATZ: Yes, as Dr. Borenstein defined  
15 it.

16           DR. FIRESTEIN: That brings us to the end  
17 of this morning's session and we will reassemble at  
18 1 o'clock. Thank you.

19           [Whereupon, at 12:02 p.m., the proceedings  
20 were recessed, to be resumed at 1:00 p.m.]

1 A F T E R N O O N P R O C E E D I N G S

2 [1:05 p.m.]

3 DR. FIRESTEIN: The next segment is the  
4 open public hearing, which involved a number of  
5 individuals who will make short presentations from  
6 5 to 10 minutes.

7 For those individuals that will have  
8 PowerPoint slides, I would ask you to make your  
9 presentation from up here if you already have them  
10 loaded onto the computer, and if you haven't  
11 already done that, then, you will not be making  
12 slide presentations unless you are very fast.

13 Again, the various individuals have  
14 already been apprised of the time limitations and  
15 while we don't have a gong up here to cut them off,  
16 I would ask them, please, to try to adhere to them  
17 as closely as possible.

18 The first is Dr. Najib Babul, Chief  
19 Scientific Officer of TheraQuest Biosciences.

20 Open Public Hearing

21 DR. BABUL: Good afternoon. I want to  
22 thank the Advisory Committee Chair and the Division  
23 Director for allowing me to speak at this meeting.  
24 I am particularly pleased to speak at this meeting  
25 because I think the briefing document raises a



1 number of important issues both to regulators and  
2 to drug development scientists.

3 [Slide.

4 Let me just introduce myself briefly. My  
5 name is Najib Babul. I am Chief Scientific Officer  
6 for TheraQuest Biosciences, a Philadelphia,  
7 Pennsylvania based company, consulting in the area  
8 of analgesia rheumatology drug development.

9 [Slide.

10 This is my conflict of interest statement,  
11 pharmaceutical sponsors that I work with, have  
12 submissions or pending submissions before Division  
13 550 or Division 170, and the views that I express  
14 are solely those of TheraQuest Biosciences.

15 [Slide.

16 Much has been said earlier today about the  
17 regulatory framework for approval of drugs and what  
18 is lacking in the existing guidelines. Certainly I  
19 can tell you as somebody who has been using the  
20 1992 Analgesic Guidelines that before we throw the  
21 baby away with the bathwater, these guidelines are  
22 fairly robust and certainly help those of us who  
23 are in the trenches and developing drugs for acute  
24 pain, these guidelines have been exceedingly useful  
25 and continue to be useful.

1           That is not to say that we don't presently  
2 have challenges in drug development. In addition  
3 to these FDA guidelines, there is the CPMP  
4 document, draft document, which also provides  
5 additional guidance to those of us who are doing  
6 international clinical trials in analgesia.

7           Of course, we have rather well put  
8 together OA guidance document from the FDA and from  
9 the CPMP, which can provide a bit of a foundation  
10 for going forward if a decision is made to put  
11 together additional guidance documents.

12           [Slide.]

13           Now, having said that, there are certainly  
14 gaps in the regulatory framework for development of  
15 analgesics. This is a short list of some of the  
16 gaps as I see them, and they include multi-dose  
17 evaluation in acute pain, evaluation of drugs with  
18 slow onset of effect in acute pain, and there are  
19 clearly some drugs, including drugs that have a  
20 depot effect, that provide sustained analgesia in  
21 the perioperative period, for instance, that would  
22 fit into that category.

23           Drugs for neuropathic pain, which was the  
24 subject of a separate Advisory Committee meeting in  
25 May, drugs for cancer pain, which perhaps fit in

1 the mandate of this Advisory Committee, and, of  
2 course, the possibility of putting together  
3 guidance documents for low back pain, for  
4 fibromyalgia, and for myofascial pain, then,  
5 broadly speaking, looking at chronic pain as an  
6 indication.

7 [Slide.

8 This is a brief list of some of the models  
9 of chronic pain. One can categorize chronic pain  
10 in a number of different ways - mechanistically, by  
11 diagnosis, etiology, et cetera, and this is an  
12 attempt at categorizing some of the models,  
13 myofascial pain, low back pain, osteoarthritis,  
14 fibromyalgia, some have argued and actually  
15 demonstrated successfully that mixed model  
16 populations with chronic non-cancer pain can be  
17 successfully evaluated as a heterogeneous  
18 population, and then, of course, neuropathic  
19 chronic pain and cancer pain.

20 [Slide.

21 Now, there are some compelling reasons why  
22 we have lagged behind in chronic pain in contrast  
23 to acute pain in developing guidelines and in  
24 developing drugs and getting a label claim. I  
25 should note that there is a bit of a divergence in

1 terms of the labeling history for opioids,  
2 particularly sustained release opioids in the  
3 Division of Anesthetic Critical Care, Addiction  
4 Drug Products, where there has been a de facto  
5 chronic pain indication without stating chronic  
6 pain, and in the Anti-inflammatory, Ophthalmic,  
7 Analgesics Group where, in fact, that indication I  
8 don't believe has broadly existed although clearly  
9 there are some drugs historically that have been  
10 approved for the treatment of moderate to severe  
11 pain implying acute and chronic.

12 Now, some of the challenges that drug  
13 developers like myself find in developing drugs for  
14 chronic pain is that the etiology is rather  
15 diverse. Dr. Borenstein earlier talked about I  
16 think 60 or 70 potential etiologies for low back  
17 pain alone, so certainly even with a heterogeneous,  
18 a seemingly identical "diagnosis," broadly  
19 speaking, or presenting a complaint like low back  
20 pain, you can have a rather heterogeneous  
21 population.

22 Having said that, perhaps much more is  
23 made of that than we ought to. A substantial  
24 number of patients, as Dr. Borenstein noted, have  
25 mechanical low back pain, and while there is some

1 disagreement, many have argued that as many as 90  
2 percent of patients with low back pain have  
3 idiopathic low back pain, and there is now  
4 pharmacologic evidence from work that has been done  
5 demonstrating that that group, whether it is  
6 homogenous or heterogeneous, in fact, is a  
7 worthwhile group to evaluate analgesics in, and we  
8 have certainly been able to separate active from  
9 placebo.

10 In addition, these patients have  
11 considerable amount of psychological overlay which  
12 varies a great deal from patients who may have some  
13 myofascial pain post-motor vehicle accidents to  
14 patients with osteoarthritis who may have  
15 considerably less access to diagnosis.

16 We also have a situation that is  
17 confounded by disability payments and litigation  
18 and secondary gain issues which make it very  
19 difficult for us to look at issues of function, for  
20 instance, in this population.

21 I think, finally, there are unrealistic  
22 outcome expectations. There are a number of  
23 stakeholders in this debate, not just drug  
24 developers and regulators. In fact, insurance  
25 companies and other third parties sometimes view a

1 successful outcome not as relief of pain per se,  
2 but a return to work situation, which of course  
3 means that their exposure to liability, financial  
4 liability is significantly reduced.

5 [Slide.

6 Recently, Division 550 has suggested that  
7 replicate evidence in three chronic pain states or  
8 chronic pain models are necessary for a chronic  
9 pain indication. While I appreciate that the brief  
10 document suggests to the committee that this is  
11 subject to consideration and some debate, and that  
12 it is not cast in stone, I think, Dr. Simon, you  
13 referred to this as an iterative process, there are  
14 some potential implications that I think we need to  
15 consider.

16 [Slide.

17 I think the first issue that concerns me  
18 is that there may be an absence of established  
19 models to provide evidence in three chronic pain  
20 states. While one can throw fibromyalgia into this  
21 chronic pain basket, some would argue that, in  
22 fact, it is a rather distinct entity and that it  
23 may not respond to many of the agents that other  
24 drugs perhaps respond to in chronic pain.

25 I think certainly the suggestion contained

1 in the briefing document and indeed at the NIH-FDA  
2 Workshop, that replicate evidence for a specific  
3 sub-indication would be a basis for approval is  
4 reasonable. I think that very few would disagree  
5 that at least in a 505(b)(1)/ new chemical entity  
6 approval strategy, that if you are going to go for  
7 a specific sub-indication, that perhaps some degree  
8 of replication is necessary.

9           However, I would suggest to the division  
10 and to the committee that replication across three  
11 models, models, which we have yet to fully  
12 establish and validate, might be too onerous a  
13 requirement to put on the pharmaceutical sponsors,  
14 and that perhaps, and this is a suggestion for  
15 potential discussion by the committee and by the  
16 division, that perhaps replication in two models of  
17 chronic pain or perhaps robust and internally  
18 consistent evidence in single trials in three  
19 models might be sufficient to provide a broad  
20 indication of chronic pain with the proviso that  
21 the Clinical Pharmacology Section of the package  
22 insert would speak to the specific evidence that is  
23 available on that drug.

24           One concern that a number of us interested  
25 in chronic pain have is that if the burden is too

1 high for a broad indication, we may end up people  
2 being expeditious, and there was some reference to  
3 this earlier, people just taking the quick and  
4 dirty route out, just getting a specific narrow  
5 sub-indication with the potential for substantial  
6 off-label use and orphaning of other indications  
7 for evaluation purposes.

8 [Slide.

9 There are a number of additional issues  
10 which I would like to just very briefly address.  
11 In the briefing document, there is reference to the  
12 use of co-primary endpoints. Indeed, pain function  
13 and patient global are important endpoints. There  
14 is little debate on this issue, and I believe Dr.  
15 Strand at the NIH-FDA Workshop led a breakout  
16 session on this particular issue, and there was  
17 general consensus that these are important  
18 endpoints.

19 There is indeed some precedents at least  
20 at the division in terms of for OA, in terms of  
21 having a win on three co-primaries, however, it  
22 does increase the statistical burden required for  
23 approval, and I think that for function, function  
24 the way it is viewed through self-reports, we need  
25 to be careful that we define function carefully



1 because function, the way it is viewed, say, in OA,  
2 using WOMAC as an instrument, is very different  
3 than the way function is viewed by pain physicians.

4 So, before talking about function as a  
5 self-report, perhaps that may be achievable,  
6 although I am not certain about that, in all  
7 chronic pain states. Certainly, we don't ask that  
8 in depression, we don't ask that in migraine in  
9 terms of return to work or restoration of function  
10 per se, and that it may be too unrealistic a  
11 pharmacologic expectation to put on what is really  
12 a complex disorder, and I would ask the Division  
13 and the Advisory Board to consider this.

14 DR. FIRESTEIN: Dr. Babul, could you wrap  
15 up, please.

16 DR. BABUL: I am pleased to note the  
17 Division was prepared to consider placebo versus  
18 active control, that have some assay sensitivity.

19 I would urge the Division to consider some  
20 clinometric flexibility, so that we don't have  
21 ossification of trial design methodology. Finally,  
22 I would suggest that we need some degree of  
23 harmonization to the extent we can between Division  
24 170 and Division 550 as we go forward in terms of  
25 approaches that are acceptable for opioids and for

1 non-opioid analgesics.

2 Thank you.

3 DR. FIRESTEIN: Thank you.

4 The next speaker is Dr. Kenneth Verburg,  
5 Vice President, Clinical Research, Pharmacia.

6 DR. VERBURG: Good afternoon. My name is  
7 Ken Verburg. I am here representing Pharmacia  
8 Corporation today. We appreciate the opportunity  
9 to contribute to the meeting.

10 [Slide.

11 I would like to limit my comments and my  
12 brief presentation today to just some general  
13 observations about the development of new  
14 guidelines for analgesics or drugs intended for the  
15 treatment of pain, and then focus on some  
16 observations specifically directed towards chronic  
17 pain and acute pain.

18 [Slide.

19 As we heard this morning, it makes at  
20 least some sense based on the information we have  
21 at hand to set up and use a mechanistic basis as a  
22 framework at least for the indications or the way  
23 that we think the indications should be laid out.

24 This would lead to using this particular  
25 mind-set, an easy separation if you will, of

1 nociceptive and neuropathic pain, but a subdivision  
2 of nociceptic pain into somatic and visceral pain  
3 is not quite so clear with both being acute and  
4 chronic, and substantial overlap between the two  
5 conditions.

6           Also, we could use a mechanistic basis, as  
7 we have heard this morning, about the chronicity of  
8 pain, separating out acute and chronic pain into  
9 separate indications, and not necessarily having to  
10 have or having to demonstrate acute pain a priori  
11 before getting an indication for chronic pain.

12           We could also use mechanisms to gauge pain  
13 severity. Particularly here, I think, we are most  
14 interested in the differences across models and how  
15 that might translate to effective regimens, and  
16 finally, in terms of just general overall  
17 considerations, a notion or a realization that  
18 there are different classes of analgesics that may  
19 be effective as either monotherapy or multimodal  
20 therapy under certain conditions in the particular  
21 sites of action.

22           [Slide.

23           We would also encourage that the  
24 development programs expedite therapies to meet the  
25 clear unmet medical need in this particular area,

1 that efficient programs are set up that provide the  
2 information that is needed for registration, cut  
3 down on the white space with the gray area that us,  
4 as sponsors, sometimes confront, and also that we  
5 consider conditions of clinical practice, that  
6 being preoperative administration or preemptive  
7 administration and/or postoperative administration,  
8 multimodal analgesic regimens for certain  
9 conditions, and also differences in the treatment  
10 of acute and chronic pain.

11 [Slide.

12 Some comments now about chronic pain  
13 specifically as the previous presenter outlined.  
14 These are the models that we have the most  
15 experience in, but limited in terms of their  
16 duration in 12 weeks or longer, primarily to the  
17 arthritides or osteoarthritis and rheumatoid  
18 arthritis. You find very rare cases or even  
19 nonexistent, that the other conditions have been  
20 studied beyond one or two weeks.

21 [Slide.

22 In terms of the approach to the  
23 determination of efficacy, we have used  
24 successfully the three-domain approach in both  
25 osteo and rheumatoid arthritis, which would include

1 pain intensity, a global assessment and functional  
2 or disability assessments, and are pushing forward  
3 into chronic low back pain using specific  
4 instruments for those conditions, but again using  
5 the three-domain approach.

6           As was mentioned this morning, however,  
7 this approach may not be applicable to all  
8 conditions. Our experience in cancer pain, albeit  
9 limited, we have experienced difficulty in showing  
10 functional improvement in combination with improved  
11 global or pain severity scores.

12           As I mentioned before, on the previous  
13 slide, there is a limited number of models, at  
14 least in our hands, that would appear to be  
15 suitable for the study of three months, and even  
16 those that may be approachable for this duration of  
17 time, you are always left with the dilemma of what  
18 to do with patients on extended placebo treatment,  
19 how to handle that both in the clinical trial, as  
20 well as the statistical imputation that results.

21           Also, we would like to propose that  
22 serious consideration be given to models of chronic  
23 intermittent pain, what particular endpoints might  
24 be useful, the duration of treatment, and/or the  
25 numbers of cycles that would be needed to be

1 treated.

2           Finally, we need to clearly outline as Dr.  
3 Witter mentioned this morning, the safety  
4 requirements for chronic pain in much more detail  
5 than the current guidelines now described.

6           [Slide.

7           Due to the heterogeneous nature of chronic  
8 pain conditions, as we have heard this morning, we  
9 have also proposed a tiered approach slightly  
10 different than Dr. Simon had outlined this morning,  
11 but we would also agree that a separate indication  
12 for each condition with replicate studies would  
13 seem to be of benefit.

14           An indication for chronic musculoskeletal  
15 pain, we would propose could be achieved with a  
16 single study in three chronic musculoskeletal  
17 conditions, in a sense, a replication would be  
18 achieved, as well as spreading out the  
19 observations, if you will, across a number of  
20 musculoskeletal conditions.

21           Finally, we would propose that a way  
22 forward for a general chronic pain indication would  
23 be a single study in two chronic musculoskeletal  
24 models and/or cancer pain, and a single study in  
25 two neuropathic models, again tracing back t the

1 differences in the mechanisms at least as we best  
2 have them identified now. This would seem to fit  
3 very well with that particular model and the  
4 limitations.

5 I just want to make a couple simple points  
6 here. One model does not achieve all necessary  
7 objectives, in particular, demonstrating acute  
8 onset of analgesia is difficult in some of these  
9 models due to the high placebo response and the  
10 self-limiting nature of the pain often confounds  
11 demonstration of effective regimens, particularly  
12 on the days 2 and beyond.

13 It is also very variable as to what an  
14 effective regimen might be depending on the model  
15 that is selected, so we would advocate that studies  
16 with multiple doses over a number of days be  
17 conducted in both musculoskeletal conditions, as  
18 well as post-surgical conditions.

19 Finally, one additional comment  
20 particularly related to primary dysmenorrhea. This  
21 is sort of an orphan here. It is a stand-alone  
22 indication, however, data from this particular  
23 model has been used in many cases to support an  
24 overall acute pain claim, particularly with respect  
25 to onset of action.

1 [Slide.

2 While the current guidelines provide  
3 adequate criteria in our view to evaluate  
4 single-dose analgesic efficacy, it is traditionally  
5 understood that replicate studies in dental pain  
6 and post-surgical pain are required.

7 There are well-defined efficacy measures  
8 assessing onset, extent, and the duration of  
9 analgesia. Again, it is generally understood that  
10 the time to onset of analgesia should be  
11 demonstrated to be less than one hour in replicate  
12 trials, and that the time to rescue medication from  
13 single-dose studies is used to support the dose  
14 regimen on day one and subsequent days.

15 [Slide.

16 The criteria to demonstrate multiple-dose  
17 efficacy, i.e., an effective regimen, are less well  
18 defined by the current guidelines, however, and  
19 that is where significant work I think needs to be  
20 focused.

21 Also, while we are doing this, study  
22 design and study conduct considerations are also  
23 important to bring into the mix, and that includes,  
24 as I mentioned before, the self-limiting nature of  
25 the pain in some models and also that the severity



1 of the initial pain in other models may not be  
2 controlled by monotherapy alone.

3 [Slide.

4 Just to give you a little example of the  
5 self-limiting nature of pain, this is data from a  
6 thinly disguised COX-2 specific inhibitor trial in  
7 laparoscopic cholecystectomy looking at the percent  
8 of patients with moderate to severe pain plotted on  
9 the Y axis versus the days post-surgery on the X  
10 axis.

11 Here, you can see significant treatment  
12 effects on days 2 and 3, but overall by day 4, and  
13 particularly by day 5 and beyond, you can see that  
14 the numbers of patients experiencing moderate to  
15 severe pain even in the placebo group is quite  
16 small and does not allow adequate assay sensitivity  
17 to see a drug effect.

18 [Slide.

19 Finally, just a comment about multimodal  
20 analgesia, obviously, the premise here is to obtain  
21 additional clinical benefit by controlling pain  
22 with agents from two to more classes. Ideally,  
23 these would be operating through different  
24 mechanisms or at least different sites, and the  
25 efficacy measures versus monotherapy would be

1 reduced medication requirements, improved analgesia  
2 over monotherapy, a reduction in adverse effects,  
3 and improved patients global assessments.

4 [Slide.

5 Again, just to give you a little taste of  
6 what that looks like, this is from a total knee  
7 arthroplasty study looking at morphine alone here  
8 in the white line down at the bottom, and then two  
9 doses of a COX-2 specific inhibitor in the blue  
10 line at the full therapeutic dose, and in the  
11 yellow line, at half-maximal therapeutic dose.

12 You see that there are significant  
13 improved analgesia scores in terms of reduction in  
14 pain intensity with both doses versus morphine  
15 alone.

16 [Slide.

17 One acute pain model does not fill all  
18 criteria for determination of a single dose and  
19 multiple dose efficacy, and we would propose that  
20 new guidelines specify in more detail which models  
21 are best to define onset, peak effect, and  
22 duration, specify compartmental approaches perhaps  
23 for pain studies, for example, single dose,  
24 multiple dose studies on day one and subsequent  
25 days, and then propose models best for monotherapy

1 versus combination therapy.

2 [Slide.

3 Finally, specify what acute pain models  
4 are needed to obtain a broad acute pain indication  
5 by severity and/or etiology. We have spoken about  
6 that in the context of chronic pain today, and I  
7 suspect some of the same conversation will surface  
8 tomorrow.

9 Specify how many models and whether  
10 replication is needed in each. If models are of  
11 similar etiology, we would propose that really only  
12 one model should need replication in that  
13 particular instance.

14 Finally, as was my comment with chronic  
15 pain, we need to more carefully define the safety  
16 requirements for acute pain with a new agent,  
17 either when studied alone and/or in combination  
18 with pursuit of a chronic pain indication.

19 Thank you.

20 DR. FIRESTEIN: Thank you. Could you  
21 clarify just one point, and that is, for the  
22 chronic pain indication, the alternative proposal,  
23 two studies and three models is one study and four  
24 models?

25 DR. VERBURG: Yes, I was proposing one

1 study across four different models.

2 DR. FIRESTEIN: So, there would be fewer  
3 studies for each individual indication, but an  
4 increase in the number of total indications  
5 examined for the chronic pain?

6 DR. VERBURG: Yes.

7 DR. FIRESTEIN: Thank you.

8 The next speaker is Eugene Laska, Director  
9 of Statistical Sciences Division, Nathan Kline  
10 Institute for Psychiatric Research, sponsored by  
11 Merck Research Laboratories.

12 DR. LASKA: The business of doing clinical  
13 trials in the context of randomized, double-blinded  
14 clinical trials is to develop inferences that are  
15 causal, to be able to claim that the reason we see  
16 drug differences are because the different  
17 treatments that were in the trial were causal.

18 [Slide.

19 As a consequence, any of the decisions  
20 made in terms of what must be demonstrated to get a  
21 claim has to be done within that context. We have  
22 not spent a lot of time this morning, some of the  
23 speakers before me have, on the details of clinical  
24 trial design and methodology, and I think that both  
25 the beauty and the devil are in those details.