

1 the strong point that we have to do better in being able to  
2 get a larger fraction of patients to target and that it's  
3 hard. A lot of the existing agents in a lot of patients  
4 are unsuccessful. Yet, it seemed in this trial that there  
5 was a large fraction of patients in which there wasn't even  
6 the attempt made or the additional -- in the population  
7 here in table 5 in the FDA briefing document, it looks like  
8 there are approximately 20 percent that received adjunctive  
9 treatment in weeks 9 to 14 and large fractions in the first  
10 8 weeks didn't get to maximal dosing.

11 So, that seems to be perplexing. It seems like  
12 in the majority of cases we're not taking advantage of what  
13 there already is available to us to achieve what you're  
14 saying we need to achieve. It's not a matter of they're  
15 trying it and it's not getting there.

16 DR. BLACK: I think that worked in both arms of  
17 the study. You didn't get to 90 or 100 percent control  
18 rates in the omapatrilat arm either.

19 DR. FLEMING: In both arms.

20 DR. BLACK: In either arm. And that's only 6  
21 months. Ours is a year. The trials are 4 and 5 years.  
22 So, there are several different ways to look at that.

23 I don't think that's how people practice. We  
24 have an education message for that as well, but I'm not  
25 sure we can manage even with what we do in trials or what

1 happened here to get people to practice as we would like  
2 them to do it. I think a couple of extra millimeters for  
3 each one of our steps is going to get us much further along  
4 the road. We've got a lot of education to do beyond what  
5 you see here to get people to use what we have right and to  
6 have a more powerful tool to use that right.

7           The NHANES IV data is kind of almost done.  
8 NHANES III is where the 27 percent comes from. There's  
9 been a big national campaign to improve control rates, and  
10 it's been, at best, only modestly successful. We've  
11 improved control rates in men to about 30 percent, which is  
12 about what women get, but nowhere near what we ought to.  
13 So, there's a lot to still be done. I think we need better  
14 agents. Even with the optimal circumstances of a trial or  
15 of a specialist clinic, we're still not where we want to  
16 go.

17           DR. TEMPLE: That's what I understood your  
18 argument to be. You're basically saying how can we not do  
19 better if we have a drug that works a little better than  
20 the thing it's going to substitute for. And maybe that's  
21 sufficient. I'm not trying to prejudge this, but that's  
22 not the same as saying in a population where we couldn't  
23 get control, here's what we know about substituting versus,  
24 say, adding another drug. It's a question of whether you  
25 need data on that point or have data on that point as

1 opposed to making some not necessarily unreasonable  
2 assumptions.

3 DR. LEVY: Let me just make two points. We  
4 freely concede that in patients who can readily be brought  
5 to target with the addition of a thiazide or up-titration  
6 of an existing drug or a switch to b.i.d., that this drug  
7 is not needed. The drug is being proposed for use in  
8 patients in whom the option of adding another drug or  
9 adding another drug and reaching target isn't available.

10 Now, physicians in this trial, as you pointed  
11 out, didn't invariably add adjunctive therapy when it was  
12 available to them. They did so about 40 percent of the  
13 time, and they were much more likely to do so in patients  
14 whose blood pressures were well above target on monotherapy  
15 than in those who were closer to target, just as physicians  
16 in practice would. But there's a wide variety of practice  
17 represented in the trial.

18 What we did here was just to classify the  
19 investigative sites according to the aggressiveness of the  
20 physician, how frequently did they add adjunctive therapy  
21 at a visit where a patient's blood pressure was above  
22 target? These are 20 percent of sites, the quintile, where  
23 the rate of adjunct use was highest, and these physicians I  
24 think were very diligent adding adjunctive therapy at least  
25 two-thirds of individual visits where patients remained

1 uncontrolled, up to 100 percent at some sites. And even at  
2 these sites, you see the same difference in blood pressure  
3 reduction between omapatrilat and enalapril that you see  
4 overall. You see the same difference in control rate.

5                   This speaks to Henry Black's point. In the  
6 difficult to treat patient, if the physician decides to  
7 become more aggressive, add therapy, up-titrate, they're  
8 going to do that whether the patient is on omapatrilat or  
9 enalapril, and the results will be better with omapatrilat.

10                   DR. FLEMING: But that's not in your targeted  
11 subgroup. That's all patients at the sites that had the  
12 highest adjunct use?

13                   DR. LEVY: These are roughly 2,000 patients at  
14 the 20 percent of sites where the physicians were most  
15 aggressive.

16                   DR. FLEMING: So, what were the results at  
17 those sites that had the highest adjunct use that were in  
18 your target population? What were the results?

19                   DR. LEVY: Well, we haven't done that analysis.  
20 You've seen the results were extremely consistent across  
21 this database.

22                   DR. BORER: Tom and then Steve.

23                   DR. PACKER: Jeff, if I could, I just want to  
24 address directly Bob's question. You've seen the data that  
25 Dr. Levy just showed you, but this shouldn't be too

1 surprising and I wouldn't be surprised if it were shown in  
2 the patient population that was being proposed. The  
3 concept I think is that if you have a patient who has easy-  
4 to-control blood pressure and you can get people to target,  
5 then I think it would be a very easy assumption to say that  
6 the difference between omapatrilat and an ACE inhibitor  
7 could be made up by adding a diuretic. No big deal.

8           But if patients are very far away from target,  
9 then a diligent physician, a really good physician, would  
10 then add incremental therapy or give b.i.d. or however you  
11 want to do it to both groups. The difference in blood  
12 pressure only disappears if there's a differential use of  
13 adjunctive therapy or intensive therapy. But that  
14 differential use would never occur if you're not to target.

15 You would expect a patient who is resistant to therapy to  
16 -- the physician would add adjunctive therapy or optimize  
17 dose or would do whatever you would want them to do to both  
18 groups. As long as they're far from target, the difference  
19 in favor of omapatrilat would always persist.

20           DR. TEMPLE: So, that's an argument of logic,  
21 although it's not necessarily an argument of data, although  
22 that last thing was interesting.

23           DR. PACKER: Yes. But if you think about it as  
24 the fact that in order for the difference to disappear,  
25 there has to be a differential use of adjunctive therapy,

1 which cannot -- and by the way, to a substantial degree,  
2 the calculations that Norm Stockbridge made was 50 percent  
3 difference in differential use. Regardless of what the  
4 number is, the number isn't important. As long as you're  
5 far away from target, that differential use won't happen,  
6 especially if you're requiring people already to be on  
7 multiple drugs at the beginning.

8 DR. TEMPLE: It's still a question of whether  
9 that makes sense, which I wouldn't say it doesn't, and  
10 whether there's actual data so you can see actual numbers  
11 about how much better you do.

12 DR. PACKER: I think what I'm addressing is not  
13 whether what I'm saying absolutely has to be true, but I  
14 think you were struggling with how it could be true. What  
15 I'm proposing is that's the explanation for why it would be  
16 true.

17 DR. BORER: Why don't we move on to another  
18 issue. Tom.

19 DR. FLEMING: Can I have one last comment?

20 DR. PICKERING: It is actually a related issue.  
21 Starting with the possibility that if OCTAVE had been  
22 continued longer, the difference might have diminished, my  
23 reading of the protocol was that there were only two clinic  
24 visits at which it was possible to add additional drugs,  
25 which in my opinion is not very long.

1                   Henry, you mentioned the ALLHAT trial. I don't  
2 know if we could see your number 6 slide which shows that  
3 using conventional therapy in not perhaps quite as high a  
4 risk population but certainly in an enhanced risk  
5 population, you can in fact do extremely well, going from  
6 27 percent to 69 percent control at 6 years. I guess it's  
7 a question of whether you see the glass is half full or  
8 half empty, but I would say that's a huge increment just  
9 with the use of conventional therapy.

10                   DR. BLACK: I would agree. I think we did make  
11 a lot of progress, but I think there's still a lot more  
12 progress to be made especially in what we don't have the  
13 tools for. These people are seen every 4 months.

14                   One thing too, when you begin to add third and  
15 fourth and fifth drugs, you get into less well-tolerated  
16 drugs, drugs with their own inherent problems too. It's  
17 not just adding drugs that are free of side effects when  
18 you get to that, and patients don't comply well with that.

19                   So, we begin to run out of well-tolerated options a little  
20 earlier than maybe has been implied.

21                   But we're not doing badly here, nor did we in  
22 CONVINCE, but we're not doing nearly as well for systolic  
23 as I would like to do. That's where the problem is I  
24 think.

25                   DR. BORER: Bob.

1 DR. TEMPLE: Well, Henry, if I remember ALLHAT,  
2 the additional therapy was sort of peculiar. For example,  
3 if you were in the diuretic group -- you tell me -- you  
4 couldn't use an ACE inhibitor because that was another  
5 group and that would have confounded. So, you were limited  
6 in the number of specific additional drugs you could use.

7 DR. BLACK: The trial protocol -- the  
8 artificiality of any active comparator where you can't use  
9 what you would ordinarily use --

10 DR. TEMPLE: No, I'm not blaming the trial.  
11 But it means that may not be as good as --

12 DR. BLACK: What you could do, though, if you  
13 felt you needed it, was add an agent of the blinded class  
14 at half the initial dose, drop down your drugs. So, you  
15 could do it. I don't have the drug use in ALLHAT yet as to  
16 how many people got on open-label, how many people crossed  
17 over, but it was in fact substantive. It wasn't just that  
18 you couldn't do it. People did use their own drugs.

19 DR. BORER: Steve.

20 DR. NISSEN: I feel compelled to point out for  
21 Bob and for others, which I know everybody here knows, but  
22 we don't know whether that extra 3 over 2 millimeters for  
23 this drug actually lowers events. So, this is all  
24 hypothetical, what if we did this and what if we did that.  
25 The reality is if there were a very large difference



1 between the arms, I think one could be more confident, but  
2 these are small differences. Therefore, without knowledge  
3 of the relationship for this class of drugs on the amount  
4 of blood pressure control versus morbidity and mortality,  
5 we're really speculating about what the ultimate impact is  
6 going to be.

7 DR. BORER: Are there any other questions,  
8 issues the committee wants to raise? Because if not --  
9 Tom.

10 DR. PICKERING: I'd like to return to the issue  
11 of adherence. I think we're all agreed that omapatrilat is  
12 a more effective antihypertensive than the other existing  
13 drugs. One of my concerns is what the adherence will be  
14 with this medication, given all the warnings and education  
15 about potential risks. It could be that the potential  
16 benefits in its potency might be offset by decreased  
17 compliance.

18 On that note, I think it's just worth  
19 mentioning that patients' self-report about compliance with  
20 antihypertensives is generally thought to be next to  
21 worthless. Pill counts are maybe a little better, but a  
22 lot of the pills end up in the parking lot of the hospital  
23 of people in studies. So, I think it is a real issue, and  
24 I would be concerned about this.

25 DR. BORER: We'll structure the remainder of

1 our discussion around these questions. I want to frame  
2 them before we begin. I think, although the questions are  
3 reasonably straightforward, there are some key issues about  
4 which we need to make judgments.

5           First -- and Tom just mentioned this -- is are  
6 we convinced that omapatrilat is more effective within the  
7 labeled range than other available antihypertensive agents  
8 so that one might expect that it could add something. And  
9 a subset of that is does it add to other drugs that might  
10 be given at the same time.

11           Second, is there a population that could be  
12 controlled by omapatrilat that cannot be controlled with  
13 all the other conventional therapies and approved therapies  
14 that we have?

15           Third, if there is such a population, can we  
16 define it?

17           Fourth, if there is and we can and we do give  
18 the drug and it does lower blood pressure by some amount,  
19 is there a clinical benefit associated with that, something  
20 what we really have never required anybody to show and  
21 maybe we can take on faith. But the issue still remains as  
22 Steve has said several times.

23           Finally, assuming that lowering blood pressure  
24 is equivalent to a clinical benefit, what are the risks  
25 associated with gaining this benefit in the specific group

1 that we have defined that couldn't be controlled in any  
2 other way than by adding omapatrilat? That's really the  
3 sequence of issues that we have to face and we're going to  
4 face them in different ways in these questions.

5 Bob.

6 DR. TEMPLE: If you got to that point, you  
7 would also really need to address the risk management  
8 program and whether you think that will do it. We have  
9 people here who reviewed the risk management program who  
10 could comment further than what they've already written, if  
11 that were helpful.

12 DR. BORER: Ultimately, presumably that  
13 mitigates to some extent or may mitigate to some extent the  
14 total risk, and if you believe there are FDA comments about  
15 that, then perhaps when we get to that question, we'll ask  
16 for the comments.

17 DR. TEMPLE: Well, you've seen some of them.

18 DR. BORER: Yes.

19 DR. TEMPLE: There's a fair amount of  
20 skepticism probably noted.

21 DR. THROCKMORTON: It's important for us to  
22 hear some comment around that I believe not perhaps  
23 specifics that this one thing you think works or whatever  
24 it is, but what you said, Jeff, was perhaps it reduces the  
25 risk or changes the risk somehow. We need to understand

1 whether there's sort of a belief that that is possible or  
2 is not. Again, not necessarily that you know the exact  
3 right answer but that you are optimistic about those things  
4 as possible would be something we need some help on.

5 DR. BORER: You'll hear it.

6 Why don't we then begin. What I'd like to do,  
7 I'll read the preamble here, and then as we go through  
8 these questions, I'd like to hear Tom's response first, Tom  
9 Pickering because he is a nonvoting member, and then we'll  
10 go to Steve who's our committee reviewer and then to Tom  
11 Fleming and then the rest of us.

12 The committee is asked to provide an opinion on  
13 the approvability of omapatrilat for hypertension.  
14 Omapatrilat is an inhibitor of angiotensin-converting  
15 enzyme and neutral endopeptidase. Reviews of chemistry,  
16 pharmacology, toxicology, and biopharmaceutics present no  
17 apparent barriers to approval. Omapatrilat clearly lowers  
18 blood pressure.

19 During its initial development, an increased  
20 risk of life-threatening angioedema was noted for patients  
21 taking omapatrilat compared with other antihypertensives,  
22 including ACE inhibitors.

23 To characterize this safety finding and to gain  
24 additional information on the relative antihypertensive  
25 efficacy of omapatrilat, the sponsor conducted the OCTAVE

1 trial.

2                   OCTAVE was a randomized, double-blind study in  
3 which 25,302 subjects with hypertension were randomized to  
4 once-daily enalapril or omapatrilat and followed for 24  
5 weeks. During the first 8 weeks, subjects were titrated to  
6 a maximum dose of 40 milligrams enalapril or 80 milligrams  
7 of omapatrilat as needed, after which subjects who did not  
8 achieve the blood pressure goal could have additional  
9 antihypertensive agents added through week 24. At 8 weeks,  
10 41 percent of subjects in the enalapril group and 33  
11 percent in the omapatrilat group were on the highest  
12 recommended doses. Between weeks 8 and 24, 19 to 36  
13 percent of the enalapril subjects and 13 to 26 percent of  
14 the omapatrilat subjects added antihypertensive therapies.

15       At 8 and 24 weeks, omapatrilat had a significantly greater  
16 effect to lower trough blood pressure compared with  
17 enalapril, but angioedema, including serious angioedema,  
18 was significantly more common in subjects taking  
19 omapatrilat. And we have a table outlining those data.

20                   With these results and the data from the other  
21 trials of omapatrilat, the committee is being asked to  
22 characterize the risks of omapatrilat, to identify and  
23 characterize the benefit to which this risk needs to be  
24 compared, and to discuss whether omapatrilat's benefits  
25 outweigh its risks.

1                   So, we'll begin. How should one best  
2 characterize the risk of angioedema with omapatrilat? 1.1.

3       Are the clinical features of angioedema associated with  
4 omapatrilat similar to those associated with approved ACE  
5 inhibitors? Tom.

6                   DR. PICKERING: Well, I think we've heard quite  
7 convincingly that the clinical features are generally  
8 similar, although with omapatrilat, the extent of the  
9 angioedema is more likely to be severe.

10                  DR. BORER: Is it not true that the angioedema  
11 tends to occur earlier also with omapatrilat than with the  
12 ACE inhibitor?

13                  DR. PICKERING: Yes, since most of the episodes  
14 did occur during the first day.

15                  DR. BORER: Are there any other comments about  
16 the characterization? Steve.

17                  DR. NISSEN: I want to emphasize that there's a  
18 pretty steep gradient here compared to enalapril in terms  
19 of the risk ratio for mild, moderate, and severe. So, it  
20 really looks like there's a significant shift from the more  
21 mild forms to the more severe forms. So, it's not just a  
22 quantitative measure. It's really also I think a  
23 qualitative measure which I think you were saying also,  
24 Tom, that there is disproportionately more severe  
25 angioedema with omapatrilat.

1 DR. BORER: Any other opinions?

2 (No response.)

3 DR. BORER: Then let's go to 1.2. In the  
4 original development program, about twice as many subjects  
5 were exposed to omapatrilat 20 milligrams than to 10  
6 milligrams as an initial dose, and the rate of any  
7 angioedema was about three-fold higher in subjects  
8 initially receiving 20 milligrams. OCTAVE's primary safety  
9 hypothesis was that starting omapatrilat at a low dose and  
10 titrating up would reduce the risk of angioedema of any  
11 severity to no more than twice that of enalapril. Was this  
12 hypothesis supported by the study?

13 I don't think this needs much discussion. It  
14 wasn't. Is there any dissent from that?

15 (No response.)

16 DR. BORER: No.

17 1.3. In OCTAVE, there were two cases of life-  
18 threatening angioedema among 12,000 subjects treated for  
19 about 6 months. In the original development program, there  
20 four such cases in a population about one-third as large.  
21 Estimate the risk of life-threatening angioedema to expect  
22 post-marketing and estimate the upper confidence limit for  
23 that risk.

24 I think in fairness maybe we better begin with  
25 Tom Fleming for that one, and then go back to Steve and

1 Tom.

2 DR. FLEMING: Well, let me respond to this and  
3 add a little bit of response that relates to question  
4 number 1.2.

5 The sponsor has provided us the estimate with  
6 these two cases that the upper limit of the confidence  
7 interval is 5.7 per 10,000.

8 Let me just add to this answer to question 1.2  
9 that as the question indicated, there certainly was  
10 evidence before OCTAVE that those patients at 10 would have  
11 had a lower rate of angioedema than 20. Roughly, it  
12 appears 1.1 percent as opposed to the 2.4 percent. So,  
13 hence the design of the trial to rule out that the rate  
14 could be as high as 2 percent, hoping it's around that 1.1  
15 percent, and obviously, disappointingly the rate was at 3.2  
16 percent. So, as you said, Jeff, the answer to 1.2 in that  
17 sense is no, although I'd go on and say when one looks at  
18 these life-threatening cases, the rate appears to be by  
19 estimate 10-fold higher in those in the historical  
20 experience who had received 20 as a starting dose.

21 So, the bottom line is, as I see it, the rates  
22 that had been hopefully reduced to levels below the 2  
23 certainly were not. The rate was 3, but it might have that  
24 there was systematic under-detection in the previous  
25 experience. My own read of this is that there probably is



1 a dose response, and again the best measure of that would  
2 be looking at the fact that we see approximately an  
3 estimate of 2 with an upper limit of 5.7 per 100,000 life-  
4 threatening cases in the OCTAVE trial in contrast to a  
5 10-fold higher rate than that in the previous experience at  
6 20.

7 DR. BORER: How about the 1.3? I'm sorry.

8 DR. FLEMING: I started off by saying the  
9 estimate that I accept that was given by the sponsor that  
10 the upper limit of the 95 percent confidence interval is  
11 5.7 or about 5 to 6 cases per 10,000.

12 DR. BORER: Steve.

13 DR. NISSEN: Well, I think that, of course, Tom  
14 is right statistically, but I think there are other factors  
15 that we have to think about here. Let me see if I can make  
16 this very clear.

17 I'm concerned that when the drug is  
18 administered in the community outside of a setting of a  
19 clinical trial, the rigor, the discipline of giving 10 and  
20 waiting 2 hours, and then waiting 2 weeks before up-  
21 titrating, that we may lose some of that discipline in  
22 administration. So, I would tend to raise that estimate  
23 somewhat because I'm not convinced that in general use you  
24 achieve the discipline that you do in a clinical trial.  
25 So, I think I've got to make some upward revision of that

1 estimate based upon the fact that in clinical use  
2 recognition may be a little bit less because people may be  
3 further from tertiary care centers, and therefore the risk  
4 of a life-threatening event, which is what you're asking  
5 us, Bob and Doug, I think could be a lot higher because I  
6 don't believe that this plan can be as tight as it was in  
7 the clinical trial.

8 DR. BORER: Blase.

9 DR. CARABELLO: I see the potential actually  
10 for the opposite of that, that in post-marketing that we  
11 don't release this to general use. I agree with Steve. I  
12 think that's looking for trouble. The risk of an  
13 angioplasty is not predicated so much upon the equipment or  
14 the atherosclerotic lesion, but rather the judgment of the  
15 angioplaster. If you have an experienced person with a lot  
16 of judgment, his or her complications are usually less than  
17 someone who doesn't do it very well. I think if you limit  
18 the use of this to people who have, because of the kind of  
19 practice they're engaged in, extraordinary judgment, the  
20 complication rate actually could be less.

21 DR. BORER: Yes. The problem is making that  
22 limitation. But let me ask a question and you can comment  
23 on that and whatever else, Doug. Dofetalide. In order to  
24 use dofetalide, it's necessary to go through an educational  
25 program and then be approved for use. So, I guess the

1 precedent exists. I assume we could do that.

2 DR. THROCKMORTON: Yes. That's probably not a  
3 precedent, unfortunately, that we've had a broad amount of  
4 success with. We understand there has been movement  
5 towards other pharmaceuticals perhaps in some areas because  
6 people have been reluctant to use that.

7 DR. TEMPLE: But that is because there's an  
8 alternative. You can get sotalol without it,  
9 unfortunately, for the same use.

10 DR. THROCKMORTON: Right.

11 DR. TEMPLE: But here that might not be true.

12 DR. CARABELLO: But going back to amiodarone or  
13 adriamycin, we have plenty of drugs that, even if the  
14 agency doesn't restrict them, people restrict their own use  
15 because they're scared as hell to use the drugs. A  
16 generalist is not going to prescribe adriamycin to the next  
17 person who walks into his clinic.

18 DR. THROCKMORTON: Right, and we of course  
19 don't restrict that at all.

20 And there is precedent for restricted  
21 distribution, which is I gather what you're sort of talking  
22 about. Normally it's drugs that have extraordinary  
23 toxicities that the Office of Drug Safety people are  
24 convinced can be managed using those kinds of restricted  
25 distributions. But it's very hard. And for an

1 antihypertensive where we have several dozens of  
2 alternatives, it seems like you'd need to be able to make a  
3 clear case for doing that. That would be a hard thing,  
4 maybe not an impossible thing, but not straightforward.

5 DR. NISSEN: Just a comment, though. The  
6 analogy here of, say, the angioplasty population, Blase, is  
7 not in my view a good one, and I'll tell you why. Somebody  
8 doing an angioplasty looks at the lesion and all kinds of  
9 characteristics and can kind of profile the patient. The  
10 problem is other than skin color, I can't look at a patient  
11 and know who's going to have it and who's not. So, I could  
12 be the world's greatest expert in hypertension, but I'm not  
13 sure that I can pick the patient out who's going to have  
14 this side effect. So, it's not quite the same as deciding  
15 who you're going to put a stint into.

16 DR. CARABELLO: Yes, but you can, as an expert  
17 in hypertension, pick out the group of patients for whom  
18 the benefit is the most, at least alter the risk-benefit  
19 ratio that way and say, okay, yes, you may get angioedema,  
20 but in your case my judgment is you're the patient who's  
21 likely to benefit from this drug. People like Dr. Black  
22 and others might well want to have this drug in their  
23 armamentarium when the other stuff doesn't work.

24 DR. TEMPLE: We're developing numerous models  
25 for risk management. You're familiar with one of them,

1 bosentan, Tracleer, where there's a central distribution  
2 system, and it is shipped directly to the patient from a  
3 single place, which allows you to assure that people get  
4 the information which could include a video or a lot of  
5 different things. That's relatively extreme, manageable  
6 with a relatively small population, somewhat intrusive, but  
7 in that case we thought it was worth it. And there is a  
8 wide range of others. Anne or Julie could probably tell  
9 you some of the details.

10           One that might be considered highly relevant  
11 would be what we did with Lotrinex, which has risks of  
12 somewhat the same order of magnitude and severity, but we  
13 thought it was appropriate for a well-described population  
14 of people who were made miserable by their irritable bowel  
15 syndrome. That's no so different from people who are put  
16 at very high risk because their blood pressure is  
17 uncontrollable. So, perhaps a description of that.

18           But in fact, we'd like to hear what you  
19 suggest. Obviously, shipping directly from a central  
20 pharmacy is burdensome in one sense but worth it if the  
21 risk is bad enough and if you really want to be sure all of  
22 the people involved get educated. As an example, if you  
23 wanted to be absolutely sure that patients knew what  
24 angioedema was like, well, the only way to make really sure  
25 is to tell them, enable them to ask questions, show some

1 pictures of it, or something like that. So, I'm skeptical  
2 of whether your local pharmacist will be able to do this in  
3 a reliable way, but there might be other people who could  
4 do that.

5                   So, there's a very wide range of possibilities.  
6 We're happy to hear any suggestions you have, and if that  
7 was considered sufficient to make this available, we would  
8 work with our own staff and them to figure out what that  
9 is. They've ranged from giving good advice, having a  
10 patient insert, putting a black boxed warning, all the way  
11 to specialized distribution systems.

12                   DR. BORER: Well, that's exactly the point  
13 we're up to here, 1.4. I'm sorry. Tom.

14                   DR. FLEMING: I was just going to briefly say,  
15 as we're leaving 1.3, I accept Steve's comments as amending  
16 my response. My response is based on what this trial  
17 shows, and I understand clearly your concern and endorse  
18 that concern that this, in fact, in clinical practice could  
19 be worse. Blase makes the relevant point that we may well  
20 have a differential benefit-to-risk, but other than blacks  
21 and smokers we don't know how to prevent this. So, I  
22 accept your point, Steve.

23                   DR. BORER: 1.4 requests some opinion about the  
24 risk management plan. The sponsor has proposed a risk  
25 management plan focusing on patient education by

1 pharmacists. To what extent can a risk management program  
2 based on patient education be expected to reduce the risk  
3 of death from angioedema?

4           Why don't we begin this time with Steve, and  
5 then we'll go to Tom.

6           DR. NISSEN: Well, I think it's a start and I  
7 think it certainly does help. The question is how large a  
8 magnitude of reduction in risk will we get. It's not going  
9 to prevent angioedema. What it might do is get people to  
10 seek treatment earlier, and I'm convinced by the arguments  
11 from Dr. Kaplan that that could make a difference.

12           I actually think to really reduce risk, a much  
13 more comprehensive program of risk management will be  
14 required. I was a little surprised there wasn't much more  
15 here for physician education because, in fact, I must tell  
16 you that I didn't understand the subtleties of differences  
17 in what drugs work and what drugs don't work and so on.  
18 So, in my view we would have to get information to most  
19 emergency department physicians. We'd have to make sure  
20 they understood about omapatrilat, they knew what to do,  
21 how to do it, and so on. I didn't see that in here. So, I  
22 think the effect here of this is likely to be modest rather  
23 than more than that.

24           DR. BORER: Tom.

25           DR. PICKERING: Yes, I generally agree. I

1 think it's a great idea, but my impression is that brief  
2 pharmacy education programs are not in general terribly  
3 effective. Again, I guess I would be concerned about what  
4 happens in a sort of busy pharmacy in Harlem where there's  
5 a long line of patients waiting for their prescriptions,  
6 how much time there would actually be to do this. I think  
7 it's an untested possibility.

8 DR. BORER: Mike, do you have any thoughts  
9 about the education program, the risk management program?

10 DR. ARTMAN: Yes, I agree with what Tom just  
11 said. I think, in a practical sense, it's impossible to  
12 say that this is going to be useful or not. It's  
13 interesting talking about where the trials were done. I  
14 assume if this is going to be used overseas, we heard from  
15 Dr. Black how he had an experience in a remote emergency  
16 room in Connecticut and everything came out of fine, which  
17 he said was like being in Russia. But I wonder what it's  
18 really like in Russia. So, I have little confidence that  
19 this risk management plan is going to do anything.

20 Now, that said, the sponsor also sort of made  
21 the case that it really doesn't matter because these are  
22 obvious signs and symptoms. The patients recognize them.  
23 They come on slowly. So, it's six of one, half a dozen of  
24 the other. I don't know which side of the fence they're  
25 sitting on.



1 DR. WACLAWSKI: Dr. Borer?

2 DR. BORER: One second. Once we start this  
3 part of the session, we don't really want input unless we  
4 ask for it.

5 Susanna.

6 DR. CUNNINGHAM: Is there any evidence that  
7 actually a pharmacist-based program can do risk reduction?  
8 It's a very theoretical thing and I think, really, we've  
9 already heard how it probably is quite impractical. So, I  
10 would be interested if somebody has evidence that it would  
11 work.

12 DR. BORER: Is there any experience that we can  
13 refer to?

14 DR. TEMPLE: Julie or Anne may want to comment,  
15 but I'm sure they'll tell you the answer is probably not.  
16 But come on. Don't let me speak for you.

17 DR. TRONTELLE: I'm Anne Trontelle from the  
18 Division of Surveillance Research and Communication Support  
19 in the CDER Office of Drug Safety.

20 We do have some evidence. It's mostly known at  
21 this point to be published by Duke Center for Education and  
22 Research in Therapeutics on the dofetalide program which  
23 involves not only education of pharmacists, but also of  
24 practitioners and specially staged introduction of that  
25 drug. I think there's some suggestion of improved efficacy

1 over comparable drugs, but that's again a highly  
2 specialized program and one that has probably resulted in  
3 substantial voluntary restriction on use of the product  
4 perhaps because that program has been perceived to be  
5 burdensome. We really are lacking data from any of the  
6 other programs at this point.

7 DR. TEMPLE: But a crucial distinction. You  
8 start dofetamide in the hospital or some ambulatory  
9 equivalent.

10 DR. TRONTELLE: That's correct.

11 DR. TEMPLE: So, it's not your local CVS  
12 pharmacist who's responsible for this. It's the hospital  
13 pharmacist. Well, no. That was no offense. It's not your  
14 local pharmacist. It's a highly specialized group of  
15 people and there's a lot of exchange of information. But  
16 do we believe that a complicated information system will be  
17 handled by local pharmacies? I'm not aware of any  
18 information, and as a profound user of many drugs, I think  
19 that's very unlikely.

20 (Laughter.)

21 DR. TRONTELLE: I think it's hoped for but we  
22 don't have data at this point.

23 DR. TEMPLE: Actually we know that patient  
24 package inserts are not well handed out by local  
25 pharmacies. That's a not a very hard thing to do. But we

1 even know that. That seems unlikely.

2 DR. ARMSTRONG: Maybe I'll speak to that point  
3 and also give an opinion on this issue on the table.

4 There is data in western Canada on pharmacists  
5 and cholesterol lowering and adherence to medication which  
6 engages pharmacists and actually demonstrates enhanced  
7 adherence to the guidelines. So, there is some data.

8 On the point about the education program on the  
9 table, notwithstanding the great intentions and efforts of  
10 the sponsor, my worry would be that the infrequency of the  
11 event, even with the best efforts of educating the  
12 physicians and the patients would lead to desensitization,  
13 and I use that term advisedly in relationship to  
14 recognition of the event as time elapses.

15 DR. NISSEN: Would the pharmacist be reimbursed  
16 for this activity, or would this be sort of gratis?

17 DR. THROCKMORTON: Yes. It's important to note  
18 and we may want to ask the sponsor to comment on it. The  
19 program they've proposed, the outline of the program --  
20 again, remember, the specifics would be worked out were you  
21 to give us some level of comfort that it was possible. The  
22 program that they've outlined involves a central pharmacist  
23 who would interact with the patients initially and I  
24 wouldn't want to guess how that person would make their  
25 living. The sponsor might want to comment a little. Is

1 that enough, Elliott, that there's a central pharmacist and  
2 then a follow-up with the dispensing pharmacist?

3 DR. LEVY: Well, there's no intention to make  
4 the local pharmacist the focus for patient education. We  
5 would use pharmacists in a program that would require that  
6 every patient receive counseling before they went to the  
7 local pharmacy and got the drug. That, of course, is in  
8 addition to physician counseling and to a host of messages  
9 that are provided them through the packaging and  
10 educational materials.

11 DR. CARABELLO: I personally don't think we'll  
12 have too much success in mitigating risk, although patient  
13 education to let them know what angioedema is would clearly  
14 be valuable. Going back to my original plan, I think our  
15 best bet is trying to improve the risk-benefit ratio by  
16 focusing on the prescriber so that whatever the risk is  
17 it's only matched by the higher benefit by prescribing this  
18 to some specific patients.

19 Finally, I'd like to distance myself from any  
20 group that would compare both South Dakota and Connecticut  
21 to Russia. I think that's a very dangerous thing to do.

22 DR. BORER: Because you have asked for a lot of  
23 opinions about this, I want to say a couple of things here  
24 in addition to what's already been said.

25 My perception of the risk has been modified in

1 an important way by Dr. Kaplan's comments and the fact that  
2 there are perhaps several hours off usually before major  
3 sequelae of angioedema might be expected to occur. On the  
4 other hand, that means you have to be within a couple of  
5 hours of help and you have to be aware that you need help.

6 I share the concern that has been raised by a  
7 couple of people on the committee that a patient education  
8 program just isn't going to cut it, or by itself it won't.

9 It's an important component if one could do this at all,  
10 but the physician education is crucial. I don't know how  
11 one could achieve adequate physician education without  
12 limited distribution, which is a tall order, as we've  
13 heard. So, I have real concern that a practical program  
14 could be developed.

15 But I do not believe that a risk management  
16 plan focusing on the patients and the pharmacists would be  
17 sufficient to deal with the risk if we perceived the risk  
18 to be an important risk relative to the benefit and if --  
19 on and on and on. Again, I think we still have to discuss  
20 what the risk is in view of the information we received  
21 today, but I don't think this kind of a plan would do it.

22 Was there another comment?

23 DR. THROCKMORTON: Susanna, do you have a  
24 comment at all?

25 DR. CUNNINGHAM: No.

1 DR. BORER: Tom, I'm sorry. I didn't ask you  
2 specifically for a comment about this.

3 The sponsor has shown the results of  
4 OVERTURE --

5 DR. TEMPLE: Jeffrey, before we leave that,  
6 could we hear other people's views of that last point? I  
7 mean, that's not unimportant, after all. You are skeptical  
8 that anything but a limited distribution system which  
9 allows you to interact clearly with the physician -- I  
10 assume that's the point --

11 DR. BORER: That's right.

12 DR. TEMPLE: -- is likely to do anything. Is  
13 that everybody's view? That's potentially very critical  
14 depending on your answer to the rest of it.

15 DR. BORER: Well, let's hear.

16 DR. NISSEN: I also concur.

17 DR. CARABELLO: I think I made my own point  
18 clear.

19 DR. CUNNINGHAM: I agree.

20 DR. ARTMAN: Well, I agree but yet even that --  
21 there are two issues. One is controlling the use of the  
22 drug and restricting its use, and the other is managing  
23 this adverse event and that we can't really predict who's  
24 going to get that. There are some that are at little bit  
25 higher risk than others, but anybody who's on this drug for

1 any given time at any dosage may develop significant  
2 angioedema. So, yes, I agree with that that you can maybe  
3 minimize it by minimizing the number of people you expose,  
4 but I think it's a whole different issue to say that that's  
5 going to reduce the risk. I don't think it will.

6 DR. TEMPLE: The theory I think -- Blase has  
7 said this several times -- is that you make the risk  
8 acceptable because the benefit is particularly good.  
9 That's certainly the theory of Lotrinex. If you only give  
10 it to people who are willing to accept and understand all  
11 the various risks, then the benefits might outweigh the  
12 risk for that portion of the population, whereas if you  
13 just gave it to everybody with IBS, you wouldn't feel that.

14 DR. ARTMAN: Well, let's extend that logic that  
15 Blase used to amiodarone and angioplasty. There are  
16 knuckleheads out there using amiodarone and angioplasty.  
17 And some of these things started out being sort of  
18 restricted. So, once the cat is out of the bag, all bets  
19 are off.

20 DR. NISSEN: There's one other part of the  
21 equation again I think that's very important to understand,  
22 and that is that the suggestion here is that we can  
23 optimize risk-to-benefit ratio by selecting patients that  
24 are most likely to benefit. Tom Fleming tried to drill  
25 down very hard to find that group that's most likely to

1 benefit and to see whether or not there's very good  
2 evidence here that lets us identify such a group and then  
3 to estimate the magnitude of benefit.

4           The problem I have is I'm not quite sure how to  
5 drill down to that group that's most likely to benefit, and  
6 I'm certainly not sure how to estimate the magnitude of  
7 benefit of a 3 over 2 millimeter blood pressure difference  
8 in a new class of drugs. So, this is all predicated on the  
9 assumption that we know that and therefore, by picking  
10 these high risk individuals, we can somehow optimize  
11 overall benefit, and I just don't think the data gives us  
12 that information.

13           DR. BORER: Let's go on to question 2. The  
14 sponsor has shown the results of OVERTURE, a comparison of  
15 omapatrilat and enalapril in the treatment of chronic heart  
16 failure. If the results of this study are as presented,  
17 how relevant are these data to the approval of omapatrilat  
18 for hypertension?

19           Tom and then Steve.

20           DR. PICKERING: Well, I certainly think the  
21 results are relevant because I guess we were hoping there  
22 was some evidence of additional benefit on outcomes from  
23 omapatrilat above those of enalapril. But my own  
24 interpretation is that the study was negative. In terms of  
25 the blood pressure reduction, there doesn't seem to be any



1 convincing evidence that there was a difference using the  
2 b.i.d. dose. On the other hand, I guess you could argue  
3 that the doses weren't necessarily the maximal that are  
4 used for blood pressure control, and it wasn't designed as  
5 a blood pressure study. So, the bottom line is I don't  
6 think it adds a whole lot of support for the case.

7 DR. BORER: Go on to 2.2. How reassuring are  
8 these data with respect to the use of omapatrilat in a  
9 hypertensive population? I think you've just answered  
10 that, but do you want to elaborate a little bit? I think  
11 you've answered it.

12 DR. PICKERING: Yes.

13 DR. BORER: Steve.

14 DR. NISSEN: I guess I think the OVERTURE data  
15 are minimally relevant. I'm glad we have them, and I agree  
16 with Milt Packer's suggestion that it does tend to make us  
17 believe that there's not being harm done by this drug with  
18 respect to that particular group of patients. But because  
19 it is a different group of patients, it's hard to interpret  
20 it.

21 I think I'm convinced that probably there was a  
22 blood pressure difference in OVERTURE, particularly given  
23 the greater incidence of hypotension. But I think the  
24 amount of weight I would put on OVERTURE in our decision is  
25 very, very small, and I wouldn't urge us to consider it

1 very much in our overall decision making.

2 DR. TEMPLE: Let me ask a specific question.  
3 You've raised the issue several times now that you don't  
4 know what a new drug that hasn't got outcome data does.  
5 You don't know whether the expected benefit of a 3  
6 millimeter of mercury systolic pressure difference -- okay.

7 Well, there are a number of possible reasons  
8 for that, but one of them is maybe it's like a high-dose  
9 diuretic and it kills you in some way.

10 One might have argued -- I'm not trying to put  
11 words, but we need to understand this because, without  
12 understanding, we might go off and say the wrong thing.  
13 One might think that OVERTURE is somewhat reassuring on  
14 that point. You have a fragile population susceptible to  
15 getting MI, sudden death, all those things, and that didn't  
16 happen to them. So, why doesn't that, to some extent,  
17 contribute to your answer on that?

18 DR. BORER: Let me respond to that. It does  
19 for me. I don't think that the OVERTURE data are the  
20 panacea to respond to our concerns, but it's hard not to  
21 begin to develop the belief that there isn't a smoking gun  
22 in terms of other cardiovascular toxicity out there. When  
23 you look at the OVERTURE data, as Tom said, it's not an  
24 antihypertensive study and the doses were half the maximal  
25 doses that were given in OCTAVE and half the maximal doses

1 that would be used in clinical practice, though they were  
2 relevant for a heart failure study. But remember, of the  
3 people with heart failure, more than half had coronary  
4 disease as the basis, and we didn't see a jump in  
5 myocardial infarction, sudden death, and whatever.

6           So, I think these are useful data. They're not  
7 dispositive, but I think they're useful data in making us  
8 focus in on the safety concern that we have to balance  
9 against the benefits, specifically the angioedema. It  
10 wipes away some of the potential peripheral noise.

11           DR. NISSEN: Bob, I wanted to also respond  
12 directly. Let me see if I can be clear about this.

13           I agree with Jeffrey and I agree with Milton  
14 that it does, in fact, help us in the belief that there is  
15 no harm being done by the drug. But I was speaking to the  
16 question of what inference can we place on that 3 over 2  
17 millimeter blood pressure decrease upon the likelihood that  
18 that will produce an incremental benefit on events, and I  
19 can't tease that out of OVERTURE. I still don't know yet  
20 whether the better blood pressure reduction that we get  
21 with omapatrilat will, in fact, ultimately translate into a  
22 reduction of events.

23           DR. TEMPLE: You obviously have no direct  
24 information on that. And Henry may be invited to talk to  
25 this too. The people who do meta-analyses and look at

1 various drugs generally reached the conclusion that, if you  
2 like, it's the blood pressure, stupid, and that a fallen  
3 blood pressure, unless it's balanced by something toxic or  
4 some failure to treat a component, maybe like doxazocin,  
5 will have the expected effect. That's not proof, but I'm  
6 curious to know where your skepticism comes from. Do you  
7 doubt that or where does it come from?

8 DR. NISSEN: I do doubt it, and I doubt it  
9 because of some studies. I doubt it because LIFE is a  
10 study where similar blood pressure reductions produced  
11 different effects on events. Looking at all the different  
12 events, I'm pretty well convinced, for example, that the  
13 same amount of blood pressure lowering with a calcium  
14 channel blocker may lower stroke to a greater extent than,  
15 say, ACE inhibitors, whereas lowering with ACE inhibitors  
16 may do a better job of preventing heart failure. I think  
17 there are lots of clinical trial data that suggest it is  
18 not just the blood pressure, stupid.

19 I think that given that fact and given the fact  
20 that we've got an entirely new drug in a new class, I  
21 cannot estimate -- and OVERTURE doesn't allow me to  
22 estimate -- the magnitude of benefit of a 3 over 2 blood  
23 pressure increase on the long-term morbidity and mortality  
24 in this population. It was even a different population  
25 from OVERTURE.

1 DR. BORER: Are there any other comments from  
2 the committee about OVERTURE? Susanna. I'm sorry. Tom.

3 DR. FLEMING: I find OVERTURE very relevant to  
4 the setting in which it was conducted. In this chronic  
5 heart failure setting, we see a 6 percent reduction in  
6 these primary endpoints, and in my sense, a nonsignificant  
7 modest difference here is not an adequate difference when  
8 you're looking at angioedema risks as part of the overall  
9 spectrum of side effects.

10 To get to the question, though, how relevant is  
11 this to the antihypertensive setting? As I understand it,  
12 in essence the way it's supposed to be relevant is we --  
13 and I'm going to oversimplify the world probably, but  
14 omapatrilat, let's say, is an inhibitor of ACE and NEP, and  
15 let's say that it's the inhibition of NEP that's the causal  
16 mechanism by which we're achieving a higher level of blood  
17 pressure control. So, what I really want to do is be able  
18 to be reassured that the only effect that that inhibition  
19 of NEP has is mediated through this blood pressure control.  
20 That's essentially, I assume, the reassurance I'm supposed  
21 to get out of this study. And yet, I don't see the  
22 magnitude of blood pressure difference in this setting that  
23 I have in the antihypertensive setting.

24 I struggle to see the logic behind how I'm  
25 going to be able to then use this as a way to conclude that

1 in the antihypertensive setting, that these data provide me  
2 compelling evidence or reliable or even useful insights  
3 that I'm not going to have unintended effects on clinical  
4 endpoints which, in fact, wouldn't have to occur in the  
5 magnitude that they would occur in a chronic heart failure  
6 setting to be important on relative risk. Events are much  
7 more rare in an antihypertensive setting. So, if something  
8 doesn't show up in a setting where events are frequent, it  
9 doesn't mean that there isn't a signal there that's being  
10 lost in the background of a lot of other naturally  
11 occurring events that in the antihypertensive setting would  
12 be important. So, I don't see how this is informative for  
13 our setting.

14 DR. BORER: May I just ask you to elaborate a  
15 little further, Tom? I wonder whether the data from  
16 OVERTURE have to be understood in context of the data from  
17 OCTAVE about cardiovascular events. There was no  
18 significant reduction in cardiovascular events, but they  
19 went in the right direction and we didn't see a problem  
20 with excessive cardiovascular events in this very high risk  
21 population of OVERTURE. Taken together, do they or do they  
22 not give you some confidence that we don't have to be  
23 overly concerned?

24 DR. FLEMING: There's not nearly enough known  
25 to draw that conclusion. One could argue -- and maybe it's

1 entirely wrong -- that what small trends that are there  
2 are, in fact, due to the intended mechanism that is the  
3 added blood pressure control.

4                   What I want to be reassured about is the point  
5 Steve has articulated on several occasions, and that is if  
6 we now have a new agent that not only is an inhibitor of  
7 ACE but NEP and it may be through that mechanism that we  
8 get this additional 3 millimeter blood pressure control,  
9 that I can now conclude I'm going to achieve the full  
10 benefit in reduction of clinical events, and there won't be  
11 any other unintended effects on cardiovascular events. I  
12 can't glean that from the OVERTURE data.

13                   DR. TEMPLE: But, Jeff, this is really  
14 critical. If the committee as a whole doesn't believe that  
15 lowering the blood pressure more, even if that were well  
16 documented, is of any value, then we can stop now.

17                   DR. BORER: But I'm not sure we're at that  
18 point.

19                   DR. FLEMING: We're not at that point.

20                   DR. BORER: We'll answer that question.  
21 Blase.

22                   DR. CARABELLO: Just to make the point that in  
23 OVERTURE, I think it is somewhat reassuring that in what is  
24 surely a very sick group of patients, we didn't see  
25 increased cardiovascular events.

1                   But the other point I was going to make is that  
2 in some respects this is comparing apples with freight  
3 trains. Remember, cardiologists only have to remember two  
4 things at once. In this case it's total peripheral  
5 resistance and cardiac output. And the two things  
6 supporting blood pressure and heart failure are so vastly  
7 different with every system known to man revved up and  
8 screaming at one another. Whatever the difference between  
9 that is and in essential hypertension I don't know, but I  
10 suspect that we're talking about two very different  
11 pathophysiologic settings.

12                   DR. BORER: Paul.

13                   DR. ARMSTRONG: Just to respond to Bob's point,  
14 I'm not sure that the prevention of the degradation of AMP,  
15 adrenomedullin, and bradykinin long term aren't harmful.  
16 So, I'm not prepared to accept blood pressure lowering with  
17 this agent as a likely mechanism for the prevention of  
18 long-term cardiovascular morbidity and mortality.

19                   DR. BORER: Bob, has pointed out that we do  
20 have to deal with this issue as to whether we accept blood  
21 pressure lowering as a surrogate. I think that what's  
22 developing from this discussion may not be that everybody  
23 wants to tell the FDA to junk the surrogate -- or maybe  
24 they do and we'll ask specifically -- but that when the  
25 surrogate is achieved by the use of a new agent that acts



1 by a different mechanism, are the risks associated with the  
2 use of that new agent sufficiently modest so that even  
3 though there may be mechanism-specific differences in the  
4 magnitude of benefit from a given degree of blood pressure  
5 lowering, we can assume that the blood pressure lowering  
6 causes a benefit sufficient to overcome those risks that we  
7 don't understand so well. That's sort of a complicated  
8 statement, but I think you get the idea.

9 DR. TEMPLE: But it is fundamentally  
10 untestable. You can't use this in a 10,000-patient study  
11 because it wouldn't be even ethical to even give those  
12 people the drug.

13 DR. BORER: Right.

14 DR. TEMPLE: Just remember.

15 DR. BORER: Just to answer your question, is  
16 there anyone here at the table who wants to tell the FDA  
17 today that we just cannot accept the blood pressure  
18 lowering as a surrogate anymore?

19 DR. THROCKMORTON: In this case, you must say  
20 -- since yesterday you said you could do that for drugs  
21 within the same class. Specifically you must now be saying  
22 comparing drugs not within the same class.

23 DR. FLEMING: Jeff, we don't really want to  
24 make a blanket statement. Right? I mean, I think as has  
25 been articulated by many people, it depends on the

1 circumstance. There are an awful lot of surrogates that I  
2 wouldn't put much stock in at all. This one is one that  
3 stands out among the few that really has some considerable  
4 credibility, and yet you don't blanketly apply it. And  
5 there are certain settings, for example, within drugs  
6 within the same class where there are no detected concerns  
7 in safety where you're going to be more confident in  
8 relying upon it than in other settings where you have  
9 different drugs in different classes or, in particular, as  
10 is in this case -- I'm not saying you wouldn't give it some  
11 credence, but there is a higher bar that you have to hit  
12 when you have to overcome an important significant side  
13 effect.

14 DR. BORER: I think that that statement  
15 probably stands for the committee here. The committee  
16 isn't suggesting that the surrogate has to be scrapped. We  
17 don't have the database to be able to suggest such a thing.  
18 But with this particular agent, there is information that  
19 indicates a risk that's higher than we might have expected  
20 for some new antihypertensive drug, and now we have to  
21 explore whether the risk or other risks that we haven't  
22 quite fully fathomed yet outweigh the putative benefits of  
23 the blood pressure lowering. And that's what we're sort of  
24 grappling with here.

25 DR. TEMPLE: I think I'm hearing that you think

1 under these circumstances, it's sufficiently uncertain as  
2 to whether there is a benefit, that there cannot be  
3 anything that outweighs the well-known risk. The risk is  
4 documented. That can't go away. You can manage it, but  
5 you can't make it go away. But in this case, you can't  
6 know with enough certainty that there's a benefit of a 3  
7 millimeter of mercury difference, so that there is really  
8 no presumed benefit from that outcome.

9 DR. BORER: I don't think that's the consensus  
10 here yet.

11 DR. TEMPLE: I'm being provocative. I want to  
12 hear what you do think.

13 DR. NISSEN: I know you are and I really want  
14 to try to directly answer that a little bit.

15 The reason that I think Tom Fleming said that  
16 it depends is because it depends on the magnitude of the  
17 difference in blood pressure and the magnitude of the risk.

18 So, if you give me a drug that has no defined risks above  
19 that of comparators and has a fairly robust and substantial  
20 blood pressure advantage over a 24-hour period of time,  
21 we're going to probably be just fine. And we did that  
22 yesterday. We took a few hundred patient trials, a couple  
23 of trials, and we said a drug in the same class with no  
24 special risks that has a couple of millimeters better blood  
25 pressure reduction is superior.

1                   So, if this drug could produce an 8 or 10  
2 millimeter increase, very, very robust differences, that  
3 would shift the equation a little bit. And if the risks  
4 here were a bit smaller, if it were only a twofold increase  
5 in the risk of angioedema, not a threefold, and there  
6 weren't these racial issues.

7                   And so, the reason it's context that makes a  
8 difference here is we have to as clinicians and you have to  
9 as an agency balance the magnitude of the benefit with the  
10 magnitude of the risk. What I think we're saying is that  
11 for a 3 millimeter over 2 millimeter blood pressure  
12 difference, we know that there are interclass differences  
13 in effect on events, and those could potentially overwhelm  
14 that 3 over 2 benefit, particularly in the context where  
15 safety is a problem.

16                   DR. TEMPLE: I just want to ask one other  
17 thing. To my best knowledge, no placebo-controlled trial  
18 of any class of drugs, which includes calcium channel  
19 blockers, reserpine, hydralazine, even high-dose diuretics  
20 which are lethal, has failed to show a favorable effect on  
21 stroke in other matters. That doesn't mean there can't be  
22 differences between the classes. There can.

23                   But I would have said the general observation  
24 of lowering blood pressure, barring some bizarre thing like  
25 causing arrhythmias, is always good for you was fairly well

1 established. You're absolutely right. That doesn't mean  
2 there can't be interclass differences. But you don't think  
3 that's necessarily good enough because you can't really  
4 quantify it.

5 DR. NISSEN: Well, it's true, true, and  
6 unrelated. I mean, the fact that drug X is better than  
7 placebo, because it lowers blood pressure, isn't the same  
8 as saying that drug X is better than drug Y because it  
9 lowers blood pressure by a little bit more. I think that's  
10 the problem. The problem is we have shown interclass  
11 differences. So, it's true that every drug that lowers  
12 blood pressure has been better than placebo. I think  
13 that's right.

14 DR. TEMPLE: So, across class, even something  
15 that was a little bit better at lowering blood pressure  
16 might not be better because of other factors.

17 DR. NISSEN: And that's what ALLHAT is testing  
18 in an enormous population. If ALLHAT is a wash, then okay.  
19 But I would make you a prediction that different endpoints  
20 in ALLHAT may go in different directions based upon which  
21 agent you use independent of blood pressure.

22 DR. BORER: Today, however, we have to make our  
23 judgment based on what we know. Of course, ALLHAT isn't  
24 available yet.

25 And I want to hear Tom's comment about this,

1 but I think to put it in a slightly different context, I'm  
2 willing to accept that 3 over 2 is good. If omapatrilat is  
3 what it takes to get there, that's a good thing. The issue  
4 is do the benefits outweigh the putative risks. At the end  
5 of the day, we're going to have to come to a qualitative  
6 judgment of that because there is absolutely no way we can  
7 quantify these things. And we're going to get there, I  
8 promise. I don't know what that judgment will be, but  
9 that's what we're going to have to do and that's what we're  
10 sort of moving towards.

11 Tom.

12 DR. PICKERING: Yes. I'm one of those who says  
13 that a 3 over 2 reduction in blood pressure is extremely  
14 important clinically for the reduction of risk.

15 I'd like to sort of clarify what we're talking  
16 about in terms of risk here. Maybe angioedema is one  
17 that's clearly defined, but I think I'm sort of hearing  
18 insinuations that there may be other risks that we really  
19 don't know about, and if so, I think that's unfair to the  
20 sponsors. What we should be judging is the blood pressure  
21 and the known risks at this stage.

22 DR. BORER: Yes, I agree with that statement to  
23 the extent that we have looked at the adverse event profile  
24 for this 25,000-patient study plus the OVERTURE data for a  
25 different population. That's true. If anything, it would

1 tend to reassure one that bad things aren't happening. I  
2 think Tom's point, which is absolutely right, is it also  
3 doesn't tell you you're clearly benefiting in terms of  
4 event reduction from the blood pressure lowering. On the  
5 other hand, that's not what the trial was designed to do,  
6 but it does make a pretty reasonable case that there's not  
7 a smoking gun out there that some horrible thing is going  
8 to happen besides the angioedema, the relative importance  
9 of which we're going to have to judge at the end of the  
10 day.

11                   Why don't we try. Unless anybody has anything  
12 else to say about 2, we'll move on to 3, which is fairly  
13 specific. Consider the antihypertensive effects of  
14 omapatrilat relative to other drugs. 3.1. Is omapatrilat  
15 superior to enalapril? What results support this?

16                   Tom, can you give us an opinion about that?

17                   DR. PICKERING: Yes. I would say the answer is  
18 yes and I accept that these studies against twice-daily  
19 enalapril might have reduced the superiority a little, but  
20 I would expect it would still be there.

21                   As I said earlier, I'm sort of disappointed  
22 that there aren't head-to-head studies between omapatrilat  
23 and enalapril or lisinopril plus a diuretic. I would very  
24 much like to see what those data would show. I know  
25 there's an additive effect when you add omapatrilat to a

1 diuretic, but I think the head-to-head studies would have  
2 still been helpful.

3 DR. BORER: Steve, what do you think about  
4 omapatrilat versus enalapril?

5 DR. NISSEN: I'm convinced. If you show it in  
6 a 25,000-patient trial of this strength, the evidence is  
7 just overwhelming that it is superior at lowering blood  
8 pressure to enalapril. Not controversial.

9 DR. BORER: Tom, do you have any concerns about  
10 that? No. Anybody else?

11 (No response.)

12 DR. BORER: So, we're willing to accept the  
13 answer to 3.1 as being yes.

14 How about 3.2? Steve, why don't you start.

15 DR. NISSEN: Two adequately controlled trials  
16 against lisinopril in reviewing Dr. Throckmorton's material  
17 -- and I think they were well done, and there's also  
18 ambulatory blood pressure data. So, I think that in fact  
19 there is adequate evidence of superiority to lisinopril.

20 DR. BORER: Tom.

21 DR. PICKERING: I agree.

22 DR. FLEMING: Well, I just have a comment,  
23 additional thought that will apply to 3.2, 3.3, and 3.4,  
24 and that is I certainly agree the data are there to  
25 establish a superior antihypertensive effect. All of these



1 studies, though, that will be relevant for 3.2, 3.3, and  
2 3.4 had starting doses of 20. So, these superior  
3 antihypertensive effects were established in settings  
4 where, from a point estimate perspective, the angioedema  
5 rates were maybe an order of magnitude higher than what we  
6 see in OCTAVE, although I suppose it could reasonably be  
7 presumed that had these studies also been done with the  
8 lower starting dose, that they still would have yielded  
9 comparable improvements in antihypertensive control or  
10 effects.

11 DR. BORER: So, you've extended now to 3.3 and  
12 3.4 and accepted omapatrilat as superior to the other drugs  
13 as well.

14 Tom Pickering, would you agree with that?

15 DR. PICKERING: Yes.

16 DR. BORER: And Steve?

17 DR. NISSEN: I do not. I reviewed this pretty  
18 carefully. Let's take 3.3 first. There were two trials  
19 against amlodipine, one of which was positive with a delta  
20 of minus 2.1, and one of which showed a delta of minus .3  
21 and a p value of .6. So, if you say it takes two trials,  
22 the two trial rule was not achieved against amlodipine.  
23 Now, both trials were adequately done, but I don't think  
24 there are two positive trials. Doug, correct me if I'm  
25 wrong, but when I read your review here, the study CV137-

1 032, your review said, failed to detect a significant  
2 difference between omapatrilat and amlodipine, and the  
3 delta was minus 0.3,  $p$  equals .617. So, I would say not  
4 proven.

5                   And for 3.4, I don't think there's adequate  
6 data against losartan. I think the two trial rule  
7 requiring well-performed trials simply isn't there. But  
8 correct me if I'm wrong, Doug. You've reviewed this.

9                   DR. THROCKMORTON: Review the losartan data,  
10 maybe.

11                   DR. NISSEN: You'll have to point me to the --

12                   DR. BORER: While you're looking for --

13                   DR. NISSEN: I think the only trial I saw was  
14 the LVH study, but maybe there's something I don't know  
15 about. I don't think that was adequate.

16                   DR. BORER: While you're looking for them,  
17 though, remember that the replicability of effect principle  
18 is really an approvability principle. We're talking here  
19 about whether we have data that would convince us rather  
20 that for approval purposes for moving forward with an  
21 opinion about whether this drug adds something that the  
22 drug actually was more effective than losartan or  
23 amlodipine, in which case it might be reasonable. I'm not  
24 suggesting you should do it, but it might be reasonable to  
25 add the data together and look at the average. Both trials

1 went the same way, for example. I'm not suggesting that  
2 that should be the opinion, but one might look at it that  
3 way.

4 DR. NISSEN: Let me tell you why it's relevant.

5 DR. THROCKMORTON: Let's ask the sponsor to  
6 just briefly review the losartan.

7 But just to comment, the general notion here  
8 was, is there any superiority that you discerned for any  
9 comparative antihypertensives? Where you define none, then  
10 we're done.

11 DR. LEVY: Just two points. First of all, in  
12 addition to the 38 study, there were two adequate and well-  
13 controlled trials versus losartan, one of which is shown  
14 here and the second of which is shown on the next slide,  
15 which was an ambulatory blood pressure comparison shown on  
16 the right.

17 I'd just like to briefly comment on the -32  
18 study. Dr. Throckmorton's comment on the office trough  
19 diastolic blood pressure results are correct. This was an  
20 ambulatory blood pressure study, powered for ambulatory  
21 blood pressure. Primary outcome measure, ambulatory mean  
22 blood pressure, which was positive, as were ambulatory  
23 systolic, diastolic, and office systolic pressures. The  
24 sole outcome measure in the entire program that I described  
25 to you that was not positive was the office diastolic blood

1 pressure in this study which was not even a primary outcome  
2 variable.

3 DR. NISSEN: That's helpful I think. That  
4 might have been pruned, Doug, from your packet because in  
5 the material we got, the losartan studies were not in here.  
6 So, I didn't get a chance to review them.

7 DR. THROCKMORTON: No. That's correct. They  
8 were not part of my original review.

9 DR. NISSEN: So, when I said there wasn't  
10 adequate evidence, it was based upon what I was given to  
11 review. So, I stand corrected. It sounds like you've done  
12 the two adequate trials against losartan. So, I think the  
13 answer to that is yes.

14 DR. THROCKMORTON: Jeff, we've heard what we  
15 need, I believe, on this question. You've identified  
16 agents where superiority is adequately demonstrated. That  
17 allows you to go the next step I think.

18 DR. BORER: Which we will now do. Question  
19 number 4. And here we're going to need a vote. With what  
20 potential benefit should the risk of angioedema be  
21 balanced? We may need a little clarification here from the  
22 FDA, but let me read through the question. The sense of it  
23 is reasonably clear, but we may need some specific  
24 clarification so we answer you correctly.

25 With what potential benefit should the risk of

1 angioedema be balanced? OCTAVE allowed the addition of no  
2 new antihypertensive drugs during the first 8 weeks, at  
3 which time the blood pressure was about 3 over 2  
4 millimeters of mercury lower on omapatrilat. During the  
5 following 16 weeks, other drugs were to be added to meet  
6 blood pressure goals, but at the end of 24 weeks, the blood  
7 pressure difference was still 3 over 2 millimeters of  
8 mercury. What explains the persistence of the differential  
9 effect at 24 weeks?

10 4.1. Is a regimen including omapatrilat able  
11 to lower blood pressure to an extent that combinations of  
12 enalapril and other drugs cannot? Which is one of our key  
13 issues here. If so, is the risk-benefit comparison between  
14 the risk of angioedema and the expected reduction in  
15 cardiovascular events attributable to this blood pressure  
16 difference?

17 DR. TEMPLE: I think the question is should the  
18 risk-benefit comparison be based on that difference.  
19 That's sort of the question that Norm emphasized in his  
20 review and that I raised before. What if they had added  
21 another drug or gone up in dose, which didn't happen? So,  
22 that's the question.

23 DR. FLEMING: Which the second part of the  
24 question does more get at.

25 DR. THROCKMORTON: That is the second option.

1 The first option, to phrase it another way, is that  
2 omapatrilat has a property that allows a regimen using it  
3 to lower blood pressure 3 millimeters of mercury more than  
4 any regimen containing enalapril by some means. Even if  
5 you add additional medications, you can't obtain those  
6 additional 3. If so and you concluded that 3 millimeters  
7 of mercury matter, then it seems that what you want to know  
8 is the potential benefits of those extra 3 millimeters of  
9 mercury compared versus the risks of angioedema.

10 If, on the other hand, you're not convinced or  
11 on the other side, that you believe that perhaps just  
12 adding one more drug in the OCTAVE trial would have  
13 sufficed to bring the enalapril group to the same blood  
14 pressure control as the omapatrilat group, then perhaps --  
15 and that's what we need to have some discussion about --  
16 the comparison is the risk of adding that additional  
17 approved medication compared with the risk of the  
18 angioedema.

19 Does that help to clarify things?

20 DR. TEMPLE: But also, you don't know what  
21 would have happened had they done that because it didn't  
22 happen.

23 DR. THROCKMORTON: They may be convinced that  
24 they do.

25 DR. TEMPLE: Yes, okay.

1 DR. BORER: I'll tell you what let's do here  
2 because you said you wanted a specific vote on the  
3 components of this and we'll restructure it so you get one.

4 Let me start by asking Tom for his opinion because he  
5 can't vote, and then we'll move on from there.

6 DR. PICKERING: My problem with this question  
7 is that I don't think this was a question that OCTAVE was  
8 really designed to answer. It was designed to look at the  
9 relative incidence of angioedema. The word is "cannot."  
10 I'm not convinced that if the study had continued longer  
11 and additional drugs had been added to the enalapril group  
12 that the difference might have become smaller. I don't  
13 think I know. It may have persisted, but as I say, it  
14 wasn't really designed to get at this question.

15 DR. BORER: Steve.

16 DR. NISSEN: My sense here is that this was an  
17 artifact of the trial design, and let me see if I can be  
18 clear. There were only two opportunities for dose  
19 titration in the trial, in a relatively short-term trial.  
20 If you think about patients and physicians and how they  
21 care for them, there's a little bit of inertia here, and if  
22 you see a patient over time and your blood pressure is not  
23 falling, eventually you come around to adding another  
24 agent. Now, should we be quicker on the draw? Maybe. Are  
25 we a little lackadaisical? I think Henry and others have

1 taught us that we are.

2                   But my guess is that the reason there was such  
3 a small amount of additional drug use in the enalapril arm  
4 related to that artifact of only having two opportunities  
5 to do so, and if you had carried this trial out for a year  
6 and had five or six or seven attempts, or opportunities  
7 rather, to add additional drugs, that eventually you would  
8 have seen some upward creep in the additional drug use in  
9 the enalapril arm and that would have equalized. We don't  
10 know that. I'm just trying to help explain why that  
11 difference persisted.

12                   DR. TEMPLE: Some trials, of course, insist  
13 that you titrate and insist that you add if a criterion  
14 isn't met. This didn't do that.

15                   DR. NISSEN: That's right.

16                   DR. TEMPLE: The question is how important it  
17 is.

18                   DR. NISSEN: Yes, and I guess what I'm saying  
19 is I think this was a design issue, not an efficacy  
20 advantage because I'm convinced that if those physicians  
21 had been instructed to do so and given time to do so, they  
22 would have closed the gap between the two regimens. Or  
23 they might have.

24                   DR. CARABELLO: But, Steve, why wouldn't it  
25 work with the other arm as well?



1 DR. NISSEN: I'm not sure I understand your  
2 question.

3 DR. CARABELLO: Well, why wouldn't the ability  
4 to titrate omapatrilat more aggressively continue to  
5 maintain the gap? Why would they only titer to one of the  
6 arms?

7 DR. NISSEN: Well, because there was a  
8 differential. So, the group that's in the differential  
9 with the higher blood pressures is going to naturally get  
10 more adjunctive therapy. I think that there's a tendency.  
11 If you're treating to target and you give the same target  
12 to both arms and one arm has omapatrilat, then the group  
13 that doesn't have omapatrilat is going to end up getting  
14 more adjunctive therapy and is going to tend to close that  
15 gap. So, I'm going to guess that a 1-year trial with five  
16 or six opportunities to titrate would have -- now, whether  
17 it would have closed it completely or not, nobody knows  
18 because it wasn't done. But I think that's the explanation  
19 for the difference.

20 DR. BORER: Yes, I think an important issue  
21 here is that, for better or for worse, we treat to goal and  
22 once you achieve the goal, perhaps inappropriately there  
23 really isn't an aggressive attempt to lower further. If  
24 you weren't able to achieve the goal in either arm,  
25 presumably you'd give more and more and more drug until you

1 did, and then we would have known the true impact of  
2 omapatrilat.

3                   Susanna, do you want to talk about 4.1 and 4.2?

4                   DR. CUNNINGHAM: Well, I don't think I know for  
5 sure what would have happened, just as Steve has just  
6 outlined. So, I think it's unfortunate.

7                   DR. BORER: Do you have any other comment?

8                   DR. CARABELLO: No.

9                   DR. BORER: Mike, Tom.

10                  DR. FLEMING: Should we be answering both  
11 questions?

12                  DR. BORER: Yes.

13                  DR. FLEMING: Actually there are two parts, as  
14 I see it, to 4.1. The first is relating to the answer that  
15 Steve was just giving about whether omapatrilat is able to  
16 achieve better blood pressure lowering than other  
17 combinations would be able to do. I support Steve's answer  
18 and I would ask one other scenario that could justify why  
19 more aggressive dosing might have closed the gap is that if  
20 a lot of patients at baseline that we've had reported to us  
21 had been on ACE inhibitors and had not, in fact, achieved  
22 adequate response, if I'm going to randomize those people  
23 to something else to achieve a better result, omapatrilat  
24 is a very logical option as something that provides a more  
25 aggressive approach. If I'm going to randomize in control

1 to enalapril, one of the ways that you could have gotten  
2 better response there would have been a b.i.d. or more  
3 aggressive dosing in that as a control arm.

4           What I don't know -- and I've already said I  
5 won't put too much stock in the OVERTURE trial, but maybe  
6 the OVERTURE didn't show as much difference in blood  
7 pressure dosing because of that reason. It's uncertain,  
8 and I would agree with Tom's original answer. I think the  
9 trial was not designed in a way to truly address this  
10 question. It may not be true and it may be true that more  
11 aggressive dosing with enalapril and then with other  
12 adjunctive therapies might, in fact, have closed the gap.

13           The second part, as I understand the rewording  
14 of question 4.1 in the second part, it's -- and I'm going  
15 to read it as I understand the rewording -- what is the  
16 risk-benefit comparison between the risk of angioedema and  
17 the expected reduction in cardiovascular events? At least  
18 I'm going to answer the question as I've just worded it.

19           The risk of angioedema at the most serious  
20 level, as has been approximated here, the upper limit of  
21 the confidence interval is around 5.7 per 10,000, although  
22 it could be considerably larger if one, in fact, starts  
23 with a dose of 20.

24           What is in fact the benefit? And there are two  
25 ways of getting at the benefit. One way is through the

1 surrogate and extrapolating from a 3 millimeter reduction  
2 in blood pressure and essentially using estimates from HOPE  
3 and other sources that would say we would expect per 1,000  
4 person-years 30 clinical events of cardiovascular death,  
5 MI, heart failure, stroke, and using the HOPE trial with  
6 the 3 millimeter reduction, maybe a 15 to 20 percent  
7 relative risk reduction, that would translate to something  
8 on the order of 40 to 80 events per 10,000 person-years.

9           We actually observed much less than that.  
10 Granted, the data are limited, but we still had 170 events,  
11 and these are from the very trial on which we're trying to  
12 make our assessment. The actual event rate was maybe half  
13 what was expected, and in turn, the actual relative  
14 reduction was half of what was expected. So, we ended up  
15 with maybe a quarter of the number of reduced events. The  
16 data of 89 versus 82 cardiovascular deaths, MI, heart  
17 failure, stroke at 6 months translates into roughly 10 to  
18 15 events prevented per 10,000 person-years.

19           So, I stand back and basically make the  
20 assessment of what's prevented based on two sources of  
21 information, one, what the data actually said, and that's  
22 10 to 15 percent, against what you might extrapolate if you  
23 truly believed in the surrogate. And it's probably twice  
24 that size. So, that's what we achieve in the context of  
25 what we are seeing as serious events of life-threatening

1 events of angioedema which are roughly 5.7.

2           What it indicates to me is that there is a  
3 favorable benefit to risk in those analyses, although the  
4 serious events of angioedema are not trivial in the context  
5 of what we're trying to achieve, hence the concern that can  
6 we achieve what we're trying to achieve in ways without  
7 raising those events.

8           DR. BORER: Paul.

9           DR. ARMSTRONG: I would say that based on the  
10 doses of amlodipine and diuretic we heard were used in the  
11 adjunctive therapy, that there was additional opportunity  
12 for enhanced blood pressure control in the comparator arm.

13           I would say in relationship to 4.2 that the  
14 obvious blood pressure lowering superiority of the new  
15 agent may translate into a long-term benefit, and if the  
16 risk of angioedema was not a player, I would be comfortable  
17 in that proposition.

18           But given that there are three separate  
19 neurohumors that are affected by this agent and at least  
20 one and perhaps others that we don't know about is  
21 modulating the angioedema, and that the risk of angioedema  
22 is not likely to diminish over the lifetime of a  
23 hypertensive patient once the early first or 2 days is  
24 obviated, I have meaningful and real concerns.

25           DR. BORER: Bob and then Doug.

1           DR. TEMPLE: I just want to be sure we separate  
2 the two things out. The first part of the question is  
3 about do you believe this difference would persist if  
4 people had titrated or added other drugs, and what I heard  
5 from a number of people is the study wasn't designed to  
6 tell that, therefore you can't know in a hands-on way. You  
7 might suspect, but you can't know.

8           And the second was Tom's observation that even  
9 though there was a better control of blood pressure, you  
10 didn't the events. But I have a question for you on that.

11          This was a relatively short-term study. Is that a  
12 question that could be answered in a study of this  
13 duration, or does it really take a little longer before you  
14 even have a shot at showing a benefit from that change?  
15 So, that's a question of how negative the failing to find  
16 that difference is.

17          DR. FLEMING: If what you're saying is we can  
18 estimate a relative risk reduction in these clinical  
19 events, but recognizing this is a small study, how wide is  
20 the confidence interval?

21          DR. TEMPLE: No. I'm saying it's short. It's  
22 true the benefits of antihypertensive therapy are observed  
23 relatively quickly, but I don't think they're usually seen  
24 in 6 months or a year very prominently. So, I'm not sure  
25 what the expected benefit would be even if it had the usual

1 effect. So, I'm really asking how negative should one feel  
2 about the failure to see the reduction in actual risk in  
3 that study. Obviously, my implication is I'm not sure you  
4 would have expected it in a study of that duration.

5 DR. BORER: I'd like to provide a response.  
6 Everybody else has responded to this. I look at it just a  
7 little bit differently than some of the other commenters.  
8 And I'm going to divide it into parts here if I can.

9 Is a regimen including omapatrilat able to  
10 lower blood pressure to an extent that combinations of  
11 enalapril and other drugs cannot? I believe that it can,  
12 and the reason I do is not that there are direct data.  
13 There are not direct data of the kind that I would have  
14 liked to see to come to a firm conclusion. But in every  
15 comparison that we saw, regimens containing omapatrilat  
16 were better than the comparators. So, I believe that a  
17 regimen containing omapatrilat would be able to lower blood  
18 pressure to an extent that combinations of enalapril and  
19 other drugs cannot.

20 However, I'm not sure what group of patients  
21 that's referable to because that study wasn't done. The  
22 truly refractory patients weren't identified here. So, if  
23 you asked me to write a label, I would be hard-put to do it  
24 because I'm not entirely sure what group we're talking  
25 about, and I'd like a little bit more evidence that in such

1 a group, this drug actually does provide a benefit of the  
2 magnitude we're talking about, though I believe it probably  
3 does.

4           But having said that, I believe that the  
5 judgment should be based on the risk-benefit comparison to  
6 angioedema because I haven't seen evidence that there is  
7 any other meaningful risk that we ought to be worried  
8 about. There don't seem to be other problems coming up  
9 with this drug.

10           Having said that, if I accept the 3 over 2  
11 millimeter fall in blood pressure, additional reduction in  
12 blood pressure, if I accept that, in a truly refractory  
13 group that I didn't really look at here, but if I accept  
14 that for the moment as the benefit, or the surrogate for  
15 the benefit, and I compare the angioedema risk, I would  
16 come to the same conclusion that I think Tom did, that the  
17 benefit outweighs the risk.

18           Why do I say that? It's not just on a basis of  
19 event rate, but the fact that the risk of angioedema is not  
20 immediate closure of the airway and sudden death, but that  
21 in most cases the problem is not so severe as that, that  
22 there's some time to respond, and on and on and on. So,  
23 the really meaningful mortal risk is I think relatively low  
24 although we probably underestimated it here because of the  
25 fact that this was a study constructed as it was.



1           But my real sticking point here is figuring out  
2 who these people are that the drug would be used for, how  
3 you would define that. I'm saying it's people who were  
4 refractory, but I'm not sure exactly how I would define  
5 that.

6           Then the issue of the persistence of the blood  
7 pressure difference at 24 weeks. Is it a consequence of  
8 trial design goal, the blood pressure goal or the goal  
9 blood pressure, inadequate use of additional drugs? I  
10 think it's all of the above.

11           DR. THROCKMORTON: But you just said that you  
12 believed in your heart of hearts that despite difficulties  
13 in interpreting the 24-week data, that there was some  
14 population there for which omapatrilat alone had a greater  
15 blood pressure lowering effect than combinations of  
16 enalapril and other agents?

17           DR. BORER: No, no. That a regimen including  
18 omapatrilat would achieve better blood pressure control  
19 than a regimen of multiple drugs that didn't include  
20 omapatrilat.

21           DR. THROCKMORTON: I think we're saying the  
22 same thing, that you couldn't get to the place that you  
23 could get with a regimen containing omapatrilat with a  
24 regimen --

25           DR. BORER: Without it.

1 DR. THROCKMORTON: -- without. Then your  
2 trouble is you're not sure you can identify the population,  
3 but that the data from that trial are sufficient for you to  
4 believe that.

5 DR. BORER: Well, no. I said the data really  
6 are not sufficient. I'm making an inference. I'm making a  
7 leap of faith here.

8 DR. THROCKMORTON: I want to understand that  
9 leap.

10 DR. BORER: I'm looking at the data here and  
11 I'm saying in every comparison that was made, omapatrilat  
12 was superior to the comparator. Within the OCTAVE trial,  
13 there were, I believe, undoubtedly people who would be  
14 within the population for whom the sponsor is suggesting  
15 the drug should be used, people who were on multiple drugs  
16 probably at reasonable doses who just didn't respond and  
17 who were given omapatrilat instead of enalapril as part of  
18 the regimen and who did better.

19 It's just that I haven't seen precisely those  
20 data. The sponsor may be able to tease them out. I don't  
21 know if the documentation is sufficient to do that, but  
22 certainly one could look at the subpopulation that was on  
23 multiple drugs at at least such and such a dose of each of  
24 the components and omapatrilat rather than enalapril and  
25 could show that that group had a greater blood pressure

1 reduction than the comparator. I mean, you could do that.

2 You could ask them to do that.

3 DR. TEMPLE: Well, they actually did do that in  
4 some sense. What's missing I think is what would happen if  
5 they added guanfacine or something like that. That you  
6 don't see.

7 DR. BORER: Well, that's true. We don't know  
8 what range of drugs they gave, but we didn't see how much  
9 of each component they gave. So, we really don't know  
10 whether the maximum appropriate dose or the maximum labeled  
11 dose of all the components was given. We don't know that.

12 That's a tough row to hoe, and I'd like to see  
13 those data. I think it would be useful for the company to  
14 go back and tease them out because that would allow us to  
15 begin to answer one of the key questions that Tom raised.  
16 But if you asked me, do I believe we would find it? Yes, I  
17 do believe we would find it. And if we did, and if the  
18 blood pressure dropped 3 over 2 or greater, then I would  
19 say the risk-benefit relationship would favor the use of  
20 the drug for the reasons that I stated about risk. It's  
21 just that I'm having a hard time identifying the  
22 population.

23 DR. TEMPLE: I won't ask it now but I might  
24 later. Obviously, there's not complete agreement on that 3  
25 over 2 because I don't think Steve would say the same

1 thing, but that's what makes horse racing.

2                   One possibility I guess if you did believe that  
3 a bona fide advantage of that amount was meaningful is that  
4 there could be another study in people who are refractory  
5 in some well-defined way in which people were randomized to  
6 two different approaches, including adding another drug,  
7 and you got to see if there was a persistent difference.  
8 So, maybe it's available in those data, but if it were not,  
9 I take it, that's another possibility.

10                   DR. BORER: That would be an alternative  
11 solution to the problem.

12                   DR. NISSEN: You kind of took the words right  
13 out of my mouth. I was going to suggest that -- I mean,  
14 this would be a very useful piece of information for us --  
15 to take patients and to do everything you can to get them  
16 to goal using conventional agents, used aggressively with  
17 multiple opportunities for titration, and then randomize  
18 either to have them switched to omapatrilat or to continue  
19 on the ACE inhibitor that would be part of their regimen  
20 and see whether or not, in fact, you could do better.

21                   DR. TEMPLE: But that only works if you believe  
22 that lowering blood pressure more with this drug is good.  
23 I just want to remind you.

24                   DR. NISSEN: I understand. I'm not disagreeing  
25 with you, Bob, that lowering blood pressure is a very good

1 thing. I'm not disagreeing at all. But I'm trying to say  
2 that in the context of a drug with very significant risks  
3 associated with it, we just can't accept that as being  
4 sufficient.

5 DR. FLEMING: If I could add to the comments  
6 you were just making. You had noted, as I had stated, that  
7 I believe there is a favorable benefit-to-risk in terms of  
8 cardiovascular events prevented against life-threatening  
9 cases of angioedema, which I do believe. I don't believe  
10 that the data are as strong as the sponsor said a couple of  
11 times when they said there's an order of magnitude  
12 difference in frequencies of those events. I would have  
13 put it more as a twofold larger number of cardiovascular  
14 events, and if you truly believe in the blood pressure  
15 lowering surrogate, maybe it's two- to five-fold. But then  
16 we have the uncertainties we've been discussing about the  
17 full validity of the surrogate in this setting and about  
18 the durability of maintaining that 3 millimeter difference.

19 All of this would be adequate from my  
20 perspective; i.e., I would consider those uncertainties of  
21 not sufficient magnitude to cause concern to me if it  
22 weren't for the life-threatening angioedema. And it's in  
23 the context of that life-threatening angioedema then that  
24 what I worry about is even though I do see a favorable  
25 benefit-to-risk here, it seems entirely plausible that you

1 could readily alternatively achieve the benefit without the  
2 risk.

3                   It's speculation -- but for what we've been  
4 talking about, and I think this is the essence of question  
5 4.2 -- whether or not it was a design feature, so to speak,  
6 that led to these observed differences. I have serious  
7 concerns that we might have been able to have provided  
8 alternative management that would have had much lower  
9 differences in blood pressure without the corresponding  
10 risk of life-threatening angioedema.

11                   DR. BORER: Okay. I think we've given you a  
12 great deal of opinion.

13                   Depending upon the committee's answer in  
14 question 4, identify a target population and estimate the  
15 magnitude of expected benefit. I think we've discussed  
16 that. You don't really want us to define precisely what it  
17 means to be refractory, and we've all said refractory is  
18 what we're talking about.

19                   DR. THROCKMORTON: Well, you've all at various  
20 times sort of said that you believed that -- and obviously,  
21 the sponsor has made proposals about target populations  
22 where the benefits were greater. I guess one useful thing  
23 would be to comment on how you would go about doing that.  
24 The sponsor has made one set of proposals, and you may find  
25 that credible. You may have alternative ways that you

1 might use to identify a population that might most benefit  
2 from this drug.

3 DR. BORER: We'll split this into two parts  
4 then and get some opinions about the target population and  
5 then make separately a comment about how one would estimate  
6 the magnitude of the expected benefit.

7 Why don't we start out with the target  
8 population issue. Tom, do you have an opinion about that?

9 DR. PICKERING: Well, obviously, I don't think  
10 anybody is suggesting that it should be indicated as first  
11 line treatment for the general population. So, there has  
12 to be some selection of people who are at increased risk.  
13 I guess my problem with this is, again, OCTAVE was designed  
14 I guess on the assumption that this was going to be  
15 something that was approvable as a first line drug, and it  
16 wasn't intended specifically to focus on any high-risk  
17 target population. So, any information that's provided is  
18 a sort of retrospective analysis. Some of the other  
19 studies that were done comparing it with other agents in  
20 the high-risk population such as the one with people with  
21 very high diastolic pressures gave data that were less  
22 convincing than some of the other data that we've heard.

23 So, the other area where I have a real problem  
24 is the issue of the increased risk in blacks who obviously  
25 are going to be in this country a very big portion of any

1 high-risk population. And I'm concerned that the  
2 angioedema rate in these patients is I think 1 in 19, and I  
3 don't know how one can separate out, to say that you  
4 shouldn't give this patient this medication to blacks. I  
5 think that opens up a whole nest egg of problems both  
6 political and how do you define who's black and who's not  
7 and also other things like that. So, I have a problem  
8 trying to define a specific high-risk population at this  
9 time.

10 DR. BORER: Steve.

11 DR. NISSEN: I don't think I can identify a  
12 target population based upon what we now know. Part of the  
13 reason I say that is that if we tried to do so by doing  
14 some kind of a subset or subgroup analysis from OCTAVE,  
15 then what we're talking about is we're trying to make a  
16 decision based upon lumping together some non-prespecified  
17 subgroups and saying, all right, well, if you had all this  
18 and this and this, it looks like you would benefit. I  
19 think that the level of evidence that you should look for  
20 here is a target population should be prospectively defined  
21 not retrospectively defined. So, I just don't like the  
22 idea of trying to carve up the data we have and use that  
23 carved-up data to try to define a population that would  
24 benefit. I don't think that's a proper in a drug with this  
25 kind of risk level, and therefore I cannot identify a



1 target population.

2 DR. BORER: Susanna.

3 DR. CUNNINGHAM: All I can define is who  
4 probably shouldn't have it by the risks of the angioedema,  
5 but I don't think I can really define who should have it.

6 DR. BORER: Blase.

7 DR. CARABELLO: Yes, I agree. It seems silly  
8 to give this to blacks and smokers unless there's a  
9 compelling reason to do so. And the sponsor has already  
10 suggested that it be targeted at a population in whom other  
11 therapies have failed to control their hypertension. I  
12 think that's a start, but it has to be defined more  
13 carefully than that.

14 DR. BORER: Paul.

15 DR. ARMSTRONG: I think Steve and Blase have  
16 articulated my opinion.

17 DR. BORER: Mike.

18 DR. ARTMAN: Well, I think one population would  
19 be the population that Dr. Black showed us, his patients in  
20 his clinic that are on multiple drugs in a well-controlled  
21 setting that can't be controlled. I think that would be a  
22 place to start with this, and I think that would be a very  
23 sort of quick and easy study to do. So, I think that you  
24 could try to get your hands around that defining patients  
25 who are on adequate doses of at least three drugs and still

1 are not controlled, that sort of thing.

2 DR. BORER: Tom.

3 DR. TEMPLE: Jeffrey, just on that last point,  
4 that's a different proposal from what the company said.

5 DR. BORER: I understand.

6 DR. TEMPLE: It says the blood pressure is what  
7 defines the population, not all these other things.

8 DR. BORER: I was going to comment on that just  
9 now myself.

10 I just made a suggestion a few minutes ago that  
11 maybe the data could be teased out of the 25,000-patient  
12 study, which is a pretty big study. But I must agree with  
13 my colleagues here who say that that may not be the proper  
14 way to go. Maybe another trial is necessary although I  
15 hate to say that, given the resources that would have to be  
16 lavished on such a trial.

17 But I don't think there's anything unreasonable  
18 about the target population that the sponsor has proposed,  
19 assuming that refractoriness of blood pressure is defined.

20 They said difficult to control. I'm saying refractory  
21 which is qualitatively maybe a little bit worse. I too  
22 would say look at Henry's population that he can't control  
23 and see which one of them have the other problems and study  
24 them and show that omapatrilat adds something that wasn't  
25 added by the comparator.

1           I guess it would be very difficult to be  
2 reasonably certain that the benefit that I'm willing to  
3 impute to the drug, if it lowers blood pressure by 3 over  
4 2, can be imputed unless we actually see it in the  
5 appropriate population. I think the best way to do that is  
6 to study it prospectively. It may be that the sponsor can  
7 come back with a subanalysis by searching its data that  
8 would be compelling. I don't want to rule out the  
9 possibility that that could happen, but I think the better  
10 way would be to do a trial.

11           DR. THROCKMORTON: Jeffrey, standard advice,  
12 when talking about sponsors about resistant populations,  
13 has been three classes of drugs, maximum doses, one ACE  
14 inhibitor, one CCB, and one diuretic, that sort of thing.  
15 Is that the sort of general thing that people -- I mean,  
16 does that define Dr. Black's clinic in some reasonable  
17 sense?

18           DR. BORER: That kind of construct would be  
19 reasonable to me. That specific algorithm might not be,  
20 three drugs, three different classes. But I would ask Tom  
21 for his opinion about that.

22           DR. PICKERING: I think that's a reasonable and  
23 commonly used criterion for resistant hypertension.

24           DR. THROCKMORTON: Just to go back one more  
25 time. What Bob said was important. That's a different way

1 of thinking about a high risk or a population that might  
2 benefit from drug, the one that the sponsor has been  
3 putting forth which was looking at cardiovascular risks and  
4 then possible benefit.

5 DR. BORER: No, that's not different. If I  
6 understood their proposal --

7 DR. THROCKMORTON: No. There were two separate  
8 sort of things.

9 DR. BORER: I thought it was not exclusive but  
10 inclusive. You had to have the high blood pressure and you  
11 had to have the problem.

12 DR. TEMPLE: Yes, but that's the question. Do  
13 you have the problems or is a systolic pressure of 160  
14 uncontrolled by three classes of drugs bad enough?

15 DR. BORER: Sufficient.

16 DR. TEMPLE: That's what I'm asking.

17 DR. BORER: Why don't we start with Tom and  
18 let's go around.

19 DR. PICKERING: Well, I guess it's a question  
20 of what risk you want to start with. Obviously if there's  
21 the additional risk factors as well, which I suspect there  
22 will be in most of these patients, the risk will be higher.

23 DR. BORER: Must we demand that those  
24 additional risk factors be present to give the opinion that  
25 the drug is effective and has safety acceptable for the

1 intended use if all they have is refractory hypertension  
2 rather than refractory hypertension plus these clinical  
3 evidence of these other problems.

4 DR. TEMPLE: For those people who meet SHEP  
5 entry criteria, we know what blood pressure lowering does  
6 in those people, and they weren't selected because they had  
7 other risks particularly.

8 DR. PICKERING: I guess I would say other risk  
9 factors present as well.

10 DR. BORER: Steve.

11 DR. NISSEN: I actually agree with you, Bob. I  
12 think that you could define a population here. We know  
13 that people with refractory hypertension do very, very  
14 badly, and I think you could lower the bar here a little  
15 bit and I would still be very comfortable if you said show  
16 us that in a group of people we just can't control with the  
17 best drugs we've got, three of them, are still above some  
18 threshold and make that threshold significant, not above  
19 130 over 80. I'm not sure where to set that. Because we  
20 know that people that can't be controlled despite  
21 everything we can throw at them do badly, and a drug that  
22 could get those people to goal would have enough likely  
23 advantages that it might well, in my view, if it were a  
24 robust study, outweigh the disadvantages of angioedema. I  
25 think you could define such a trial, but it would have to

1 be very rigorously done.

2 DR. BORER: Susanna.

3 DR. CUNNINGHAM: So, let me clarify. We're not  
4 answering number 5 here. We're defining what a future  
5 study might look like.

6 DR. BORER: What's the population that we want  
7 to define as appropriate for getting this drug if it works  
8 in that population? Is it just a refractory hypertension  
9 population, or do these refractory patients also have to  
10 have cardiovascular problems or other end organ problems as  
11 was proposed by the sponsor? Do we want to be that  
12 rigorous or just blood pressure problems?

13 DR. CUNNINGHAM: I think just refractory  
14 hypertension would be enough.

15 DR. BORER: Blase.

16 DR. CARABELLO: I don't know how to answer the  
17 question. I'm not too concerned about the other risk  
18 factors.

19 I am concerned about how much demand we put on  
20 previous control of blood pressure. If we say that the  
21 population to be tested in one in which we've minutely  
22 titrated every last drug before we add this one and that's  
23 the only way in which we'll accept efficacy, I don't think  
24 that's a very good standard because we've got a whole bunch  
25 of folks out there who have had reasonable medical therapy

1 and still are hypertensive. Now, could you get them a  
2 little lower if you beat the hell out of them and their  
3 providers? Maybe? But is that actually going to translate  
4 to benefit to the patient? I don't know. I think that  
5 this has to be taken in the context of current good but not  
6 impossible-to-reach standards.

7 DR. BORER: Just to ask for a further statement  
8 on that, the way it's been set forward -- and Tom indicates  
9 that this is routine practice in this area -- what we've  
10 now suggested is that to be declared refractory you should  
11 be on three classes of drugs, not necessarily five or six,  
12 but three, and that the drugs should have been titrated up  
13 to their maximally tolerable or maximally labeled doses.  
14 Would that be too much of a standard?

15 DR. CARABELLO: Well, unless of course going to  
16 three drugs generates so many additional side effects that  
17 now the patient won't take them.

18 DR. BORER: Then it's not tolerated, and I  
19 would think such patients could be included in such a  
20 trial.

21 Steve.

22 DR. NISSEN: I was just going to say, Blase,  
23 there are an awful lot of people out there that are on ACE  
24 inhibitor, diuretic, and amlodipine. There's a world of  
25 people like that and some of them aren't controlled on

1 that. Some are still greater than 150 over 100 on 10  
2 milligrams of amlodipine and 40 a day of enalapril and 50  
3 of hydrochlorothiazide, let's say. I think if you could  
4 get those people down significantly with a drug, it might  
5 mitigate the risk involved in a drug like omapatrilat. So,  
6 I think such a trial would go a significant way toward  
7 making that an approvable drug.

8 DR. BORER: Paul.

9 DR. ARMSTRONG: The patient that Steve just  
10 described is often controlled with the addition of a beta  
11 blocker. So, the notion that three should be the standard  
12 for refractory hypertension, and the addition of a new  
13 class of drug is not necessarily for me adequate, but if  
14 the patient was truly refractory to best medical therapy in  
15 a supervised hypertension clinic environment and had  
16 evidence of target organ damage, I could see taking the  
17 additional and unknown risk of adding a drug such as this,  
18 especially if that patient was being supervised by experts  
19 who understood the side effect implications and were  
20 following the patient carefully.

21 DR. BORER: Mike.

22 DR. ARTMAN: Well, I sort of made the proposal  
23 and you guys refined it a little bit. So I stand as  
24 suggested.

25 DR. BORER: Tom.



1 DR. FLEMING: If the question is in what  
2 population is it most plausible that this agent could be  
3 established to have a role, I would accept the logic of the  
4 sponsor saying that you would want to target a population  
5 that simultaneously satisfied two criteria. First, it  
6 would be patients at high risk for major cardiovascular  
7 events, i.e., so the setting where the benefit is  
8 substantial; and where it's difficult to control  
9 hypertension, i.e., where it's less likely that alternative  
10 available therapies could yield that benefit. So, in the  
11 context of having a risk of life-threatening angioedema, I  
12 want to identify a population where there is substantial  
13 up-side benefit and simultaneously a population in which I  
14 can more readily achieve that up-side benefit with this  
15 agent, even though it carries the side effect.

16 DR. TEMPLE: Jeffrey, there really have been  
17 two slightly differing themes, and it's important. We know  
18 from SHEP that being 70 and having a systolic of 160 is a  
19 high-risk situation by definition. How much more than  
20 knowing the blood pressure do you all think that the entry  
21 population in this other study would have to have?

22 DR. BORER: You've heard a couple of opinions  
23 already. I think there's a slight preponderance in favor  
24 of blood pressure alone being sufficient. And I'm going to  
25 add my voice to that. While I'd love to see people with

1 all the end organ problems in the population, I'm sure they  
2 will be, as Tom pointed out, just by the nature of the  
3 beast, but I would accept uncontrollable blood pressure  
4 alone as the population to study, uncontrollable by the  
5 definition that we've used.

6 DR. NISSEN: Jeff, if I could just add. It  
7 would be nice also to have that trial not be a 24-week  
8 trial because I think one of the things that really limited  
9 the current design was there really wasn't enough time to  
10 see potential differences emerge here. If we're going to  
11 do it, it ought to be pretty solid.

12 DR. TEMPLE: That's a very important question.  
13 If you're not looking for end organ damage and you're  
14 really just now looking at the substitution of one drug for  
15 something else in the regimen, you don't need a very long  
16 trial to do that. Why would it have to be more than 8  
17 weeks?

18 DR. NISSEN: Well, because I would not want to  
19 preclude the addition of other drugs to either regimen. In  
20 other words, some people like the idea of adding a beta  
21 blocker, and I'd like both arms to have that open to them.

22 DR. TEMPLE: No. That should be done before  
23 they even get into it.

24 DR. NISSEN: That means four drugs, though.

25 DR. TEMPLE: Whether it's three or four is no

1 matter. They're refractory and then you randomize to  
2 substituting this and keeping the same regimen.

3 DR. NISSEN: That would be certainly one way to  
4 approach it. I don't think we can design the trial at this  
5 table, but I think what you're getting from us I think is  
6 the sense that showing efficacy in a truly refractory  
7 population, well studied would be meaningful.

8 DR. TEMPLE: No. I've got that. It was the 26  
9 weeks that threw me.

10 DR. NISSEN: Well, I guess part of it is that  
11 I'm always more comfortable when the exposure is a little  
12 longer and when you have a little more observational time.  
13 Part of it relates to the fact that I think there are some  
14 major risks associated with this drug. I guess I don't  
15 think we've characterized them very well.

16 DR. TEMPLE: Yes, but the study we're talking  
17 about is going to be small compared to OCTAVE, as is any  
18 study in the world. So, it's not going to get you much  
19 more safety information, at least as I'm hearing it. It's  
20 going to document unequivocally that you control people  
21 that were uncontrolled before. That's a very limited  
22 thing. It's not very hard to do if it's true and it  
23 shouldn't take 26 weeks if that's enough. But you need to  
24 be clear.

25 DR. NISSEN: Let me see if I can be more

1 specific. Again, I hadn't really thought of this before I  
2 came in here. But also we'd like to know that the  
3 differences are stable, that they're not differences that  
4 are closing with time. Tom Pickering raised this question  
5 earlier. So, I personally would be a lot more comfortable  
6 if I knew that you could sustain for a year an advantage in  
7 blood pressure because it's going to be a smaller  
8 population, so it's easier to follow them for a little bit  
9 longer and see if you can get that kind of sustained  
10 benefit in this population. If they escape after 24 weeks,  
11 then you don't gain very much.

12 DR. BORER: I'd like to comment on that also.  
13 I'd love to see a very long trial. On the other hand,  
14 that's not a standard that we've ever set for an  
15 antihypertensive drug because there hasn't been any  
16 compelling evidence that problems develop late because we  
17 didn't run the trials long enough.

18 I would be perfectly happy with a 6-month  
19 trial, which is longer than the usual antihypertensive  
20 trial. I'm concerned, as Steve says, about exposure for  
21 safety, but if it's a small trial, we're not going to get  
22 much from that. I'd like to know, though, from the sponsor  
23 of the 25,000 patients involved in the trial, 12,000 were  
24 on omapatrilat. Have they been continued in an open-label  
25 experience or any subset of them?

1 DR. LEVY: No, they haven't.

2 DR. BORER: You did show us, though, some 1-  
3 year data in a withdrawal study that showed persistence of  
4 effect at 1 year, which I find sort of compelling.

5 DR. TEMPLE: I was going to ask you that.  
6 There's a lot of data that goes long. There isn't any  
7 suggestion that whatever effect there is goes away. It's  
8 really important. For one thing, I would be damned  
9 uncomfortable allowing a trial to continue that showed a  
10 difference and not doing something else to get those people  
11 under control. I think that would be dubious. We allow 8-  
12 week trials against placebo, but we don't allow 26-week  
13 trials against placebo.

14 DR. NISSEN: But, Bob, you've already said  
15 these are people that can't be controlled any other way.

16 DR. TEMPLE: No. There's a fifth and sixth and  
17 seventh and eighth drug too. Somebody would have to go do  
18 something.

19 But my fundamental question is, what's the  
20 question? I thought, from all the previous discussion, the  
21 question was can you take people who are bona fide  
22 refractory and who are refractory at entry -- they're on  
23 all these drugs at entry -- and get control when you  
24 couldn't before, a question that I would have thought could  
25 be answered in 6 to 12 weeks tops.

1 DR. ARTMAN: I think that's right. I think  
2 that is the question and I think it could be done in a  
3 relatively short trial because I think under that  
4 circumstance, we would be happy to see that incremental  
5 reduction in blood pressure if it were true in that  
6 population.

7 DR. FLEMING: In the absence of angioedema, I  
8 could be persuaded to accept that, but part of the  
9 fundamental issue at hand here is to be convinced that  
10 we're going to get a difference, sustained for adequate  
11 duration, that it will offset a very real and important  
12 side effect. So, coming back to what you were saying,  
13 Jeff, this isn't the standard situation. If there wasn't  
14 angioedema, one would be more permissive here and would be  
15 less concerned.

16 DR. THROCKMORTON: Absent angioedema, we  
17 wouldn't be here.

18 DR. TEMPLE: But you already have data on  
19 whether the effect of this drug is evanescent. So, why do  
20 you need to answer that question again?

21 DR. NISSEN: We have that data relative to  
22 placebo I think. We have it relative to an active control  
23 arm with three drugs. Do we know that?

24 DR. TEMPLE: Well, you could say OCTAVE answers  
25 that question to a degree, couldn't you? They didn't add

1 on other drugs or push the dose, but you do have that  
2 difference persisting.

3 DR. BORER: I may have missed something, but I  
4 thought the withdrawal study you did was in a trial against  
5 enalapril, was it not?

6 DR. LEVY: No. Let me just clarify. I did  
7 show you data from a 1-year-long losartan controlled trial  
8 in which the blood pressure differential was maintained. I  
9 commented on the results of a withdrawal study. That was  
10 conducted in patients being followed in a long-term open-  
11 label extension, and they were randomized to continue  
12 treatment with omapatrilat or to withdrawal to placebo, and  
13 a difference in blood pressure emerged very quickly.

14 DR. BORER: That's fine. Thank you for the  
15 information.

16 To me those are pretty important pieces of  
17 information if you were able to maintain for a year a  
18 differential against an active comparator and you were able  
19 to show a difference on withdrawal to placebo after a year,  
20 I'm not sure that we have any information from any body of  
21 data that would suggest that people who are really severely  
22 ill would be less likely to maintain the effects of a drug.  
23 They may be less likely to remain in control, but then  
24 again that's what blood pressure cuffs are for, to find  
25 that out, and then you can alter the regimen.

1                   But, Tom, is there any reason to expect that a  
2 severely hypertensive population put on a drug and  
3 responding to the drug will lose their responsiveness to  
4 that drug after a year as compared with somebody with mild  
5 to moderate hypertension?

6                   DR. PICKERING: I don't think so necessarily,  
7 no.

8                   DR. BORER: I would say, although I absolutely  
9 agree we'd like long-term experience so that we can get a  
10 better sense of safety and all those things, I don't think  
11 it's necessary to mandate a long-term trial to show the  
12 persistence of effect. I think they've already done that.

13                   But you want to get the opinions of everybody  
14 around the table. You've just heard Tom's. Susanna.

15                   DR. CUNNINGHAM: I think I'd take 6 months'  
16 worth.

17                   DR. BORER: Steve, you already gave your  
18 opinion. Mike, Tom. Okay.

19                   I think we've sort of dealt with 6, but I think  
20 we need to do it formally. Should omapatrilat be approved  
21 for the treatment of hypertension? Let's deal with that  
22 first, and then depending upon the answer, we may go to  
23 6.1, 6.2, and 6.3. Steve.

24                   DR. NISSEN: My answer is no, and I think the  
25 reasons have come out in the discussion. Mainly they



1 relate to the fact that we have limited data on the effect  
2 of the drug on events which would have strengthened the  
3 argument and that the risk of angioedema is substantial  
4 enough to mitigate against the potential benefit of the  
5 lower blood pressure.

6 I would like to say that I see two potential  
7 routes to approvability from my perspective. One we've  
8 already discussed, which is a trial showing that people  
9 that are absolutely refractory can be controlled or have a  
10 better blood pressure result when omapatrilat is  
11 substituted for an ACE inhibitor adequately done.

12 But the other which I think should not be ruled  
13 out is to show that in fact in a broader population, there  
14 is a morbidity and mortality advantage that outweighs the  
15 angioedema. Tom and others and the company have tried to  
16 estimate the ratio of benefit to risk, but measuring it  
17 would be the most compelling evidence of all. So, if one  
18 could define a population -- if I were going to do such a  
19 study, I'd probably pick people at pretty high risk, and  
20 I'd try to show that there was a morbidity and mortality  
21 advantage in that population that really quite  
22 significantly outweighed the very real risk of angioedema.

23 The advantage of such a development program is  
24 that it would allow this drug to be used in a broader  
25 population, not just in the absolutely refractory patients,

1 but in other patients because it may be that the company is  
2 right. There may be an order of magnitude benefit greater  
3 than risk in a relatively broad population. And if that  
4 were proven, then I think it would be a slam dunk that we'd  
5 accept the angioedema and we'd counsel about how to do  
6 everything possible to prevent it and all that risk  
7 management stuff, but we would be pretty comfortable that  
8 that overall would help the health of this population.

9 DR. TEMPLE: Just to be sure, would this be a  
10 trial in which people were allowed to be better controlled  
11 on omapatrilat than on the other drug, or would this be a  
12 case where they would have to show that using that is  
13 better than the other thing even though there's equal  
14 control?

15 DR. NISSEN: You know, I'd have to think about  
16 this. Again, I'm not sure I can design the trial at the  
17 table here, but I guess I would like to see in such a trial  
18 a design -- because it would be a longer-term trial -- then  
19 the opportunity for titration of the active control arm  
20 would continue as would the opportunity for titration of  
21 the omapatrilat arm. So, you'd really be looking for  
22 whether or not a regimen containing omapatrilat, when  
23 optimally delivered over a long period of time, would have  
24 a better efficacy at preventing morbidity and mortality  
25 than a conventional regimen titrated to optimal effect over

1 time.

2 DR. TEMPLE: But their hope is that the way it  
3 would do that is by giving better control, and if you  
4 obliterated better control, then it would have to be  
5 through some magic thing.

6 (Laughter.)

7 DR. TEMPLE: They don't have a proposal like  
8 that.

9 DR. NISSEN: And if it obliterated better  
10 control, then there's no reason to ever use omapatrilat.  
11 So, I guess the point would be if you can't produce a  
12 persistent differential in blood pressure, then there's no  
13 reason for us to use this drug in a population we can  
14 achieve that control with conventional agents in.

15 So, I think you have to let both arms be  
16 titrated and you'd probably want to do it more rigorously  
17 than was done in OCTAVE, meaning mandate up-titrations. I  
18 have to think about it a little bit, but the idea is to try  
19 to show that there is a clinical advantage to regimens  
20 containing omapatrilat with respect to some harder  
21 endpoints other than just blood pressure.

22 Again, I'm not putting down the surrogate. I'm  
23 with you, Bob. I think the surrogate is a good surrogate,  
24 but it's only relevant when you have a drug that has  
25 comparable risks to what else is out there. So, when you

1 don't have that, then I think you've got to go to those  
2 harder endpoints. I think it could work. I think you  
3 could find that over 3 years or 4 years that a regimen  
4 containing omapatrilat will end up with a blood pressure  
5 differential that's maintained and that leads to a  
6 difference in hard events, in which case this drug would be  
7 a good drug to make available for a broader population.

8 DR. BORER: Tom Fleming.

9 DR. FLEMING: I agree very much with the  
10 essence of what Steve has said, and in the interest of  
11 avoiding repeating that and other things that I've said  
12 before, I'll just state that for those reasons I vote no.

13 DR. BORER: Mike.

14 DR. ARTMAN: I would vote no at this time.

15 DR. BORER: Susanna.

16 DR. CUNNINGHAM: No.

17 DR. BORER: Blase.

18 DR. CARABELLO: I'll be the one dissenter and  
19 vote yes. I believe that if the drug were added to the  
20 community now, it would result in a substantial fall in  
21 blood pressure in our hypertensive patients. For me, it's  
22 not the question can it, under the rigorous controls of the  
23 trial, make a difference, but what difference would it make  
24 in the community in which apparently we as a medical group  
25 are doing a lousy job of controlling people's blood

1 pressure. And I think it's that group of people that I'm  
2 most interested in. I think that the risk of truly life-  
3 threatening angioedema could be controlled.

4 DR. BORER: Reluctantly I'm going to vote no,  
5 and this is a very difficult vote and sort of a close call  
6 because my intuition is that this drug would offer a  
7 benefit that we don't get with other agents. But I'd like  
8 to see the data in a refractory population, defined as  
9 we've all discussed, that it does indeed improve blood  
10 pressure control because there's a countervailing risk  
11 which I think can be superseded by the benefit of the  
12 additional blood pressure lowering, but I'd like to see  
13 that. So, I don't think this is not an approvable drug,  
14 but I don't think it's an approvable drug today.

15 We don't have to go to 6.1, 6.2, and 6.3.

16 Bob.

17 DR. TEMPLE: I just want to thank the committee  
18 for struggling with what was a very difficult set of  
19 issues.

20 DR. BORER: Doug.

21 DR. THROCKMORTON: Yes, I'd just echo that.  
22 The materials and the issues you've been asked to look at  
23 over the last couple of days have been very challenging,  
24 and the agency really thanks you for your help. It's truly  
25 valued.

1 DR. BORER: And it's only 3:53 and 52 seconds.  
2 (Whereupon, at 3:53 p.m., the committee was  
3 adjourned.)  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25