

1 that it has to be an active control. So that's number
2 one. There is uncertainty there.

3 I don't believe, by the way, I ever
4 claimed that the U.S. regulations said you had to use
5 a placebo. We can check the transcript when it's out
6 but I said it was oriented towards placebos. I do
7 believe that is true and is correct.

8 DR. CALIFF: I work with a statistician
9 who does what you just did and says it's just fine.
10 I'm interested in Tom's opinion as to whether adding
11 the variances takes care of the uncertainty about the
12 populations that were actually in the two trials.

13 DR. FISHER: No, it doesn't, because they
14 are not randomized within the same trial. You will
15 never get exactly the same baseline characteristics.
16 Usually they are not totally contemporaneous in time,
17 those two comparative trials. There is additional
18 uncertainty there that we statisticians do not have a
19 good method to quantify. It's up to your scientific
20 judgment to decide how much that bothers you in this
21 situation.

22 I am not going to stand up here and say we

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 can just start using historical controls. There is an
2 infamous paper among statisticians in the New England
3 Journal by Gehan Freidrich that got totally torpedoed
4 from every possible side and appropriately so. What
5 I'm saying is this is the best that I know how to do
6 to evaluate this information. It has weaknesses above
7 and beyond the confidence intervals.

8 DR. CALIFF: My second question is did you
9 do a sensitivity analysis on the overview to see what
10 the least beneficial assumption for heparin could have
11 been to still end up with a convincing p-value? In
12 other words, you're asking us to believe that heparin
13 reduces the risk of events by 70 percent which is
14 probably an over estimate most of us would think.
15 What if heparin only was half as good?

16 DR. FISHER: If you look at the confidence
17 interval, of course, it goes up to 85 percent. I'm
18 looking at OASIS-1 plus OASIS -- no, that part remains
19 the same. I'm not asking you -- what I'm asking you
20 to accept is basically a distribution of values
21 centered around the point estimate but with the spread
22 that I can account for statistically. As I mentioned,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 for most of us the real concerns are not that part of
2 it. It's the assumptions that you have.

3 DR. CALIFF: But I'm driving at an issue
4 here and I'm partly biding time but I think this is an
5 important issue. We can assume that what was reported
6 in the early days on heparin versus control was highly
7 selected for positive study.

8 It is much more likely of publishing a
9 study now even if it's negative than there was 15
10 years ago. What I'm really trying to get at is how
11 much less impressive could heparin have been having
12 still come to the same conclusion?

13 DR. HIRSH: As far as I know, no
14 statistician has ever ventured a quantitative
15 statement to that effect. We have played around a
16 little. You saw some of the things that the agency
17 reviewer did. They said, "Gee, in FRIC and FRISC if
18 we change data events this would happen." You can
19 play the same game the other way. If we change it in
20 the other direction, it really would have looked
21 great. I tend to like --

22 DR. PACKER: Sue-Jane, you're on.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. HIRSH: -- to stand with the data
2 that's there. By the way, I did a few conservative
3 things. Oler looked at the end of treatment. The end
4 of treatment here is 72 hours where the data looks
5 even better but I didn't want to do that because I
6 thought it was fudging things.

7 Not fudging but it went in a favorable
8 direction and the primary endpoint was at seven days
9 so there are certain things I could have done but
10 probably -- I mean, it would have made things look
11 even better and I'm sure if I set my mind to it I
12 could find things to do --

13 DR. PACKER: I think we're ready. I think
14 this thing finally came on. Dr. Wang.

15 DR. WANG: Hi, everybody.

16 DR. PACKER: Speak up, Sue-Jane.

17 DR. WANG: Can you hear me? Good. All
18 right. I just like to make a point before I start.
19 I've been working in this area of research with an
20 expert statistician from the FDA, Dr. James Hung, in
21 the Cardio-Renal Division. We actually had done a lot
22 of work regarding this particular issue of putative

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 placebo. It just so happened here we have the
2 application comes up and we can try to put these two
3 things together to shed the light on what is going on
4 in this particular NDA.

5 I was called on to discuss this virtual
6 method used in this particular reflected in the
7 application. As you know by now, it was because of
8 one large multi-center double-blind control study of
9 about 5,000 patients per arm might not have a
10 statistically persuasive evidence and that was the
11 pivotal study for evaluation.

12 The virtual method used by the sponsor in
13 the evaluation of the primary efficacy endpoint for
14 the OASIS-2. Here I like to explain this virtual
15 method graphically. First, let's focus on the left
16 box. This is the current active-control trial.

17 We have the experimental treatment and we
18 have the active-control treatment. If the estimated
19 relative risk of the treatment over control and is 95
20 percent confidence interval limits are all less than
21 1, then treatment event rate is less than active-
22 control event rate.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 In that case, treatment effectiveness
2 would help establish and that's a check mark. Due to
3 the ethical reasoning, we may only be able to find
4 some past trials in which the placebo arm was included
5 for some time ago which is our right box.

6 The external data or historical trial or
7 placebo controlled trials are the term we used which
8 generally consist of more than one trial. If the
9 effect or control relative to placebo can be
10 ambiguously established, for example replication of
11 the results, relative risk of the control to placebo
12 and again is 95 percent confidence and everything
13 being less than 1, then we have another check box
14 there.

15 Then the comparison of the treatment
16 versus the placebo would be quite clear and
17 straightforward. Again, we have now the third check
18 box.

19 Now, the problem we're dealing with here
20 is what if the results was a question mark on the left
21 box and a question mark on the right box? Can one
22 legitimately evaluate the treatment versus placebo as

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 shown in the box at the bottom?

2 From our observation this is one of the
3 objectives that the sponsors try to conclude. The
4 theme of the virtual method is to directly answer the
5 question of treatment being superior to placebo, the
6 bottom box, by making a few assumptions and by some
7 statistical properties if used appropriately.

8 So we have to talk about assumptions with
9 this virtual method. First, and the most critical
10 assumption, is that effective control in the
11 historical data is identical to the effective control
12 in the active-control trial. There is no sufficient
13 data for verification of this assumption. It is very
14 difficult, if not impossible, to replicate the effect
15 of control relative to placebo in a current active-
16 control trial without the concurrent placebo arm.

17 Secondly, for the mathematics to work out
18 right, one needs to assume that the estimated relative
19 risk of treatment relative to control obtained in the
20 active-control trial is statistically independent from
21 the estimated relative risk of the control relative to
22 placebo gathered from potentially a few to many

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 historical trials called meta-analysis. It is assumed
2 that the placebo control trials being included are
3 clinically sounding and the statistical method for the
4 meta-analysis used to estimate effective control is
5 statistically valid.

6 If all the above assumptions are met, then
7 this virtual method can be efficient approach used to
8 show that treatment is superior to placebo. However,
9 this virtual method can be very sensitive to departure
10 from assumptions, especially if the effective control
11 differed between the historical trials and the current
12 active control trials.

13 As I mentioned in the beginning, progress
14 this year has shown by simulation that when the
15 control event rate in a current active-control trial
16 increases just slightly compared to the control event
17 rate in the historical placebo control trial, then the
18 first positive rate of relatively concluding that
19 treatment is superior to placebo can be large.

20 From our simulation studies it appeared
21 that the first positive rate can be very large in the
22 number of trials available for random effect which was

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 what the company used. Meta-analysis is not large.
2 For example, even with ten trials the random effect
3 meta-analysis can still carry a larger than expected
4 false/positive rate.

5 In fact, in Biometrics 1999 the title of
6 that inference in random effect metal-analysis.
7 Follmann and Proschan from NIH also pointed out that
8 when a number of trials is not large, random effect
9 meta-analysis using normal approximation may not be
10 valid for testing the effect of control relative to
11 placebo.

12 What we are seeing in this particular
13 application, first let me focus on the Oler, et al.,
14 application. As you know, the time is limited so my
15 slides are actually cut into 1/3. I hope I can make
16 the story continue.

17 I would like to here point out that the
18 heparin plus aspirin, the active-control even rate,
19 was not the same as that of the OASIS-2. First of
20 all, the heparin even rate was 4.2 percent from the
21 OASIS-2 trial.

22 If all the six trial estimate from the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Oler study is used, the heparin plus aspirin even rate
2 was 7.9 percent, the six studies there. You can see
3 that it was almost twice as high than that in OASIS-2.
4 On the other hand, when the three blinded trial
5 estimate is used, the heparin plus aspirin even rate
6 was now 2.2 percent. These are the three blinded
7 studies.

8 As far as application of the Oler six
9 trials, it was shown that there were three blinded
10 studies and three unblinded studies so here we show
11 you when you pull together the blinded and if you just
12 focus on the blinded results, you see that kind of
13 difference. Now with the three blinded studies the
14 2.2 percent is actually half that of the OASIS-2
15 estimate.

16 So what does this tell us? These raises
17 the alarm that we may not yet have the effect of
18 heparin plus aspirin clearly established and that
19 assumption of the effect of control in the active
20 control arm being the same as the effective control in
21 the historical trial may be very questionable.

22 Therefore, interpretation of comparison of

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Refludan with aspirin alone is highly dependent on
2 selection of studies from meta-analysis. Especially
3 OASIS-2 did not conclusively reflecting being superior
4 to heparin plus aspirin.

5 As you can see from this slide, on the
6 right-hand box when using the six trial, as the
7 sponsor did, you would get a relative risk of .64 and
8 that risk estimate with interval will be used to
9 derive the relative risk of Refludan versus placebo of
10 .56 leading to a significant Refludan effect of p-
11 value .014.

12 However, if the three blinded trials are
13 used, Dr. Rashid, the FDA reviewer, and also Dr. Hock
14 in 1997 of essence trial review, both pointed out that
15 the relative risk of heparin plus aspirin versus the
16 aspirin alone would have .78 relative risk. You can
17 see the interval estimation now is much wider.

18 When such an estimate obtained from the
19 meta-analysis is used. Relative risk of Refludan
20 versus aspirin becomes .64 and that the p-value
21 generated from Dr. Rashid and Dr. Hock was .095. The
22 .095 here could be underestimated. When we actually

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 perform the simulation study, based on the scenario
2 observed from the data itself, we found that the
3 false/positive rate was likely to be doubled around
4 .18 in our research.

5 The sponsor FRISC/FRIC study, there is
6 actually a lot more story into it. It is actually a
7 two-step process in getting the control relative to
8 placebo. Since I have to skip a few slides, I hope I
9 can explain better here. The two-step process here
10 that we're talking about is we don't have the middle
11 to be the active control. Here what we have is a D,
12 the dalteparin, another treatment.

13 For these two studies the trial have about
14 750 patients per arm. One needs to be very careful in
15 interpreting the result here. Let's look at the right
16 box of the FRISC study first. This is just like the
17 historical control trial we had before except that it
18 is now placebo relative to treatment, not relative to
19 active control.

20 Dalteparin clearly was shown to be
21 significantly better in reducing the risk of death or
22 MI, p-value about .001 so we have a check here.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Dalteparin prevent rate was 1.8 percent in the FRISC
2 trial.

3 Now, let's look at the left box. The FRIC
4 trial served as the current active-control trial
5 comparing heparin plus aspirin, the active control, to
6 the dalteparin, the treatment. This comparison would
7 be valid if one could assume that the effect of
8 dalteparin in the FRISC trial, which was 3.9 percent,
9 is the same as that in the FRISC, 1.8 percent.

10 If one perform a simple test for the
11 statistical significant difference or no difference on
12 this dalteparin, the p-value was .018. This is
13 exactly the situation we found from our simulation
14 studies that false/positive rate of concluding that
15 active-control is superior to the hypothetical placebo
16 using such a virtual method can be very high.

17 Now, move to the Oler trial. As pointed
18 out by Dr. Fisher in his manuscript, when the active-
19 control trial show that treatment is superior to
20 control and that the historical trial showed the
21 control superior to placebo based on a large number of
22 trials. Then the population differences between the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 two type of trial will be of less concern.

2 Here in this Refludan NDA we don't have
3 convincing evidence of Refludan being superior to
4 heparin plus aspirin in the active-control trial. We
5 don't have the convincing evidence of heparin plus
6 aspirin being superior to the aspirin from just a few
7 trials.

8 We do have differences among these small
9 Oler at all trials. Concerns of assumptions might not
10 be met. These are exactly the concerns that making
11 the virtual comparison of Refludan versus aspirin
12 alone difficult to conclude.

13 In summary I like to convey a few
14 important messages. From the Oler et al. in addition
15 to the dose regimen differences, definition of
16 endpoint differences, trial design differences, small
17 sizes of trials, number of trials which could be
18 appropriately included for meta-analysis may be less
19 than what is available.

20 Here we had heparin plus aspirin event
21 rate deferred from that of OASIS-2 between using the
22 three-blinded-trials scenario versus using the six-

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 trial scenario. Therefore, the first key assumption
2 for a statistical valid approach of virtual method may
3 have been violated.

4 Given all the uncertainty of the heparin
5 plus aspirin effect seen in Oler et al. trials, should
6 six or less than six trials be used for the meta-
7 analysis especially the active-control trial seem to
8 have a very weak evidence of concluding Refludan being
9 superior to heparin plus aspirin.

10 As you saw from earlier slides, with the
11 FRISC and FRIC trial if conclusion of heparin plus
12 aspirin being superior to placebo can only be 50
13 percent chance of being incorrect, one may have
14 severely overestimated effect of heparin plus aspirin.
15 The question then becomes can such over estimate
16 effect obtained from the FRISC/FRIC study be combined
17 with Oler et al. trials.

18 Finally, the virtual method can be very
19 efficient but we found that it suffers from departure
20 from key assumptions of control event rate being the
21 same between the active-control trial and the placebo
22 historical control trials. Which, of course, we know

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 could not be unequivocally assessed from existing
2 trials. The implication of this ought to be carefully
3 considered, particularly in this NDA in which the
4 active-control trial did not conclusively show
5 Refludan is superior to heparin plus aspirin. Thank
6 you.

7 DR. PACKER: Dr. Fleming.

8 DR. FLEMING: Dr. Wang, while you're still
9 here, in our briefing report from the FDA on page 34
10 it was suggested that the FRISC and FRIC trials data
11 that we were using were from non-randomized arms. Can
12 you clarify what that is about?

13 DR. WANG: Okay. I am not the reviewer
14 for this NDA but my communication with the primary
15 reviewer is that they are randomized studies. Correct
16 me if I'm wrong, Dr. Rashid.

17 DR. RASHID: Yes. FRISC and FRIC are
18 randomized but we are comparing with the one arm from
19 FRISC and the one arm from FRIC so there are two
20 different arms of two different studies. They are
21 non-randomized.

22 DR. FLEMING: I see. I'm going to try to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 be fairly brief here. I'm acutely aware of time.
2 There are a number of issues and Dr. Wang has
3 mentioned several. As Lloyd Fisher pointed out, the
4 critical challenge here is to be able to come up with
5 studies that are going to be giving us a relevant
6 estimate of what the active control's effect is.

7 We have been involved in active-control
8 trials for a long, long time and I've always argued
9 there are some critical ingredients required or
10 assumptions or truths that have to be in place in
11 order to be able to understand what the effect is of
12 the intervention relative to placebo.

13 The first is the active comparator has to
14 be a very effective regimen with a precisely estimated
15 effect in the precise population in which the study is
16 being done. That also means concomitant meds, doses,
17 other things, patient characteristics, things that
18 could influence outcome need to be comparable.

19 Admittedly to achieve that in the most
20 satisfactory sense is an incredibly tall order and
21 it's one of the reasons that active-control trials
22 have been viewed to be less reliable in their

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 interpretation than a direct placebo control
2 assessment.

3 A couple of things that I might want to
4 turn attention to and they are somewhat related to Dr.
5 Wang's presentation. If we go to page 28, table 4.1.
6 Lloyd might have a slide to this.

7 This, Lloyd, is the table that gives the
8 six studies that go into the Oler meta-analysis. The
9 thing that strikes me at the beginning when I look at
10 this is that the Holdright trial is dominating in
11 terms of the number of events in this overview. In
12 fact, it's an event rate that is 27 percent on
13 heparin/aspirin remembering that what we're looking at
14 in OASIS-1 and OASIS-2 are event rates of 4.2 percent
15 and 4.8 percent. Presumably the Hold right analysis
16 is looking at different time frame or different
17 endpoint and Lloyd might clarify that while I go on
18 here.

19 The other five studies are on the opposite
20 extreme. They are very small studies with very low
21 event rates so that you have a total of 13 events --
22 I assume these are death MI events from what Lloyd

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 said -- 13 events on the heparin/aspirin and 28 events
2 on the aspirin if you're looking at this meta-analysis
3 without including the Holdright data.

4 One of the first things that strikes me is
5 that 13 events on heparin/aspirin gives you a 2.4
6 percent rate which is half of the event rate that we
7 are seeing in OASIS-2. The data are what they are but
8 it's interesting that the event rate is low there for
9 what we would expect it to be and it's these data that
10 are the basis of our trying to estimate what the
11 heparin effect is.

12 It does raise for me one of the concerns,
13 and we've already alluded to it, meta-analysis of the
14 literature is what has been referenced as the way that
15 Oler used to obtain these data. These are very small
16 studies and if you had a very small study of this size
17 and things went in the wrong direction, interestingly
18 none of these go in the wrong direction.

19 In such small studies that's
20 probalistically unlikely that none of them would go in
21 the wrong direction. It just makes me wonder what
22 else is out there that didn't get into the literature

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 that is an equally small experience that as a result
2 legitimately wouldn't be viewed to warrant
3 publication. Is this, in fact, an unbiased
4 representation of the literature.

5 Other issues that have been addressed, and
6 I'll just be quick on these, is the endpoint is all-
7 cause mortality and MI. I actually agree with Lloyd.
8 I think that's the best. It is slightly different
9 from what we're using in the OASIS trials. I think
10 the Oler data is over the period of treatment and what
11 isn't clear to me is if we can even say this
12 represents the events in these five smaller trial that
13 would have occurred up through day seven.

14 Of course, as I think has been made clear,
15 I have reservations about whether just looking at
16 effects over seven days is adequate. There isn't
17 evidence presented here to give us a sense of what was
18 the effect of heparin. How much of this effect was
19 sustained out to day 35 much less day 180.

20 Concomitant meds, these could be different
21 and Dr. Wang is referring to these issues and Lloyd
22 has already alluded to them as well. Issues that make

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 one a little bit uncertain about whether these
2 estimates are able to be translated into what we would
3 have expected in the exact population that was in
4 place for the OASIS-2 trial.

5 The FRISC and FRIC studies if you turn to
6 page 30 the data are presented there for these two
7 studies. What is evident here is the critical study
8 here is the FRISC trial, not so much the FRIC trial.
9 The FRIC trial is telling us that dalteparin and
10 heparin seem to be about the same.

11 The critical linkage to the placebo, so to
12 speak, is the FRISC trial. In this trial the
13 estimated rate of events is also really low. It's 1.8
14 compared to the FRIC trial where it's 3.9. One is
15 left with some uncertainties as well here. You have
16 very small numbers in FRISC. You've got 49 total
17 events.

18 I agree with whoever else made the
19 comment. The analysis of all of these meta-analyses
20 for the putative placebo, the analysis that gives the
21 most encouraging result is the FRISC/FRIC and it's
22 really based on FRISC and FRISC is based on 49 events

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 where you have an event rate in the dalteparin/aspirin
2 group which is less than half of what you get in the
3 FRIC trial.

4 In a sense we say this variability is
5 factored in and Lloyd is right about that. It is
6 factored in because the methodology that he's talking
7 about is, in fact, looking at if you want to compare
8 A to C, you can look at A to B, B to D, D to C, and
9 that gives you A to C and the variabilities are
10 additive and they are factored in.

11 When one looks at the data as to whether
12 it makes sense, there are some of these issues that
13 Dr. Wang had brought up come forward and certainly
14 raise some concerns. I guess ultimately one of the
15 issues, too, that one has to think about, and it's a
16 difficult philosophical issue, is the study was
17 designed as a superiority trial.

18 It did not identify prospectively that it
19 wasn't necessary to show superiority. It was adequate
20 to show non-inferiority via a specific margin or
21 according to a specific method where we would be using
22 given trials as a way of assessing the effect of the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 placebo against the active control.

2 If you had set out to do an active-control
3 trial to show non-inferiority and you actually
4 established superiority, we all accept that as being
5 a fully appropriate conclusion. What is problematic
6 is when you set out to show superiority and you fail
7 to do so and then you acknowledge what may be true.

8 It's not necessary to show superiority.
9 It's actually adequate to show non-inferiority, but
10 then we're stepping back and using data. That may be
11 out best attempt to choose those appropriate
12 historical experiences that will represent the effect
13 of this active comparator.

14 There are going to be necessarily
15 judgments as to which of these studies are appropriate
16 and which are not. It's very difficult when it's left
17 with the sponsor to carry that judgment out because
18 you know, in fact, what the results are going to be
19 when you see these studies as you are deciding which
20 of these studies to choose.

21 Ideally, prospectively one would have an
22 independent group of people surveying the literature

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 without a specific interest, financial or professional
2 interest choosing those studies that are the most
3 reliable way of assessing what the effect of the
4 active comparator is and proceeding forward.

5 Of course, even in that ideal setting the
6 studies that you may have to choose from may be
7 limited in terms of the reliability that they are
8 going to be able to provide for this assessment.

9 My sense is that if the approach that Dr.
10 Fisher has laid forward is methodologically very
11 reasonable, the concern is what we can put into that
12 approach, i.e., the trials that are available as the
13 evidence that he is required to use in order to
14 ultimately assess what the effect is of heparin. In
15 fact, if it were this clear, i.e., if it was widely
16 accepted that heparin was effective, is it, in fact,
17 not labeled in this indication? It's an interesting
18 philosophical issue in itself.

19 DR. PACKER: It's not but whether it would
20 be labeled if an application were made is a separate
21 issue. Clearly the agency does not rule on
22 applications that are not before it.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. FLEMING: So, in essence, the concerns
2 that I have is as you look at the most critical
3 elements of these studies that are the basis of
4 determining the effect of heparin, if you look at the
5 Oler study, the data in terms of numbers of events are
6 dominated by the Holdright trial that is completely
7 out of line for what the event rate ought to be.

8 The remaining studies are all incredibly
9 slow and give an aggregate event rate that is less
10 than half of that that we have seen in the OASIS-1 and
11 OASIS-2. Similar comments for FRISC which is the
12 critical study for that particular. Bottom line is
13 we're looking at OASIS-2 at a study that provides 714
14 events that's the basis of our understanding what the
15 effect is relative to heparin and we are relying on 49
16 events in the FRISC approach.

17 And we are relying on if you put aside
18 Holdright 13 events plus 28 or 41 when we're using the
19 Oler approach. There's extreme concern about how
20 reliable our estimates of heparin are with this
21 approach.

22 DR. PACKER: Dr. Fisher.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. FISHER: I wanted to make a couple of
2 comments. It's always tempting to get into a debate
3 mode but we are here basically to work on data.
4 First, I want to be sure I understand what the agency
5 did. Your false/positive rate of 50 percent, you said
6 there was one underlying control rate? Is that
7 correct? The simulations? The reason I ask is
8 unfortunately I've done this so recently it wasn't in
9 the review document so it's a little hard to react in
10 real time.

11 DR. WANG: The way we did the simulation
12 study is to say here is the data that we observed.
13 Given this is the likelihood of the truth, then what
14 would be the probability of saying that treatment is
15 going to be superior to the placebo, the aspirin given
16 that there really is no difference. Yes, we do
17 utilize the data information to try to simulate the
18 data as it is.

19 DR. FISHER: I don't quite understand.
20 That means that the data would show the difference to
21 say we simulate under the null hypothesis with the
22 likelihood -- as I understood it, I'll only make one

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 point that I think is a fallacy both addressed by the
2 FDA and by Tom. To me what they were going on and on
3 about these rates and the trials is precisely --
4 precisely why people use odds ratios.

5 If you look at the aspirin data, for
6 example, that's how you get consistency. You don't
7 compare the rates in the trials. You compare the odds
8 ratios or the relative risk and that is very important
9 because the populations are never quite the same and
10 this is the one thing that makes totally using
11 historical controls so difficult.

12 DR. FLEMING: There's no debate about
13 using odds ratios. I'm very comfortable with that.
14 If we go to page 28, if you have that slide, is it, in
15 fact, true if you look at the Holdright data that
16 we're looking at, an event rate of 27 percent?

17 DR. FISHER: I haven't computed the event
18 rate but we can put up -- let me see if I find the --
19 why don't you put up slide LF-23.

20 DR. FLEMING: The debate isn't about
21 whether the odds ratios --

22 DR. FISHER: Not the actual values. The

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 individual studies are behind you. If you go to the
2 next slide, there's a plot and, as you can see, the
3 Holdright data is the majority of the data and the
4 small studies have much wider confidence intervals.
5 That's why, of course, the relative risk is much
6 closer to the Hold right data than a lot of the other
7 data points.

8 DR. FLEMING: Lloyd, we want to make sure.
9 The issue at hand here isn't whether the Hold right is
10 saying the relative risk is of the same order of
11 magnitude as the other studies. The issue is the
12 Holdright data is based on what is hidden there, the
13 fact that the baseline event rate in Holdright is, I
14 believe, 27 percent. In the aggregate of the other
15 five studies, it's 2.4 percent. Is that, in fact,
16 true? It is true. And how is it 27 percent in the
17 Holdright study? Is that, in fact, death MI at day 3
18 to 7?

19 DR. YUSUF: None of this is death MI.

20 DR. FLEMING: That's not what Lloyd said.

21 DR. FISHER: Yeah, this is. It is death
22 MI.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. FLEMING: It's certainly relevant to
2 know. We should be talking about the same endpoints
3 over roughly the same time period in order to be able
4 to use your method appropriately.

5 DR. FISHER: I can give you the time
6 periods for the individual studies. It was six days,
7 five days, three to four, three to four, three to
8 four, two and five to seven so about five to six days
9 is the average whereas our endpoint was at seven days.
10 As I mentioned, I didn't go back to the end of therapy
11 to make it more comparable because that was more
12 favorable.

13 DR. FLEMING: Is there any explanation?
14 Is it surprising to anybody that we're seeing a 27
15 percent event rate?

16 DR. YUSUF: Tom, there's no explanation
17 why the Holdright absolute event rates are different
18 from the others. Having said that, whenever we do
19 meta-analysis in different areas there is a huge
20 difference.

21 The second thing is just the calculation
22 you did, if you take out Holdright which is the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 outlier in that, you said it's 30 versus 28. The odds
2 ratios will be lower and the confidence limits will be
3 tighter. If Lloyd were to do the same calculations
4 excluding Holdright, the effect sizes will be bigger
5 and the p-values will be more extreme. I'm sure the
6 p-value of 0.06 will be well less than 0.05. In a
7 sense what Lloyd has done is more conservative than if
8 you take Holdright out.

9 DR. FLEMING: What we have -- and the
10 committee can look at page 28 -- what we have are six
11 studies. There is not a single study in this group
12 that provides a substantial amount of data with an
13 event rate that is remotely close to what we are
14 seeing in the OASIS trial. I worry about the
15 interpretability of what is base in Holdright. I have
16 serious concerns about how to interpret that, 27
17 percent in the heparin arm.

18 If you pool the other five, the big
19 concern that I have isn't that those are individually
20 invalid. They give us a totality of 13 events. These
21 are exactly the types of experiences that you would
22 expect could readily have not been published.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. FISHER: But although there are other
2 concerns as has been expressed, one can also make the
3 argument fairly strongly based on FRIC plus FRISC
4 alone throughout all of Oler.

5 DR. FLEMING: And the critical study there
6 is FRISC because that's our linkage, what we are
7 really crying out for here.

8 DR. FISHER: No, you're saying it's
9 critical because that has the big non-one estimate.

10 DR. FLEMING: No, Lloyd. It's because
11 what ultimately we have to do is we have to get a
12 comparator to ask for alone and that is what FRISC is
13 providing.

14 DR. YUSUF: You raise two issues, Tom,
15 which I would like to respond to. The first is
16 whether these trials are biased in any way. That is
17 a very hard one to answer but I can answer it. We've
18 done three things to look at it not because of this
19 presentation, because we have a paper coming out in
20 the Lancet where we've done a meta-analysis of all the
21 unfractionated heparin trials and all the low-
22 molecular weight heparin trials.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 All we found was 100 more patients in the
2 unfractionated heparin trials. In doing this we wrote
3 to everybody who did unfractionated heparin trials.
4 We wrote to every company that had unfractionated
5 heparin. We also wrote to the Cochran database. We
6 did as an exhaustive literature search as is humanly
7 possible. The second point I wanted to make --

8 DR. FLEMING: My concern is getting away
9 from a literature search.

10 DR. YUSUF: That's why we wrote to people.
11 The companies that did the trials, the Cochran
12 database, and people that we knew were interested in
13 unstable angina asking them, "Do you know if a trial
14 was missed?" Several of you know that is the approach
15 we take. It takes years to do that but we really did
16 that and we couldn't honor extra data. This doesn't
17 mean I can guarantee there aren't trials out there.
18 This just means we did the best humanly possible and
19 it doesn't alter this.

20 The second point is the event rates does
21 not invalidate -- variable event rates in different
22 trials does not invalidate the estimates that you

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 derive from meta-analysis. For instance, within a
2 given trial, you can have low-risk patients with a one
3 percent event rate and high-risk patients with a 10
4 percent event rate and you calculate an overall
5 treatment effect because the odds ratios are
6 transportable.

7 DR. FLEMING: That's what you have to
8 assume. You have to assume that the overall effective
9 intervention is independent of many other factors that
10 are strikingly influencing event rates.

11 DR. YUSUF: And where we have a lot of
12 data, for instance, we have the data with beta
13 blockers. We have the data with aspirin. We have the
14 data with cholesterol lowering. Lots of areas where
15 you do have good data, that assumption --

16 DR. FLEMING: But we don't have the data
17 with heparin. You've just indicated we don't. The
18 critical question at hand here is heparin. Is,
19 heparin, in fact, providing a 10 percent, 20 percent,
20 40 percent reduction. That's the question at hand.
21 Not aspirin, not anything else.

22 DR. YUSUF: Tom, I'm talking for general

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 principle, the general principle being absolute event
2 rates don't invalidate the meta-analysis or the
3 estimates within the totality of a single trial
4 because different subgroups can have different event
5 rates. We all agree we wish we had more data on
6 infractionated heparin. We are in this unfortunate
7 situation, difficult situation, where clinicians are
8 absolutely convinced that unfractionated heparin
9 should be used. We did pull 500 centers before we
10 started this trial. Can we do this trial with this
11 placebo? Unanimously not one center said we could do
12 it versus placebo. Whatever the reason, the people
13 are convinced this works and the modest amount of data
14 that there is, which is not ideal by any means, is
15 what you have in front of you, and is supported.

16 DR. FLEMING: What you're describing is,
17 in fact, the very common and unfortunate but classical
18 limitation that we encounter as we attempt to do
19 putative placebo assessments. It's exactly as you
20 say. Very often we're in this circumstance.

21 DR. YUSUF: Tom --

22 DR. FLEMING: The fact that there are, in

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 fact, no other data doesn't, though, allow us to say
2 the data that are here are more reliable than they
3 otherwise would be. The critical limitation of a
4 putative placebo argument is that you've got to come
5 up with an estimate that is reliably predicting what
6 the effect of that putative placebo would be in the
7 population and if you see an event rate that is five-
8 fold or eight-fold larger than in your trial, it's
9 immediately clear that the circumstances of the other
10 trial are very different. You are having to assume
11 that the odds ratio effect of heparin in that setting
12 would be like what you have no data on which is the
13 odds ratio in your setting for the effect of heparin.

14 DR. YUSUF: That is an assumption that we
15 have to make like a tautology. One little point, Tom,
16 just to point out. It's the page 28 table you pointed
17 out. Holdright had an unusually high event rate but
18 that is a trial that showed the least treatment
19 effect. We took the rest of the data, the number of
20 events of 13 versus 20, on approximately similar
21 numbers of similar people in the autumn group. Yes,
22 you immediately point out they are tiny numbers but

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 that's all we have.

2 DR. FLEMING: Tiny numbers and now with a
3 very low event rate lower than the OASIS trials.

4 DR. YUSUF: Yes, but, you see, we agreed
5 in principle the event rate does not effect the
6 treatment effect size across most treatments that
7 we've been able to examine.

8 DR. PACKER: Let me try to do the
9 following. I think that the committee has heard and
10 understands fully well what the issues are and it is
11 unlikely that we will be enlightened further by
12 discussions on this issue. What I would like to do is
13 ask Dr. O'Neill for his comments on it because that
14 would be, I think, helpful to our deliberations.

15 DR. O'NEILL: I think what you've heard
16 over the last hour, hour and a half, is a discussion
17 of a method that Lloyd Fisher has proposed for
18 imputing a placebo. There are two aspects to that
19 method. One is relying on meta-analyses and various
20 strategies for the meta-analyses which includes which
21 studies go into those meta-analyses.

22 I think you've heard from Tom Fleming that

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 there is a concern that there is a fair amount of
2 heterogeneity in sample size as well as background
3 event rates among those studies. How they all got in
4 there is your guess. They are from the literature and
5 it's well known that lots of studies don't get into
6 the literature. If you are negative, you don't get
7 into the literature. That's the point Tom was trying
8 to make.

9 The other point is the fact that the
10 imputation strategy separate from the meta-analyses
11 has an extremely strong assumption. What you've heard
12 from Sue-Jane Wang is an effort that has been going on
13 at the FDA ever since the "imputed placebo strategy"
14 has been thought about to deal with what is a tough
15 problem. We have a lot of concerns that no one has
16 gotten it right yet.

17 In this particular instance this method
18 hasn't gotten it right is an extremely strong
19 assumption. The assumption is that everything is the
20 same and would be the same in this current study as it
21 was in "the historical meta-analyses trials." I think
22 it's pretty apparent that Tom Fleming has pointed out

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 that there is a lot of differences in heterogeneity in
2 the studies. That's the bottom line.

3 Sue-Jane has said when there is
4 heterogeneity, you're false/positive rate is very
5 high. So the issue then is you can't believe any of
6 these p-values that you've been seeing. They can be
7 inflated dramatically. How much we don't know but
8 this is very early in the first and second stages of
9 what I would call this imputed placebo strategy
10 effort.

11 We're in the second inning of a nine-
12 inning game. This is a methodology that is being
13 proposed and there are so many unknowns. There are
14 more questions than answers. To make a major decision
15 on the basis of this, that's not to say that it's not
16 a worthwhile effort but there are a lot of things that
17 I think have been pointed out that empirically justify
18 the concerns.

19 You need to think about why people are
20 analyzing in the literature heterogeneity and meta-
21 analyses. They take the very simplistic approach.
22 While Salim might say that the treatment effect is

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 independent of the control rate, I don't think so.

2 There's a lot of effort around in meta-
3 analyses which show that your treatment response is
4 related to what your background event rate is. We've
5 seen in this collection of the three studies of the
6 Older meta-analyses or even within the context of the
7 OASIS-1 and OASIS-2 so differences in the event rates.

8 What Sue-Jane Wang was saying is the
9 inference on this imputation strategy is extremely
10 sensitive to that. I think empirically there is
11 enough evidence for that. FDA had done a little more
12 than was presented and, in the interest of time, not
13 all the slides were gone through.

14 You have to realize this is tough
15 sledding. This is not simple stuff. The material
16 that Lloyd Fisher presented in the application needs
17 a lot of thought. We put a fair amount of thought
18 into it and we have a lot of concerns and I think you
19 have seen part of the concerns from Sue-Jane Wang and
20 that's what the bottom line is.

21 DR. PACKER: Dr. Koch, we'll ask you to
22 respond. Please, we are desperate for time so we'll

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 ask you to be to the point.

2 DR. KOCH: Yes, I will be to the point.
3 It's responsive to something that Dr. Califf asked.
4 He asked whether there were any sensitivity analyses
5 done. Yes, there were sensitivity analyses done but
6 they were done by a different method. They were done
7 by basically asking the question that had aspirin been
8 the control group in the OASIS-2 study, what is a
9 reasonable number to expect as the additional number
10 of people with death or MI in OASIS-2.

11 To do that we assumed different values of
12 relative risk for heparin versus aspirin. The
13 conservative estimate that we used was in the vicinity
14 of .8 top .85 at the higher end of the confidence
15 limits that Dr. Fisher showed.

16 We also made the assumption that anybody
17 who had a failure on heparin would have also failed on
18 aspirin as well. We then used the event rate on
19 heparin to estimate a conditional probability of
20 failing on aspirin given that you did not fail on
21 heparin. We used a lower-bound confidence interval on
22 the heparin rate when we did that.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 We also calculated a lower-bound on the
2 number of additional aspirin type patients who would
3 have failed had aspirin been the comparator. It would
4 have been at least 25 to 35 and p-values that you get
5 when you do that are below 01.

6 Obviously, if you make stronger
7 assumptions about how good heparin is, the results get
8 stronger and this methodology can be shared with the
9 FDA if there is an interest in this as an alternative
10 approach. It was mainly done to simply verify the
11 kinds of information that we were getting from the
12 meta-analysis and the putative placebo analysis that
13 Dr. Fisher presented.

14 DR. PACKER: Marv, quickly.

15 DR. KONSTAM: Well, I was just going to
16 ask about the sensitivity analysis because I think the
17 situation that we're in is that the community is
18 practicing in a way that is not condoned by the FDA.
19 The data that's been shown to us I gather represents
20 the entirety of data upon which we can estimate the
21 degree to which heparin, in fact, is acting. With all
22 the caveats of the problems with that data, I think it

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 helps me in figuring out how I'm going to come down on
2 this to really see what our point estimate of heparin
3 effect is influences the value of our drugs. I don't
4 know if it's worth showing a slide of that.

5 DR. PACKER: I have to take the chairman's
6 prerogative and simply say that there is a sensitivity
7 analysis in the documents which have been presented to
8 us. Is that not correct?

9 DR. KONSTAM: No. We haven't seen a slide
10 of it.

11 DR. PACKER: Oh, we haven't seen a slide
12 of it.

13 DR. KOCH: There is a slide that the
14 sponsor prepared with a somewhat different kind of
15 sensitivity analysis. What I described was a
16 different way of doing the sensitivity analysis that
17 I did independently which shows basically the same
18 thing.

19 The point is if you're willing to accept
20 on the basis of what you saw from FRISC/FRIC and Oler
21 that a reasonably conservative estimate of the
22 relative benefit from heparin is a risk ratio of .8

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 moving up towards .85, then there's different ways in
2 which you can combine that with the OASIS-2 study to
3 produce p-values that start moving down below 01 and
4 you just have to decide.

5 DR. KONSTAM: In fact, Gary, you've
6 already got it. It's in the OASIS-2 analysis.
7 Basically just look at the confidence interval for the
8 relative risk and see and inferiority analysis if it
9 excludes what you consider to be where the placebo
10 would reside.

11 DR. KOCH: Yes. Or if you're interested
12 in the superiority to aspirin, you simply multiply the
13 confidence interval by .8 on both sides.

14 DR. PACKER: Marv, I just want to clarify
15 one thing. I don't think that the FDA takes any
16 specific position on the efficacy of heparin so that
17 it's not that physicians are practicing or not
18 practicing the way which the agency would condone.

19 DR. KONSTAM: All I said is it's not
20 condoned. In other words, the FDA has not indicated
21 that it's effective therapy.

22 DR. PACKER: I think that the only thing

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 that one can do is take the data that exist with
2 heparin and we have seen that data presented. The
3 limitations of the analyses that have been presented
4 have already been described by many individuals.

5 It is the only data that exist and the
6 question that we need to address and in part
7 intuitively is whether the limitations which are known
8 to accompany the kind of analyses are of sufficient
9 concern to us that they would weaken an argument that
10 lepirudin is effective had it been compared to
11 placebo. In other words, the limitations that are --
12 I think, Lloyd, you agree with the limitations which
13 have been presented. Is that correct?

14 DR. FISHER: Yes, yes. None of us can
15 generate more data or data that were acquired other
16 than how it was. That is certainly true.

17 DR. PACKER: I can see actually no
18 philosophical or mathematically important differences
19 in the two views which have been presented. It is
20 really up to us to determine whether the assumptions
21 which are inherent in the method that Lloyd has
22 presented are of sufficient concern to us to effect

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 conclusions regarding the efficacy of lepirudin versus
2 placebo. Is that fair?

3 DR. FISHER: In that the estimated
4 magnitude of the estimates are so far off. I'll
5 mention briefly what I mentioned before. Behind you
6 on the screen, the estimation of the percent of the
7 estimated heparin effect preserve with the confidence
8 intervals.

9 This doesn't directly affect that but you
10 can mentally slide things down quite a bit and you'll
11 still look good relative to the estimate of heparin.
12 Now, you have to decide if that heparin estimate has
13 any validity or, in your own mind, what you think is
14 going on.

15 DR. PACKER: We are not going to become
16 smarter.

17 Tom, you're going to get the last word.

18 There is no mechanism of becoming smarter
19 today. The data that we have are the only data we
20 have and the assumptions that are inherent in the
21 model have been described and outlined and agreed to
22 by all concerned. Tom.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. FLEMING: I'm just concerned that we
2 haven't discussed safety.

3 DR. PACKER: We're going to do that right
4 now. Can we present safety, please?

5 DR. LUZ: Since patients treated with
6 antithrombotic agents are known to be at increased
7 risk of suffering hemorrhagic adverse events -- sorry,
8 I missed that slide. My talk will be structured as
9 follows. First, I will give you an overview of the
10 safety data collected and the definitions used in the
11 OASIS studies before I turn on to discuss the
12 individual findings for bleeds, strokes, and other
13 adverse events.

14 Due to the known increased risk of
15 bleeding in patients treated with antithrombotics, the
16 key focus of the safety evaluation in the OASIS
17 studies has been on the occurrence of minor and major
18 bleeding events.

19 A second focus has been on the occurrence
20 of stroke. In particular, hemorrhagic stroke. Both
21 major bleeds and strokes were essentially adjudicated
22 by the blinded adjudication committees of the studies.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Consistent with the short half-life of the
2 drug and the short duration of treatment in the
3 trials, the focus of both the safety evaluation and
4 this presentation will be on the initial seven-day
5 period.

6 The OASIS study protocols prospectively
7 define major and minor bleeds. According to these
8 definitions, major bleeds were all those bleeds that
9 were fatal, life-threatening in the opinion of the
10 investigator, bleeds that required surgery or
11 transfusion of at least two units of blood or blood
12 products, or those that again in the opinion of the
13 investigator were permanently or significantly
14 disabling. Minor bleeds were all those that were not
15 major.

16 Before CCC, the coordinating office of the
17 trial in Hamilton and blinded the study, they
18 recognized that in a number of cases investigators had
19 specified life-threatening as the only criterion of
20 major bleed without any objective evidence to support
21 this classification.

22 In particular, many of these bleeds were

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 not fatal, not intracranial, nor did they require
2 surgery or transfusion. Therefore, for the analyses
3 CCC introduced a new objective definition of life-
4 threatening bleeds. That includes all bleeds that are
5 fatal, intracranial, required surgery, or transfusion
6 of at least four units of blood or blood products.
7 This definition has been adopted by Aventis for our
8 own analyses.

9 Stroke was defined in the OASIS study
10 protocols as the presence of new focus neurological
11 deficit thought to be vascular in origin with signs or
12 symptoms lasting more than 24 hours. Three types of
13 stroke were differentiated; hemorrhagic stroke,
14 ischemic stroke, and stroke of uncertain type.

15 Importantly in the analyses of bleeds,
16 both hemorrhagic and uncertain strokes were counted as
17 intracranial bleeds in order to avoid any potential
18 underestimation of the frequency of intracranial
19 bleeds.

20 I will now turn to discuss the bleed
21 findings first from OASIS-1 and then from OASIS-2.
22 This slide gives you the most important bleeding

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 findings from the OASIS-1 study. As you can see on
2 the left-hand side of the slide, there was a clear and
3 dose dependent increase in the rate of minor bleeds
4 from 10.6 percent in the heparin group to 16.3 percent
5 in the low-dose lepirudin group, and 21.5 percent in
6 the medium-dose lepirudin group.

7 In contrast, there was no difference in
8 the occurrence of major bleeds and the absolute rates
9 of major bleeds were low in all three treatment
10 groups. This is also reflected if one looks at the
11 subcategories of nonlife-threatening and life-
12 threatening major bleeds which all occurred at low and
13 similar frequencies in all three treatment groups.

14 This is the same presentation for the
15 OASIS-2 study. Again, there was a highly significant
16 increase in the rate of minor bleeds from 4.5 percent
17 to 7.7 percent. You will note, though, that the
18 absolute incidences were much lower than in the OASIS-
19 1 study.

20 Although there was a similar relative
21 increase in the incidents of major bleeds that also
22 reached the level of statistical significance, the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 absolute rates of major bleeds were low and, in fact,
2 as low as in the OASIS-1 study.

3 As you can see on the right-hand side in
4 the right half of the slide, the entire difference in
5 major bleeds was accounted for by nonlife-threatening
6 major bleeds but occurred at a frequency of .3 percent
7 in the heparin group and .8 percent in the lepirudin
8 group.

9 In contrast, there was no difference
10 between the groups in the occurrence of life-
11 threatening bleeds but were observed at a frequency of
12 .4 percent in both groups.

13 This is a break-down of major bleeds at
14 seven days by the categories that were provided in the
15 data collection forms. As you will note, the
16 incidences of fatal bleeds, intracranial bleeds, and
17 bleeds that required surgery were, in fact, low and
18 similar in both groups. Of note, among the five
19 intracranial bleeds, there were four uncertain strokes
20 and only one confirmed intracranial bleed that was
21 observed in the heparin group.

22 Most of the major bleeds were managed with

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 transfusions of at least two units of blood and there
2 was a statistically significant difference between the
3 groups with the higher incidents observed in the
4 lepirudin group.

5 Similarly, there were appreciable
6 differences between the groups in the occurrence of
7 bleedings that in the opinion of the investigator were
8 permanently or significantly disabling or life-
9 threatening.

10 Looking at the leading sources or
11 locations of bleeds, you will note that the leading
12 sources that also accounted for most of the difference
13 between the two groups were gastrointestinal and
14 hematuria. The relatively low rates of surgical and
15 puncture site related bleeds should be interpreted on
16 the background of the relatively low intervention rate
17 during the infusion.

18
19 Among the other major bleeds that are not
20 further specified here, there is a total of three
21 retroperitoneal bleeds, two in the heparin group, one
22 in the lepirudin group, and no intraocular bleed.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 With respect to minor bleeds, the leading
2 source was puncture site related bleeds. Here there
3 was no difference or the incidents were similar
4 between the two groups. Across the vast majority of
5 all other sources of minor bleeds the overall pattern
6 that showed an increased risk of minor bleeds in the
7 overall population was also reflected in the
8 subgroups.

9 We also performed a substantial site of
10 subgroup analyses that were all prespecified in the
11 statistical analysis plan. The subgroup analyses were
12 done on hemorrhagic adverse events as opposed to
13 bleedings. Hemorrhagic adverse events included
14 obviously all bleeding events but in addition also
15 events that were not bleedings themselves but
16 associated with a certain risk of bleeding. In
17 particular, false vascular aneurysms.

18 Over all the pattern of hemorrhagic
19 adverse events across the subgroups was reflective of
20 the overall pattern in the total study population.
21 There were, however, two subgroups with
22 disproportionately increased rates of hemorrhagic

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 adverse events, namely patients with a baseline
2 creatinine of more than 1.5 milligrams per deciliter
3 and patients weighing less than 50 kilograms.

4 These are the findings. As you will see,
5 while there was no appreciable difference in the
6 incidence of hemorrhagic adverse events in the heparin
7 group between the subgroup levels for baseline
8 creatinine, lepirudin patients with a high baseline
9 creatinine had, in fact, a disproportionately high
10 rate of hemorrhagic adverse events.

11 The same observation can be made for
12 weight where there were only minor differences for
13 heparin but, again, a disproportionately high rate in
14 lepirudin patients weighing less than 50 kilograms.

15 As I pointed out earlier today, patients
16 were to be excluded from participation in the trial if
17 they had renal insufficiency as assessed by a
18 creatinine level of at least 2.0 milligrams per
19 deciliter. If at any point during study infusion
20 elevated creatinine levels were found, the infusion
21 dose was to be reduced by 50 percent starting at
22 levels of 2.0 and to be terminated with levels

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 exceeding 2.5 milligrams per deciliter.

2 There was no weight adjustment below 50
3 kilograms in the trial so the adjustment was only made
4 in the range between 50 and 100 kilograms. Based on
5 the subgroup findings that I just presented, we now
6 conclude that obviously a dose adjustment would be
7 needed in patients with elevated baseline creatinine
8 starting at a level of 1.5 milligrams per deciliter
9 and the weight adjustment should cover the entire
10 weight range including low body weights.

11 We've also investigated the potential
12 impact of warfarin, indirectly assessed the impact of
13 warfarin on the overall study results. You've seen a
14 similar presentation in Dr. Yusuf's talk. This is a
15 comparison of the overall study results with the
16 results in patients who did not receive warfarin.
17 First for minor bleeds in the top half of the part and
18 then for major bleeds in the bottom part of the slide.

19
20 As you can see, the difference in the risk
21 ratios was, in fact, very moderate with the 95 percent
22 confidence intervals overlapping widely. At least the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 data would indicate that the additional warfarin did
2 not substantially increase the risk of bleeding in
3 lepirudin patients.

4 Beyond seven days both in the period
5 between eight and 35 days and 36 to 180 days the
6 extent of bleeding was considerably lower than during
7 the first seven days and there were no appreciable
8 differences between the groups in any of these two
9 periods.

10 In the following I will be discussing the
11 stroke findings again starting with OASIS-1 and then
12 moving on to OASIS-2. These are the stroke findings
13 from OASIS-1 at seven days on the left-hand side and
14 35 days on the right-hand side. You will note that
15 the number of strokes and the incidences were very low
16 and there were no differences between the treatment
17 groups.

18 In OASIS-2 at seven days the overall
19 stroke rates were relatively low and almost identical
20 in both groups. Similarly, there were no differences
21 in the occurrence of hemorrhagic stroke, ischemic
22 stroke, and stroke of uncertain type. Importantly,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 there was no single hemorrhagic stroke in the
2 lepirudin group in both OASIS studies during the first
3 critical seven days.

4 At 35 days there was a slight imbalance in
5 the overall stroke rate between the two groups with a
6 slightly more strokes observed in the lepirudin group.
7 The difference did not reach the level of statistical
8 significance though. However, there were contrasting
9 effects on subcategories of stroke that I will explain
10 to you in the following.

11 Hemorrhagic strokes were observed less
12 frequently in the lepirudin group than in the heparin
13 group, the difference being statistically significant.
14 Similarly, ischemic strokes were observed more
15 frequently in the lepirudin group than in the heparin
16 group, and again there was a statistical significance
17 associated with the difference.

18 These findings are currently unexplained
19 given that in the period beyond seven days there was
20 no difference between the treatment groups in other
21 hemorrhagic events, and given that during the same
22 period there was no difference between the groups in

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the occurrence of other ischemic events, namely
2 cardiac ischemic events. It is difficult to explain
3 these findings.

4 If one compares the findings for ischemic
5 stroke from the OASIS-2 study with literature reported
6 data that are available from the GUSTO-IIb study
7 looking only at patients who did not present with ST
8 elevation at baseline and the PURSUIT study, it
9 becomes clear that the .7 percent rate of ischemic
10 stroke observed in the OASIS-2 study is consistent
11 with the findings from these other studies.

12 In contrast, the .3 percent rate observed
13 in the heparin group of the OASIS-2 study is
14 surprisingly low against the background of the
15 literature reported data.

16 In the following I will very briefly
17 discuss the findings for other adverse events. This
18 is just a summary slide indicating that there were no
19 differences in nonhemorrhagic events at seven days
20 between the two treatment groups and for serious
21 nonhemorrhagic adverse events. If anything, the rate
22 was slightly lower in the lepirudin group than in the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 heparin group.

2 Reassuringly given that lepirudin is a
3 heterologous protein, there were no differences
4 between the groups in nonserious or even serious
5 allergic reactions.

6 In summary, at seven days there was excess
7 in minor and nonlife-threatening clinically manageable
8 major bleeds in the lepirudin group. There were no
9 differences in life-threatening bleeds, no difference
10 in stroke, and no difference in the occurrence of
11 other adverse events.

12 At 35 days there was again no or only a
13 slight difference in the occurrence of total stroke.
14 However, there were contrasting effects on
15 subcategories of stroke that occur in the unexplained.
16 There were no differences in the occurrence of other
17 adverse events at that time point. Thank you for your
18 attention.

19 DR. PACKER: We'll begin questions with
20 our primary reviewer, Dr. Borer.

21 DR. BORER: You had approximately just
22 slightly less than, I guess, 100 patients in each arm

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 of the study who underwent coronary artery bypass
2 grafting after the infusion was begun -- after either
3 infusion was begun so there are about 200 patients
4 total. I don't know how many of those patients were
5 operated on within 24 hours of cessation of the
6 infusion. I would like to know that.

7 Then I would like to know what the
8 perioperative mortality rates were in the patients who
9 were on lepirudin versus on heparin, the whole group
10 or the 100 versus 100, and for those who are operated
11 on within 24 hours of stopping the infusion. I would
12 like to know the blood products used in those two
13 groups as well.

14 I would like to know how many patients
15 were brought back to the operating room for bleeding.
16 Not complication but for a post-op bleeding in the two
17 groups, if you can compare them.

18 DR. LUZ: Let me start with the last part
19 of your question. As you saw in the breakdown of
20 sources of major bleeding, there were only eight
21 versus seven surgical bleeds.

22 DR. BORER: No, no. I'm not asking about

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 surgical bleeds. I'm not even sure you captured the
2 data I'm asking about, but once somebody went to
3 bypass grafting, I assume they were censored. Is that
4 correct?

5 DR. LUZ: Let me first show you the data
6 that we have on PCI during infusion. 026, please.
7 This is just a flowchart to show you how many patients
8 underwent PCI or CABG during study infusion. If you
9 just focus on the bottom part of the slide, there is
10 a very small number in both groups that actually
11 underwent CABG or PCI during infusion of active study
12 medication.

13 This slide summarizes the findings for
14 these patients. It's a bit crowded and complicated.
15 I have tried to walk you through that slide. For both
16 heparin and lepirudin you have three columns. First,
17 the events in all patients, then events that occurred
18 before the intervention, and events that occurred
19 after intervention.

20
21 The top two rows give you the incidences
22 of CV death MI and CV death MI refractory angina. To

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 focus on this first, one can see that the total that
2 the overall incidents of both endpoints was higher in
3 the heparin group than in the lepirudin group.
4 The same observation can be made both for events that
5 occurred before intervention and after the
6 intervention. Now, if one moves on to discuss safety,
7 the first and most important observation that one can
8 make is that there were no major bleeds in any of
9 these patients.

10 The second point is that there were, in
11 fact, only minor differences in the incidences of
12 minor bleeds. In fact, the incidences of minor bleeds
13 were higher overall in the heparin group than in the
14 lepirudin group. Again, this mild trend was observed
15 both for events for and after the intervention. It's
16 a small database but it is all we have during
17 infusion.

18 DR. BORER: What is the intervention?

19 DR. LUZ: Pardon me?

20 DR. BORER: Can you define intervention
21 for me? The intervention is the angioplasty or the
22 bypass graft?

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. LUZ: Yes, it's either CABG surgery or
2 PDCA.

3 DR. BORER: Do you have data to answer the
4 question I asked. That is, to compare the 100
5 patients that were operated on after lepirudin versus
6 the 100 patients that were operated on after heparin.

7 DR. LUZ: No, I don't have the data.

8 DR. BORER: Okay. I think that those data
9 should be made available to the FDA because --

10 DR. LUZ: We'll be glad to.

11 DR. BORER: -- it's exactly in that
12 population that we are now seeing important problems
13 with other clot active agents that are being used for
14 acute coronary syndromes.

15 DR. PACKER: Joann.

16 DR. LINDENFELD: The overall rate of the
17 angioplasty or coronary angiography in the first three
18 days of the study during the infusion was what? Very
19 low.

20 DR. LUZ: It was just the 100 patients so
21 about --

22 DR. LINDENFELD: Again, just as we have

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 concerns about bleeding. The most common cause of
2 bleeding, of course, is intervention and this had a
3 very low rate of intervention. I think we just have
4 to be aware that we'll see substantially more bleeding
5 if there is more with more interventions as we would
6 see in the states.

7 DR. YUSUF: (Inaudible. Off microphone.)

8 DR. CALIFF: I think Joann's point is
9 relevant to U.S. practice that these data are not very
10 relevant because intervention is almost always done
11 the first 48 hours and that makes it difficult.

12 DR. LINDENFELD: And we know that the rate
13 of bleeding if it is higher will be substantially
14 higher with an intervention.

15 DR. CALIFF: Whether that's the
16 appropriate treatment pattern or not is a different
17 issue.

18 DR. PACKER: Ileana.

19 DR. PIÑA: In the FDA review that we've
20 received, there is a listing of adverse events
21 resulting in discontinuation of infusion. It seems
22 that the hemorrhagic events are twice as many in the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 lepirudin group. Are those hemorrhagic -- but you've
2 concluded that there were no excess major bleeds. Is
3 that part of your going back into the documents and
4 finding that some of the events that were classified
5 as major bleeds were indeed not?

6 DR. LUZ: No. The determination of
7 whether a bleed was major or not was purely based on
8 the information that was collected on the major bleed
9 form. We had prespecified criteria that the
10 investigators had to check and depending on whether or
11 not there was a check mark, the bleeding was reported
12 as major and, therefore, further adjudicated or not.

13 DR. PIÑA: To me a hemorrhagic event that
14 leads to the discontinuation of the infusion in my
15 estimate would say that the investigator felt that it
16 was significant enough to stop the infusion. Then I
17 think that the follow-up to Jeff's point that when you
18 do have bleeding with this agent, what do you give?
19 Do you give fresh/frozen plasma? Do you give
20 products? Do you give whole blood? What do you give?
21 You've got time before your half-life has dropped.

22 DR. YUSUF: The most common thing was just

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 to stop the infusion. That's what people did. The
2 next most common was give blood. In no case in the
3 whole study was ultracentrifugation needed, although
4 that was one of the things we told people. Everything
5 was handled by giving blood or stopping the
6 medication.

7 DR. LUZ: In fact, the important point is
8 in the vast majority of cases the discontinuation of
9 infusion per se was sufficient because no transfusion
10 was needed. The overall frequency of transfusion was
11 in the range of .4 percent. The early
12 discontinuations were obviously much more frequent.

13 In fact, we believe that no difference in
14 the occurrence of life-threatening bleeds might have
15 to do with the early discontinuation that in cases
16 with minor bleeds or ongoing bleeds could be stopped
17 simply by terminating the infusion.

18 DR. PIÑA: And were any of these
19 hemorrhagic bleeds people who received thrombolytic
20 therapy or people that ended up going to
21 interventions? Do you have that data?

22 DR. LUZ: We have very few patients who

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 concomitantly received thrombolytic agents. The vast
2 majority of patients who suffered bleed did not
3 receive thrombolytics.

4 DR. PACKER: Rob and then Marv.

5 DR. CALIFF: Two questions. One is if you
6 just take needing one or more units of blood
7 transfusion in the two groups, what does that look
8 like? Any transfusion?

9 DR. LUZ: Well, in fact, I think we have
10 only two cases where only one transfusion was given in
11 the vast majority. This makes sense from a medical
12 point of view there were at least two transfusions.

13 DR. CALIFF: We have those numbers.
14 There's an excess of transfusion in the --

15 DR. LUZ: Yes.

16 DR. CALIFF: And the second question what
17 would the recommendation be for someone who needs to
18 undergo urgent bypass surgery in this circumstance?

19 DR. YUSUF: (Inaudible. Off microphone.)

20 DR. CALIFF: So you stop and wait four to
21 five hours to go to surgery.

22 DR. YUSUF: This is a matter of great

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 concern at the beginning, especially at our center.
2 Our IRB held up our protocol. Then the chairman
3 called Eric Toppel and I think talked to Chris Granger
4 as well. Then we came up with the strategy that all
5 you do is wait four to five hours.

6 DR. PACKER: Marv.

7 DR. KONSTAM: You know, looking through
8 the briefing document, I understand there are two sets
9 of data with regard to life-threatening bleeds. I'll
10 just say my understanding and you tell me if I've got
11 it wrong. There was an investigator driven definition
12 of life-threatening bleed and then you redefined life-
13 threatening bleed so the data came out two different
14 ways. But if you stick to the original investigator
15 driven definition of life-threatening bleeds, there
16 were 23 in the lepirudin group and 12 in the heparin
17 group for a p of .089. Is that right?

18 DR. LUZ: Perhaps we can look at the data
19 and I can try to shed some light on that. 079. This
20 is a breakdown of bleedings that were reported as
21 life-threatening by the investigator. I see you just
22 pointed out there were 23 such cases in the lepirudin

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 group and 12 in the heparin group.

2 Applying the objective definition of life-
3 threatening, the counts were nine in heparin and seven
4 in lepirudin.

5 DR. KONSTAM: Can I stop you? When did
6 you come to that definition? The objective
7 definition. When did that appear?

8 DR. LUZ: The definition was introduced
9 when the investigator group in Hamilton before
10 unblinding the study --

11 DR. KONSTAM: Before unblinding but they
12 knew there were a lot of bleeds going on. In other
13 words, yes before unblinding but they had already --

14 DR. LUZ: The overall incidents of major
15 bleeds, as I pointed out, was as low as in the OASIS-1
16 study so from that point of view there was no reason
17 to be concerned.

18 DR. KONSTAM: Right, but you knew that you
19 had 35 cases that had been designated life-threatening
20 bleeds. You didn't know that?

21
22 DR. YUSUF: No. I'll tell you how this

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 happened, Marvin. This happened about six months or
2 so before the end of the study when the statistician
3 came to me and I wasn't aware of what was happening,
4 not the event rate or nothing, and said, "Look, we've
5 got several people that are calling events life-
6 threatening bleeds. They didn't get any IV fluids or
7 blood. They didn't have an intervention. They didn't
8 have anything and they didn't have lepirudin,
9 hematoma, nothing. What should we do?"

10 I said we should have an objective measure
11 not knowing what it will turn out to be. We had an
12 objective measure put in which is you need to have
13 either hemodynamic instability or the drop in
14 hemoglobin by more than five or needing allotropes or
15 needing a lot of blood transfusions or needing an
16 intervention. I had absolutely no idea what the
17 overall event rate was on the blinding and I didn't
18 know what had happened later.

19 DR. PACKER: Dr. Farrell who is the FDA
20 medical reviewer for the FDA.

21 DR. FARRELL: I just wanted to focus us.
22 I was afraid we were going to get off the subject of

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 ischemic strokes. When I looked at that, there's a
2 definite difference between days eight and 35. If you
3 are not in favor of lepirudin and if you actually look
4 and subdivide that into weeks, the majority of the
5 strokes occur between days eight and 14. This may be
6 a drug effect. It's not true for the heparin group.

7 DR. LUZ: It would be difficult to explain
8 it. Given that during exactly the same period the
9 incidents of coronary ischemic events were identical
10 in both groups, there was no indication of other
11 ischemic events ongoing. It seems surprising that the
12 drug should have a specific cerebrovascular effect
13 that causes ischemic strokes.

14 DR. KONSTAM: The designation of ischemic
15 stroke was by the investigator. Was there always
16 confirmation that there was not a bleed involved?

17 DR. LUZ: Yes. In 90 percent of all cases
18 the diagnosis was confirmed by CT or MRI and centrally
19 and blinded adjudicated. In the only six cases where
20 no CT or MRI was done, the diagnosis was uncertain
21 stroke. That was confirmed by the adjudication
22 committee. Actually, the adjudication committee

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 confirmed 70 of the 74 reported strokes.

2 DR. YUSUF: (Inaudible. Off microphone.)

3 DR. KONSTAM: Right, but there were a far
4 greater number of strikes that were designated
5 ischemic than there were designated hemorrhagic
6 bleeds.

7 DR. YUSUF: The deltas.

8 DR. KONSTAM: I understand.

9 DR. PACKER: Okay. I would like to -- I
10 see there are no other questions. We have a real need
11 to complete this meeting by 4:00. I hope we can do
12 that. I have asked Dr. Hirsh to markedly curtail his
13 presentation. His original presentation had 26 slides
14 for risk of benefit which, in all honesty, Jack,
15 probably sets an all time record as far as the
16 experience of this committee. You'll get extra credit
17 for every slide you skip.

18 DR. HIRSH: Okay. Thanks very much.
19 Let's go to the next slide. In this presentation
20 before going to the meat of the presentation, I'll
21 just briefly review the current antithrombotic
22 treatments for acute coronary syndromes.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Again, I'll just hit the highlights.
2 There's been this discussion about heparin plus
3 aspirin being more effective than aspirin alone. I
4 think the evidence is strong that heparin plus aspirin
5 is more effective than aspirin alone. The debate is
6 just how much more effective is it.

7 As you know, in enoxaparin, a low
8 molecular weight heparin, is more effective than
9 heparin and it was approved by this committee based on
10 the triple endpoint of all-cause death, myocardial
11 infarction, and refractory angina. It was approved on
12 the basis also of the putative placebo. There are two
13 of the lepirudin studies. The OASIS-1 and OASIS-2
14 have been shown to be more effective than heparin on
15 the basis of all-cause death, myocardial infarction,
16 and refractory angina.

17 Two other low molecular weight heparins
18 have been studied. Neither are more effective than
19 heparin and one fragment was approved on the basis of
20 a placebo control study and glycoprotein-IIb for
21 antagonists plus heparin as being compared with
22 placebo and heparin and both of them were approved.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Now, to go onto the key issues with
2 lepirudin and acute coronary syndromes is that, and
3 we've heard this many times, although the primary
4 endpoint for OASIS-2, which is the double endpoint of
5 seven days, was only borderline significant at
6 $p=0.086$. I believe the evidence is persuasive and
7 that hasn't changed. These slides were made before I
8 heard the presentation and I still believe this, that
9 lepirudin is more effective than heparin and I believe
10 the evidence is compelling that lepirudin is more
11 effective than placebo.

12 This is based on the two studies with the
13 triple endpoint at seven days, OASIS-1 and OASIS-2,
14 both significant risk reduction shown here.

15 On the pooled analysis of OASIS-1 and 2
16 for the double endpoint of seven days, which was
17 significant.

18 On the analysis that Lloyd Fisher did on
19 the putative placebo where lepirudin was superior to
20 putative placebo and was highly statistically
21 significant.

22 Now, Dr. Yusuf has discussed the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 consistency within class of the OASIS studies. What
2 I would like to do is discuss the overall consistency
3 and point out that lepirudin -- the data with
4 lepirudin are consistent with similar data from GUSTO-
5 IIb which evaluated desirudin.

6 This evaluation is based on OASIS-1 and 2,
7 lepirudin, GUSTO-IIb with desirudin. The endpoint was
8 all-cause death or myocardial infarction at or close
9 to the end of treatment. As you'll see on the next
10 slide, the RRR was 26 percent which was highly
11 statistically significant for the combined analysis.

12 This shows you the more detailed analysis
13 of those studies. I would like to point out that
14 OASIS-1 and 2, GUSTO-IIb and, of course, the combined
15 OASIS-1, 2, and GUSTO-IIb were all statistically
16 significant for the endpoint of all-cause death or MI
17 close to or at the end of the treatment period. We've
18 got to use this to compare this with the other forms
19 of treatment.

20 This hasn't been discussed yet so I would
21 like to spend just a little bit of time on this. This
22 is the discussion of the net clinical benefit with

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 lepirudin.

2 The evaluation of net clinical benefit, we
3 used pooled data from OASIS-1 and 2 using an
4 integrated endpoint to find all-cause death,
5 myocardial infarction, disabling stroke, and life-
6 threatening bleed. This integrated endpoint captures
7 the most serious efficacy and safety outcomes.

8 What this shows is that 7.6 of 1,000
9 patients treated did better with lepirudin than
10 heparin for the quadruple endpoint, all-cause death,
11 myocardial infarction, disabling stroke, and life-
12 threatening bleed. This was statically significant.

13 The integrated endpoint was expanded to
14 add all bleeds and add refractory angina. That' is
15 shown on the next slide which shows with the expanded
16 integrated endpoint, the benefit in favor of lepirudin
17 was 10.6 patients per 1,000 patients treated.

18 Dr. Yusuf discussed the durability of
19 benefit for OASIS-1 and OASIS-2. This is a
20 discussion, the durability of benefit using the
21 integrated quadruple endpoint. That is, the net
22 clinical benefit.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 As I mentioned, we used pooled data from
2 OASIS-1 and 2. What you'll see on the next slide is
3 the results 72 hours, seven days, 35 days, and 180
4 days. As you'll see, the effect was durable.

5 Dr. Yusuf has shown this slide. Just
6 concentrate on the numbers in the circle which is the
7 actual risk reduction. You can see there is neither
8 loss nor gain over the complete period of the study.

9 To move to my last point which is a
10 comparison of the relative efficacy and safety of
11 hirudin with two new classes of antithrombotics that
12 have been approved, low molecular weight heparin
13 specifically, enoxaparin, the glycoprotein IIb/IIIa
14 antagonists.

15 Now, these comparisons were indirect and
16 they have the limitation of being indirect but I
17 believe they are informative. Just to point out again
18 that hirudin and low molecular weight heparin were
19 compared with an active-control heparin where the
20 glycoprotein IIb/IIIa antagonists were compared with
21 placebo, both groups receiving heparin.

22 The outcome you'll see that is being shown

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 is the all-cause death of myocardial infarction at or
2 close to the end of treatment period. This is common
3 to all the studies.

4 This table shows the relative risk of the
5 p-value for the comparison of all hirudin versus
6 heparin, for enoxaparin versus heparin using the
7 double endpoint at or close to the end of treatment,
8 for all low molecular weight heparin versus heparin,
9 and for GP I put IIb/IIIa antagonists versus placebo.
10 The effect is significant for these three.

11 The reason it's not for all low molecular
12 weight heparin versus heparin because in two of the
13 studies, as you saw -- well, you saw one of them but
14 in two of the studies, one with FRAX heparin and one
15 with fragment, the low molecular weight heparin was no
16 different than heparin. Therefore, the relative risk
17 was brought close to the unity when those two were
18 added to the enoxaparin.

19 This shows the more detailed analysis.
20 You've already seen this with the significance for
21 these four including the essence in the TIMI-11B data
22 both of which cross the point of unity. The Antman

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 meta-analysis at eight days is significant. And this
2 shows the FRIC and the FRAX.I.S. data. The point of
3 this slide is that the order of magnitude of benefit
4 seen in the pooled analysis with the hirudin studies
5 is very, very similar to that seen in the Antman meta-
6 analysis.

7 This shows the same data for hirudin but
8 now comparing it with the glycoprotein IIb/IIIa. I
9 would like to concentrate particularly on the
10 comparison of the pooled data with the various studies
11 with hirudin and at 72 hours for the all-cause death
12 myocardial infarction and for the Kong meta-analysis.
13 Again, the risk reduction is of the same order of
14 magnitude.

15 One of the concerns with hirudin has been
16 bleeding. This is a summary of the major bleeding
17 rates in the OASIS-1 medium dose, OASIS-2, GUSTO-IIb,
18 the low molecular weight heparin studies I showed, and
19 the glycoprotein IIb/IIIa antagonists studies I
20 showed.

21 This, again, just points out that there
22 was a significant increase in bleeding with hirudin in

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the OASIS-2 and GUSTO-IIb. This is the GUSTO-IIb,
2 patients only without ST elevation, those not treated
3 with thrombolytic therapy.

4 The other point I would like to make is
5 that the order of magnitude of bleeding is similar
6 when one compares the three studies and compares the
7 four studies with low molecular weight heparin. In
8 three of the studies with glycoprotein IIb/IIIa
9 antagonists there was an increase in bleeding with the
10 test drive compared with the control.

11 These are my concluding slides. Although
12 the primary endpoint for OASIS-2, which was
13 cardiovascular death, myocardial infarction at seven
14 days, was only borderline significant. I still
15 believe that the evidence is persuasive that lepirudin
16 is more effective than heparin and I think it's very
17 persuasive, indeed compelling, that lepirudin is more
18 effective than placebo.

19 Within each OASIS study there's a
20 consistency of benefit across different endpoints and
21 the different time points. The effects are consistent
22 across both OASIS studies and data with lepirudin are

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 consistent single data from the GUSTO-2b study with
2 desirudin. There's enormous consistency. This
3 provides a totality of data.

4 The risk reduction with lepirudin is of
5 the same order of magnitude of enoxaparin. It is also
6 the same order of magnitude as the GP IIb/IIIa
7 antagonists.

8 As you've heard, there is an absolute
9 increase in major bleeding with lepirudin which is
10 small and similar to that seen with GP IIb/IIIa
11 antagonists. From the net clinical benefit, the
12 integrated clinical endpoint, the increase in bleeding
13 is more than offset by benefit from serious efficacy
14 outcomes.

15 So at the end of the day I still believe
16 that lepirudin represents an important addition to
17 currently available antithrombotic agents for
18 treatment of patients with acute coronary syndromes.
19 Thank you.

20 DR. PACKER: Thank you very much, Dr.
21 Hirsh. Questions? If not, the committee will proceed
22 directly to the questions that are before it. I'm not

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 going to read the introduction but I will go through
2 questions 1 through 6. We have absentee votes from
3 four members of the committee and we'll begin with our
4 primary reviewer.

5 The first question asks whether refractory
6 angina is an acceptable component as it is defined and
7 assessed in the OASIS-2 trial. We'll begin the voting
8 with Dr. Borer.

9 DR. BORER: Yes, I believe it is. First
10 of all, I think that in the OASIS-2 definition
11 intervention was a part of it so I think the question
12 may be slightly incorrectly worded but it doesn't
13 matter. Whether it did or didn't, most of the
14 patients who had an angina endpoint had an
15 intervention and I just don't believe this is an
16 issue. I think that the definition of angina is
17 acceptable and that it is an acceptable component of
18 the endpoint.

19 DR. PACKER: Does anyone -- we need to go
20 through this so we'll just start from Marv. Do you
21 want to say yes or no?

22

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. KONSTAM: Yes.

2 DR. FLEMING: Yes.

3 DR. LINDENFELD: Yes.

4 DR. CALIFF: Yes, but a comment that this
5 endpoint needs a higher level of statistical certainty
6 to be acceptable because it's less compelling than
7 irreversible endpoints.

8 DR. DIMARCO: Yes.

9 DR. PACKER: And yes. The vote of Dr.
10 Graboys is no, Dr. Grines yes, Dr. Piña no, and Dr.
11 Armstrong yes. The total vote is nine yes and two no.

12 Question No. 2. Has adequate evidence
13 been presented to demonstrate that the heparin
14 regimens used in the OASIS trials were effective in
15 the study population (patients with unstable angina or
16 acute MI without ST segment elevation)? We have
17 discussed this at great length. We need to vote. Dr.
18 Borer.

19 DR. BORER: Okay. Intuitively I think
20 heparin is likely to be better than placebo. I fully
21 support the use of historical data for creation of
22 comparisons to evaluate the efficacy of new therapies

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 when you can't do placebo control trials anymore.

2 However, I am very concerned that the
3 evidentiary base for the putative placebo here is
4 unacceptably weak and I have difficulty saying that
5 because it's in contra distinction to what Dr. Hirsh
6 said and there's no one in the world who is more
7 highly respected in this area than he is.
8 Nonetheless, I would have to vote no. I don't think
9 adequate evidence has been presented to demonstrate
10 that the heparin regimens were effective.

11 DR. PACKER: Okay. And we'll begin with
12 John on this side. We'll just go through the vote.

13 DR. DIMARCO: I'll agree with Jeff that
14 the evidence isn't great for heparin but I think it's
15 going to be really impossible to do a heparin/placebo
16 trial so I think we're stuck with what we have and
17 what we have is clinical practice so I will say this
18 is the standard regimen, this is standard use
19 clinically, and there is some evidence in support of
20 it so I would say yes.

21 DR. PACKER: Okay. We'll leave it at
22 that. Rob.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. CALIFF: I say yes also. The question
2 to me is not whether heparin is effective. It's how
3 effective is it. I think it's hard to tell how
4 effective it is but to me all the trials go the same
5 way.

6 DR. PACKER: Joann.

7 DR. LINDENFELD: I would say no. I'm just
8 not sure I could say specifically that heparin is
9 effective on the basis of the data we've seen.

10 DR. PACKER: Tom.

11 DR. FLEMING: Milt, I'm adjusting to the
12 world of this advisory committee. On other advisory
13 committees I don't get pinned to yes and no and as a
14 statistician it's awfully hard to make this
15 dichotomous. I will give you an answer but let me
16 precede it by saying that the OASIS study was a
17 wonderfully conducted study providing a large amount
18 of data giving us considerable insights about the
19 relative efficacy to heparin. The cliché is you can't
20 make silk out of a -- I would have to say the analysis
21 by Dr. Fisher was on target for what could be done
22 with the data that are available.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. PACKER: We shouldn't confuse issues
2 here. This is not a question about the agent which is
3 the target of this NDA. This is a question about
4 heparin. I just want to clarify that.

5 DR. FLEMING: I understand. I understand.
6 To finish the thought, the evidence as it has been
7 provided to us about heparin is inadequate. Not
8 because of the statistical methodology but because of
9 the available data. In essence my answer is no, the
10 data do not adequately demonstrate that the heparin
11 regimen was effective in essence, in my view.

12 Historical data and clinical insight would
13 have to establish that the effect is on the order of
14 10 to 12 percent at 35 days and it would have to be
15 based on that experience. I can only respond to the
16 data presented to us so my answer would be no.

17 DR. PACKER: Okay. Marv.

18 DR. KONSTAM: I'm going to vote yes but
19 I'm going to also say that I'm lowering my standards
20 to do so and it's in the context of the vote of public
21 opinion that the medical community feels
22 overwhelmingly that heparin is an effective agent in

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 this setting and that handcuffs the trials that can be
2 done.

3 Of the data that were provided, I would
4 say that the FRIC and FRISC combination are probably
5 more convincing than the Oler meta-analysis. I will
6 say under other circumstances that level of data would
7 not be sufficient for me to say this but in the
8 present context I'm going to vote yes.

9 DR. PACKER: I'm going to vote no. I just
10 want to emphasis that I do believe that heparin works
11 but the question does ask has adequate evidence been
12 presented which leads me to vote no. I'm not certain
13 that my vote is incompatible with those who have voted
14 yes.

15 The votes of Dr. Graboys yes, Grines yes,
16 Piña yes, Armstrong yes. The final vote is seven yes,
17 four no.

18 Third question. Do the data provide
19 adequate evidence of the effectiveness of Refludan for
20 its proposed indication? We'll begin again with Dr.
21 Borer.

22 DR. BORER: Again, intuitively as I said

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 about heparin and placebo based on the data we've
2 seen, intuitively I think that lepirudin is more
3 effective than heparin. I think the active comparison
4 is highly suggestive but because of my concern about
5 the putative placebo, I think that by itself it's not
6 really sufficient to support a reasonable conclusion.

7 It doesn't say it's not better but a
8 reasonable conclusion. It's a single trial. It's
9 smaller therapeutic effect than was expected. There
10 are some safety concerns that haven't been fully
11 evaluated. I am concerned that OASIS didn't support
12 its primary hypothesis but this by itself really
13 wouldn't necessarily cause me to withhold an approval
14 recommendation if all the other data were compelling.

15 I don't think all the other data are
16 sufficiently compelling and, therefore, I don't think
17 it's appropriate to set the precedent that approval
18 should be provided based on a single sort of
19 marginally significant trial of a new drug versus an
20 active comparator when the active comparator hasn't
21 been shown rigorously to be better than placebo, or at
22 least acceptably better than placebo. I don't think

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 that we've reached the standard that I would set for
2 this particular drug from this particular trial.

3 DR. PACKER: Okay. Dr. Borer's vote is
4 no. Which side did we start on the last time? I'm
5 sorry. John.

6 DR. DIMARCO: As I read the new
7 indication, there's nothing in there that states that
8 it is superior to heparin so I would vote yes.

9 DR. PACKER: Rob.

10 DR. CALIFF: I'm going to take 20 seconds
11 on a soapbox or maybe a little longer. I've got all
12 the pluses and minuses here. This is a very difficult
13 one for me. On the plus side we've got two studies
14 going in the right direction. You only have to
15 believe that heparin is a bit better than placebo to
16 buy the putative placebo argument. I believe it is.

17 The complete systematic overview of all
18 the hirudins that Dr. Hirsh sneaked by in the last few
19 minutes is pretty compelling, I think. We are dealing
20 with a leading cause of death and disability. I'm
21 worried that the standard for this in heart failure
22 are getting so high that it's going to discourage drug

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 development in these areas.

2 Looking at what it takes to get drugs
3 through other committees and the FDA, this is an
4 incredibly high standard. We need better agents for
5 this condition particularly with the combinations that
6 need to be given and this drug is already approved for
7 heparin-induced thrombocytopenia. It would be a funny
8 situation to say that a drug should be used in place
9 of heparin when you produce thrombocytopenia but
10 there's no reason to use the drug which is kind of the
11 conclusion that we'll be coming to. Finally --

12 DR. PACKER: We see that.

13 DR. CALIFF: Well, I mean, it's kind of
14 dumb. Then finally --

15 DR. PACKER: We see lots of dumb things.

16 DR. CALIFF: So then finally there would
17 be no surprises, I think. There is a lot of data and
18 nothing surprising except for the ischemic stroke
19 issue has really come out of this whole discussion.

20 On the minus side, the primary study, the
21 heparin overview is pretty weak. We would all agree
22 and wish it was better. I'm particularly concerned

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 about publication bias. There is an excess of
2 bleeding. The treatment is dependent on renal
3 function and we've learned a lot in the last year
4 about the difficulty U.S. physicians have in
5 understanding creatinine clearance and the problems
6 that might arise.

7 The study is not very relevant to the U.S.
8 practice. There's no African Americans and there's
9 almost no coronary intervention. This is really
10 around the border for me but I think I would have to
11 vote yes on this and it's pretty subjective. I could
12 go either way but I think I have to vote yes.

13 DR. PACKER: Joann.

14 DR. LINDENFELD: Rob said it well. For
15 all those same reasons I'm right on the fence, too,
16 but I think I would vote yes.

17 DR. PACKER: Tom.

18 DR. FLEMING: For the information that I
19 was giving in my previous answer, the effect with the
20 OASIS study is establishing about a 12 percent
21 reduction in death MI at 35 days. In fact, it had
22 achieved what it had been powered to achieve. The

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 study itself could well have carried the day.

2 If, in fact, we could be confident, highly
3 confident that heparin itself provides about a 12
4 percent reduction in death MI at 35 days, then I would
5 believe this is convincing data. The evidence has not
6 been presented to be that convincing.

7 Rob points out, of course, the high number
8 of patients that we would need to see in order to
9 provide clear evidence, for example, at a seven or 35-
10 day time point. Of course, the reality for that is
11 this is a clinically very important issue but it's
12 also one where we have to recognize we are only
13 preventing a small fraction of the total events and
14 that's the reason that it's taking such a large study
15 to be able to sort out whether you're having a small
16 effect or no effect.

17 Because, as I indicated in my answer to
18 No. 2, the data here don't provide clear evidence that
19 establishes that heparin itself is providing roughly
20 a 12 percent reduction in death MI at 35 days, my vote
21 is no. I believe that evidence would have to be in
22 hand to make it yes.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. KONSTAM: As Rob indicated, we have
2 this tough situation where essentially the entire
3 medical community practices in a way that has not been
4 acknowledged based on hard data, I guess, by the FDA
5 that it is effective. Nevertheless, that is the
6 situation and we have to make a decision whether that
7 situation is going to handcuff any additional progress
8 or we can figure out a way to make things move on.

9 When I look at this DNA, the heart of the
10 DNA is the OASIS-2 trial. Looking at the primary
11 endpoint as well as all other endpoints and all time
12 points, I'm most impressed by the right sided
13 confidence interval which is right about one in a
14 10,000 patient trial. I feel this trial is fairly
15 convincing for at least equivalence to heparin.

16 Then we have to come back and say do we
17 believe heparin works or not. As I indicated in my
18 answer to the previous question, the medical community
19 is voting with its feet overwhelmingly that it works
20 and I believe the data that was presented here today
21 at least supports that and so, therefore, I'll vote
22 yes.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. PACKER: I think that Rob summarized
2 it well. It's really a matter of judgment as to how
3 you weigh all the factors. I think that the way that
4 I would weigh the factors would probably go more
5 towards Tom concerns and I would vote no.

6 The votes for Dr. Graboys no, Grines no,
7 Piña no, Armstrong no. The votes are seven no, four
8 yes.

9 No. 4, do you have safety concerns
10 regarding Recludan for this indication, bleeding
11 complications, etc. Jeff?

12 DR. BORER: Well, I think there are issues
13 that need to be better defined. Personally, as I've
14 made clear during the discussion today, I think we
15 need to know what complications we can expect, or
16 rather how to handle the follow-on therapies that are
17 likely to be used in practice, particularly bypass
18 grafting, so I think those data need to be put
19 together.

20 I'm concerned about the excess of strokes
21 in the eight to 35-day period, particularly eight to
22 14 days. I can't explain it. It doesn't really seem

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 to fit into my understanding the pathophysiology but
2 that doesn't mean very much because often we don't
3 understand the way drugs work. I would like to see
4 that better defined so, yes, I think there are some
5 safety concerns here that need to be explored further.

6 DR. PACKER: So that's a yes. We'll begin
7 with Marv.

8 DR. KONSTAM: I'm concerned about the
9 bleeds. I think at the dose used we have bleeds
10 clearly in excess of what we see with heparin and I'm
11 concerned that the bleeds that were considered by the
12 investigators to be life-threatening bleeds seem to be
13 significantly more frequent than with heparin so I am
14 concerned about those things.

15 The ischemic stroke issue also is
16 concerning. I'm confused by it because I don't have
17 any explanation for it but I think that is something
18 else to be a little concerned about.

19 DR. PACKER: Tom.

20 DR. FLEMING: Yes. Just a quick comment.
21 Marv has indicated similar perspectives. The major
22 bleeds and the ischemic strokes are the issues that

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 strike me as of greatest concern and this is somewhat
2 related to the next question. There really are two
3 relative comparisons for safety as well. If we're
4 looking at just deciding what the relative safety is
5 to the active comparator, then it's the safety
6 comparison that we see in the clinical trial.

7 On the other hand, if we're thinking
8 efficacy is against the putative placebo, then we also
9 have to think of the safety experience against what
10 the safety experience would be of the putative
11 placebo. We have to add in those additional safety
12 experiences that we think are due to the active
13 comparator.

14 DR. PACKER: Okay. Rob. Oh, I'm sorry.

15 DR. LINDENFELD: I have additional
16 concerns, particularly when there is a much higher
17 rate of intervention as we have in the states.

18 DR. PACKER: Rob.

19 DR. CALIFF: I would vote no on this but
20 for two reasons. One, there's a whole bunch of other
21 hirudin data from angioplasty trials and others that
22 I know about that wasn't presented here. Secondly, I

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 think you know what you see is what you're going to
2 get which is a modest excess of bleeding in 20,000 or
3 so patients. That's a much better database than most
4 other treatments we have but the whole committee
5 hasn't seen all the data.

6 DR. PACKER: John.

7 DR. DIMARCO: Other than the first word,
8 I would say yes, I have safety concerns for exactly
9 the same reasons that Rob just mentioned he didn't.
10 I think there's probably going to be a price to pay
11 with a drug like this and bleeding is going to be the
12 side effect and people are going to have to be
13 concerned about it.

14 DR. PACKER: My vote is yes. All absentee
15 votes were yes so the vote on this is 10 to one.
16 Fifth question. Given the data from the OASIS trials,
17 do you believe the benefits of Refludan exceed its
18 risks for the sponsor's proposed indication? Why
19 don't we pause there and, Jeff, why don't you lead off
20 the vote.

21 DR. BORER: Well, I've already indicated
22 that I don't think the benefit has been compellingly

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 demonstrated and, therefore, obviously, I can't say
2 that it exceeds the risk. Do you want --

3 DR. PACKER: That's sufficient. That's
4 sufficient. Okay. And, Marv, why don't we start with
5 you.

6 DR. KONSTAM: Yes, and in the dose that
7 was used in OASIS-2.

8 DR. PACKER: Why don't we go yes and no
9 because it will just make life easier. Marv is yes.

10 DR. LINDENFELD: Yes.

11 DR. PACKER: All right. Tom.

12 DR. FLEMING: Do I believe benefits
13 exceeded the risks? No, I don't believe it's been
14 proven adequately that benefits exceed the risks and,
15 again, a reminder that if we are thinking of that
16 against the putative placebo, we have to be thinking
17 of all of the risks that are associated with the
18 intervention and not just the increase relative to the
19 active comparator.

20 DR. PACKER: Joann?

21 DR. LINDENFELD: Yes.

22 DR. PACKER: The answer for Joann is yes.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Let me just make sure.

2 DR. CALIFF: Milton, I vote yes. I've got
3 to catch a plane.

4 DR. PACKER: John.

5 DR. DIMARCO: I'll vote yes.

6 DR. PACKER: My vote is no. Graboys' vote
7 was no. Grines vote no. Piña's vote no. Armstrong's
8 vote no. It is seven to four no. Six is not relevant
9 and the remainder of five is what further studies
10 would one advise. I'm not certain how one would
11 address that question. I think that is really for the
12 sponsor to propose based on all discussions and
13 concerns that have been expressed.

14 Any other comments?

15 DR. BORER: I would just like to make one
16 point here. I don't believe and some other members of
17 the committee suggested they didn't believe that the
18 benefits of heparin were clearly demonstrated. I
19 would certainly believe that there is no evidence to
20 suggest that heparin is worse than placebo.

21 If somebody wanted to do the kind of
22 comparison that was just done, and this is a difficult

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 study to do, if somebody wanted to replicate these
2 data, I think that would be a perfectly reasonable
3 thing to want to do.

4 DR. PACKER: There being no further
5 comments, we are adjourned.

6 (Whereupon, at 4:09 p.m. the meeting was
7 adjourned.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

SAG, CORP


4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

C E R T I F I C A T E

This is to certify that the foregoing transcript in
the matter of: 90TH Meeting of the
 Cardiovascular and Renal Drugs
 Advisory Committee

Before: DHHS/FDA/CDER
Date: May 2, 2000
Place: Rockville, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

A handwritten signature in black ink, consisting of several loops and a long horizontal stroke at the end, positioned above a solid horizontal line.

SAG, CORP.
4218 LENORE LANE, NW
WASHINGTON, D.C. 20008