

1 is greatly underestimated. And the reason it was  
2 underestimated, because these women were not given the  
3 opportunity to be enrolled. Of 11,065 who called the  
4 call center, we don't know how many were women of  
5 childbearing age. Of 1,924 subjects randomized to  
6 over-the-counter group, 720 purchased the drug. 553  
7 consulted a physician within two months. And 499 of  
8 those ended up taking Pravachol. The last column  
9 represents a proportion of the subjects who did not  
10 qualify for the treatment. A total of 266 subjects,  
11 or 37 percent of those who purchased, did not qualify  
12 for the treatment as it says by the study physician  
13 based on the person's risk factors or the lipid  
14 profile. Of this 266 also comes from those who  
15 consulted a physician, so almost half of those who  
16 consulted a physician did not qualify for the  
17 treatment. I would like to remind you what  
18 qualification for the treatment was based on. It was  
19 different from the label use. The guidelines for  
20 treatment included only risk factors and LDL  
21 cholesterol value. It also had a goal specified for  
22 the treatment. This goal, less than 130 or less than  
23 100 of 60 mg. per deciliter of LDL cholesterol was not  
24 listed on the label. And now then you're looking at  
25 the baseline lipid profile of qualified and treated

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 population as assessed by study physician. Mean LDL  
2 cholesterol value of 162 corresponds with total  
3 cholesterol of mean or median 245, showing that more  
4 than 50 percent of population who were assessed as  
5 qualified did not meet the label requirements for  
6 total cholesterol between 200 and 240. Looking at the  
7 behavior of the treated population in over-the-counter  
8 group, data show that 58 percent withdrew from the  
9 study. And most common reason for the draw was  
10 withdrawal by a physician. This 123 subjects  
11 withdrawn by the physician represents one-quarter of  
12 total treated population in the over-the-counter  
13 group, showing poor self-selection for the treatment.  
14 Discontinuation rate due to adverse events in over-  
15 the-counter group, even though not statistically  
16 significant was a little bit higher in over-the-  
17 counter group, eight percent versus five percent in Rx  
18 group. 53 subjects in the OTC treated group required  
19 titration to a higher dose, and this titration was  
20 done at assessment three which was eight weeks after  
21 the first visit to the doctor. Compliance in the  
22 PREDICT study even though it was not strictly  
23 monitored was assessed by a pill count and self-report  
24 and defined as 80 to 120 percent, and was not ideal in  
25 both groups. 54 percent in over-the-counter, and 65

1 percent in Rx group. Mean duration of the treatment  
2 was significantly short in over-the-counter group, 109  
3 days versus 152 days in Rx group. I talked about  
4 consumer behavior, self-selection and compliance. Now  
5 I would like to touch on one other issue for Pravachol  
6 availability as nonprescription drug. Are consumers  
7 able to self-diagnose hypercholesterolemia? Subjects  
8 involved in PREDICT and OPTIONS study did not have to  
9 know their own cholesterol values. They had to go to  
10 the doctor, their own other physician, other study  
11 physician, to get their lipid profile tested. Now  
12 though the sponsor, a part of consumer behavior,  
13 attempted to test consumer cholesterol awareness and  
14 knowledge about cholesterol. And even though 96  
15 percent enrolled in this study stated that they were  
16 very concerned about their health and their  
17 cholesterol, 74 percent answered that total  
18 cholesterol less than 200 represents a healthy level.  
19 The knowledge in certain demographic subpopulations  
20 and lower literacy was lower, but overall 74 percent  
21 said that less than 200 is a healthy level. However,  
22 knowledge about LDL cholesterol was significantly  
23 lower. Only 12 percent stated that LDL cholesterol  
24 less than 130 represents a healthy level. 80 percent  
25 had no idea what LDL cholesterol values should be.

1 And knowledge about HDL cholesterol was not tested in  
2 this study at all. And all of these values required  
3 for self-diagnosis and the treatment of  
4 hypercholesterolemia according to the current  
5 guidelines. Now I would like to talk about second  
6 actual use trials submitted to this NDA, which was  
7 called OPTIONS. The population targeted and enrolled  
8 in this study was not really representative of overall  
9 U.S. over-the-counter population. The study was  
10 restricted to certain geographical areas. Only six  
11 states participated in this study, and all of the  
12 participants had healthcare insurance and prescription  
13 drug coverage, and this may not be necessary the case  
14 in the real over-the-counter setting. The label used  
15 in these studies also were some comments. Criteria  
16 for the treatment on the label: you had a total  
17 cholesterol between 200 and 240, no LDL cholesterol  
18 was listed on this label, and a specific age, more  
19 than 35 for men and more than 55 years for women were  
20 stated on the label. Now the primary objective of the  
21 study was similar as in PREDICT to determine the  
22 proportion of subjects who have purchased Pravachol  
23 contact their own healthcare provider within two  
24 months of using medication. Out of 161,322 subjects  
25 targeted only by the mailer, 2,207 came to the

1 screening or the enrollment site, and 782 were  
2 enrolled into the study. Out of 782 who were  
3 enrolled, 404 purchased the Pravachol, 321 ended up  
4 taking it, and only 178 consulted a physician, their  
5 own healthcare provider within two months. This 178  
6 subjects represents only 44 percent of total purchase  
7 population, meeting the primary objective of the  
8 study. Looking at the behavior of the treated  
9 population, you can see that only 49 percent continued  
10 on treatment for more than 56 days. And 51 percent  
11 withdrew from the study. The most common reason for  
12 withdrawal was noncompliance. Withdrawal by a  
13 physician was done in 20 cases in this study. This  
14 slide points out certain self-selection errors  
15 observed in this study. 24 percent of purchase  
16 population were not recommended Pravachol by their own  
17 healthcare provider, based on the risk factors or the  
18 cholesterol level. Now as you remember the label  
19 stated that this product is indicated for those whose  
20 total cholesterol was within 200 and 240. Median  
21 total cholesterol of purchase population at baseline  
22 was 235. Again, showing that almost 50 percent of  
23 those who purchased Pravachol did not meet the label  
24 requirements. As you may also recall, age for women  
25 stated on the label was 55 years or older. Now

1 looking at the demographics of purchase population,  
2 data show that 60 percent of women who purchased the  
3 drug were less than 55 years of age. And this self-  
4 selection error is very important for two reasons.  
5 First of all, these women less than 55 years old may  
6 not be at risk for coronary heart disease and will be  
7 taking the drug unnecessary. On the other hand, they  
8 may be of childbearing potential and therefore may be  
9 a safety risk for taking Pravachol during possible  
10 pregnancy. As I finish up my talk I would like to  
11 make few comments about the proposed label. It is  
12 unclear what population is being targeted for  
13 Pravachol nonprescription use. Proposed label  
14 initially submitted to this NDA stated that this  
15 product is indicated for those cholesterol values what  
16 I mentioned before. There was no age requirement for  
17 buying this product on the initial label. This label  
18 was modified and new label has been submitted by the  
19 sponsor just a few weeks before this advisory  
20 committee meeting. And it stated the same cholesterol  
21 values, however the age and the targeted population  
22 now is different. For men more than 35 years and for  
23 women more than 45 years of age. In conclusion based  
24 on the information I have given to you, the actual use  
25 trials showed low consumer understanding of specific

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 serum cholesterol values, especially LDL cholesterol  
2 value. It showed substantial number of self-selection  
3 errors, a high withdrawal rate and poor adherence to  
4 the therapy. Behavior of childbearing-age women was  
5 not addressed in these two trials and consumer  
6 understanding was not assessed about the goal, length  
7 of the therapy and titration to a higher dose.  
8 Targeted OTC population under current proposed label  
9 has not been studied and therefore it's not clear how  
10 the data from the two actual use trials could support  
11 this new OTC target population. And on this note I  
12 would like to finish my talk and invite the next  
13 speaker, Dr. Karen Lechter. Thank you for your  
14 attention.

15 DR. LECHTER: I'm Karen Lechter with the  
16 Division of Drug Marketing, Advertising and  
17 Communications, and I'm going to be discussing the  
18 label comprehension study for Pravachol 10. The label  
19 in this study is different in the format from the one  
20 that was submitted with the NDA and its content varies  
21 somewhat from the NDA label. And in your agenda  
22 packets this morning, we have attached a copy of the  
23 study label and the NDA label for your reference,  
24 right behind the questions for the committee. I will  
25 be discussing the Pravachol study characteristics and

1 results, the potential for misuse, the tested label  
2 versus the NDA label, and conclusions. The Pravachol  
3 study had six open-ended and sixteen multiple choice  
4 questions for the label materials. Two questions were  
5 asked without the label present and there were no  
6 questions about the materials that would be inside the  
7 carton. For three questions, knowledge was assumed  
8 that should have been tested, making it easier to  
9 answer correctly. These were questions asking what  
10 should be done before use and after one year, and a  
11 question stating that people with some medical  
12 conditions should not use the product, asking who they  
13 were. These questions assumed that people knew they  
14 needed to do something before use and after one year,  
15 and that persons with certain medical conditions  
16 should not use the product. We don't know if the  
17 participants knew this information before the  
18 questions were asked. Results for the two open-ended  
19 questions were confounded because they were combined.  
20 What diseases preclude use, and what other diseases  
21 are mentioned on the label? The results should have  
22 been separated because some diseases mentioned on the  
23 label do not preclude use. Fortunately there were  
24 other questions asked in a different way about the  
25 three main medical conditions precluding use that give

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525



1 us another opportunity to assess knowledge in this  
2 area. Due to the nature of the questions asked in  
3 this study, we do not know if consumers can apply the  
4 information to a variety of use situations. There  
5 were no questions involving the application of the  
6 information to hypothetical situations. If such  
7 scenario questions had been asked, biased questions  
8 could have been avoided and we could have been more  
9 comfortable about accepting the results for some of  
10 the questions. There were no questions about whether  
11 participants could use the product themselves, which  
12 would have been cross-checked against their medical  
13 history to determine if they answered correctly. The  
14 design of label comprehension study should begin with  
15 a set of communication objectives. I discussed these  
16 yesterday and I won't go into them again today. The  
17 sponsor said that the primary objective for this study  
18 was whether consumers understand they should see a  
19 doctor before using Pravachol 10. The secondary  
20 objectives begin with the product purpose: to lower  
21 cholesterol if it is between 200 and 240 after diet  
22 and exercise. There were no questions about diet and  
23 exercise. The secondary objectives also asked about  
24 those who should not use the product as listed in the  
25 second bullet here. The secondary objectives included

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 who should use the product including non-pregnant  
2 females age 55 or above, males age 35 or above, and  
3 people with total cholesterol of 200 to 240. The  
4 objectives included understanding the need for follow-  
5 up and that muscle pain should be reported to the  
6 doctor. The tested label requires application of  
7 several sections of the label at once: the use  
8 section giving total cholesterol and the ages for men  
9 and women, the warning section, and the "ask the  
10 doctor before use" section. The questions in this  
11 study did not test if consumers could apply all these  
12 requirements simultaneously or even a combination of  
13 some of these requirements. The "ask the doctor  
14 before use" section says: Ask the doctor if you  
15 smoke, have high blood pressure, or a family history  
16 of heart disease, or if total cholesterol is more than  
17 240 and HDL is very low. It does not define very low  
18 HDL. There were no communication objectives or  
19 questions about this section. These are the  
20 characteristics of the participants. I think you've  
21 seen them already. 163 of the 612 participants were  
22 low-literacy. There were no differences in responses  
23 from the low-literacy group compared to the non-low-  
24 literate group based on t-tests at  $p \leq .05$ , with no  
25 adjustments for multiple comparisons. Despite the

1 shortcomings of some of the questions, we can conclude  
2 that certain concepts were well-understood. They  
3 include the following: the purpose for using the  
4 product, understood by 90-95 percent; 97 percent were  
5 able to list one of the three diseases precluding use;  
6 however, only 71 percent could name all three. In  
7 three multiple choice questions, they were asked to  
8 identify the three diseases that preclude use, and  
9 they did so at a rate of 88 to 90 percent. However,  
10 each of these three questions had either one other  
11 choice or none of the above choice, making them fairly  
12 simple questions. They understood at fairly high  
13 rates that they should not use the product if they are  
14 pregnant or drink three or more alcoholic beverages on  
15 most days. Additional concepts that were well-  
16 understood were that it was not for people with normal  
17 cholesterol, pregnant women or those with hepatitis,  
18 and they should see a doctor if they have unusual  
19 muscle pain or tenderness after use. Concepts that  
20 were not as well understood were the ages for men and  
21 women. The results were 77 to 80 percent. They  
22 moderately understood the fact that they must see a  
23 doctor after eight weeks; however, this question was  
24 multiple choice and two of the choices mentioned that  
25 something had to be done in eight weeks, perhaps

1 suggesting to participants that they do something at  
2 that time, which they may not have realized until they  
3 saw that question. Due to the wording of the  
4 questions, it is not clear that consumers understand  
5 that according to the label they must see a doctor  
6 before use, and to see a doctor for a cholesterol  
7 check after taking the product for one year. These  
8 questions suggested that there was something that  
9 needed to be done at certain points in time. One  
10 question about seeing the doctor before use was  
11 answered by 82 percent of participants. This question  
12 was asked without the label present. The other  
13 questions about seeing the doctor before use were  
14 asked with the label in view and resulted in higher  
15 scores. Based on the results, the tested label needed  
16 improvement in these areas, to clarify that persons  
17 taking erythromycin should not take the product. 65  
18 percent got this one correct. In the NDA label, this  
19 warning was eliminated. It was deemed to be  
20 unnecessary. The label needed to be strengthened in  
21 the warning not to use Pravachol 10 if you are taking  
22 prescription cholesterol-lowering medicines. 73  
23 percent understood this message. The NDA label says  
24 to ask a doctor or pharmacist if you take other  
25 cholesterol medicines. The label needed to be

1 strengthened in the message about cholesterol levels  
2 for which the product is appropriate. 76 percent were  
3 correct, but 17 percent said that it was appropriate  
4 for total cholesterol of 250 to 300. And the label  
5 should have been strengthened in the information about  
6 the ages of men and women to use the product. 77  
7 percent were correct for men and 80 percent for women.  
8 The proposed NDA label does not have age limitations  
9 other than not to use it under age 18. But the later-  
10 submitted label says that the product is for men over  
11 35 and women over 45. There were some important  
12 concepts that were not tested. There were no  
13 questions asking participants if they could use the  
14 product. We generally like to see these questions to  
15 determine if consumers can apply the label information  
16 to their own circumstances. If this question had been  
17 asked, the responses would have been checked against  
18 the medical information that the patients provided to  
19 determine if they responded correctly. However this  
20 question about self-use was not asked. There were no  
21 questions asked about applying multiple criteria for  
22 use or non-use at once. We don't know if consumers  
23 will understand they need to meet certain cholesterol  
24 levels, perhaps certain LDL levels, maybe age by sex  
25 requirements, and must not have a broad list of

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 conditions in order to be able to use the product  
2 appropriately. There were no questions asking these  
3 special circumstances on the label under which a  
4 doctor should be consulted before use, only the  
5 general advice to see a doctor before use was tested.  
6 Yet the label said to consult a doctor before use if  
7 you have the factors listed in the first bullet here.  
8 There were no questions to test understanding of  
9 treatment failure, success, or long-term benefits of  
10 use. There were no questions about the need for diet  
11 and exercise. We also do not know if consumers  
12 understand what a healthy cholesterol is from this  
13 study. The label tells them to continue taking  
14 Pravachol 10 if they have reached a healthy  
15 cholesterol level. It tells them they may need a  
16 prescription dose of Pravachol if they have not  
17 reached a healthy cholesterol level. No questions  
18 were asked about their understanding of healthy  
19 cholesterol. 17 percent said Pravachol 10 was  
20 appropriate for cholesterol of 250 to 300. This  
21 misunderstanding may be the basis for inappropriate  
22 use. In addition, we don't know whether consumers  
23 understand they must apply a combination of  
24 characteristics to use the product, based on total  
25 cholesterol, sex, age, and perhaps LDL. It is

1 possible that some will assume if they qualify under  
2 one characteristic, for example, total cholesterol,  
3 that they can use the product. There were substantial  
4 differences between the tested label and the label  
5 submitted with the NDA, and the subsequent label that  
6 we have not evaluated. These were differences in  
7 content and format, making them sufficiently different  
8 that the results of this label comprehension study may  
9 not apply to the NDA or later labels. I will point  
10 out the most significant differences between those two  
11 labels and, again, you may want to refer to the copies  
12 of those labels in your agenda packet. The tested  
13 label says at the top, "Before you start see your  
14 doctor to check cholesterol labels and discuss risk  
15 factors for heart disease." The NDA label has nothing  
16 at the top about seeing a doctor. The tested label  
17 has a pictogram of a doctor and a patient on the side  
18 of the use section with the statement, "See your  
19 doctor before use." The NDA label does not have a  
20 pictogram or a separate statement to see the doctor  
21 before use. The tested label says it is for  
22 cholesterol of 200 to 240. The NDA label says it is  
23 for cholesterol of 200 to 240 plus LDL greater than  
24 130. The tested label was for men age 35 and over,  
25 women age 55 and over. The NDA label had no age

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 limitations in the use section, but did say, "Do not  
2 use if you are under age eighteen." And the recently  
3 submitted label said that it is for men age 35 and  
4 above, women age 45 and above. The LDL requirement  
5 that was not tested would be especially important to  
6 test to see if consumers could apply it simultaneously  
7 with the total cholesterol and other requirements for  
8 use and non-use. The tested label said, "Do not take  
9 the product with erythromycin." The NDA label has  
10 nothing about erythromycin. The tested label had a  
11 "do not use" section with six bullets. The NDA label  
12 has a "do not use" section with three bullets. The  
13 tested label had one "ask the doctor before use"  
14 section. Some of the do not use information from that  
15 label was moved in the NDA label to four sections  
16 about asking a doctor, pharmacist or a healthcare  
17 professional before use. It is significant that the  
18 appearance of the NDA label and the label submitted  
19 subsequently are quite different from the tested one.  
20 Formatting often has substantial effects on  
21 comprehension. The tested label was not in the Drug  
22 Facts format that we currently require for all NDA OTC  
23 products. It had four pictograms and a double column  
24 in the use section. The NDA label and subsequent  
25 label are in the Drug Facts format, and the NDA label



1 had two pictograms with no double columns. These  
2 format changes coupled with the content changes I  
3 described may affect comprehension. In conclusion,  
4 because the label was substantially modified in  
5 content and format after this label comprehension  
6 study, we do not know how well the new label would be  
7 understood by consumers. Participants appear to  
8 understand some important aspects of the label;  
9 however, some issues were moderately or poorly  
10 understood or the results were unclear. Further  
11 critical information was not tested. For the tested  
12 label significant numbers may not understand the age  
13 by sex requirements, when to see a doctor based on  
14 time, contraindicated medications, and the total  
15 cholesterol required for use. We have inadequate  
16 information whether consumers would understand the  
17 simultaneous requirements for use, whether they can  
18 apply the information to a variety of common  
19 situations, or if they can self-select appropriately  
20 to use the product. In summary, we cannot conclude  
21 from this study that consumers can understand the  
22 label sufficiently to use the product safely and  
23 effectively in an OTC setting.

24 CHAIRMAN BRASS: Thank you. At this time  
25 we'll open the format to questions to either sponsor

1 or the FDA from committee members. Dr. Blewitt.

2 DR. BLEWITT: I have a question of Dr.  
3 Lechter and that regards to Drug Facts format. It's  
4 my understanding that the whole industry is moving to  
5 the Drug Facts format because it has been determined  
6 that this is a format that is better understandable  
7 and better conveys the content of the label to the  
8 consumer. So it's my understanding that there's an  
9 assumption that the Drug Facts labeling is better than  
10 whatever existed before. Secondly, then, I would ask  
11 if there is an industry-wide move to, now that the  
12 industry is moving as a whole towards the Drug Facts  
13 format label, that if the industry is now testing all  
14 of these labels because they're in the new format.

15 DR. LECHTER: I don't know what the  
16 industry is doing. We did some testing before the  
17 regulation was finalized and did find that the Drug  
18 Facts format was an improvement over the other formats  
19 we had tested. I don't know what testing is currently  
20 being done by industry.

21 DR. BLEWITT: Well, it just leads to my  
22 question of should it really be necessary to test the  
23 revised label that's in the Drug Facts format when in  
24 fact this is a perceived improvement over what has  
25 existed before, and if there are no substitute changes

1 in the content of the label?

2 DR. LECHTER: Theoretically, if we're just  
3 taking the same content and moving it into the Drug  
4 Facts format, there should be no reason to retest.  
5 However, in this case there were substantial content  
6 changes. There was removal of some very prominent  
7 warnings to see a doctor before use, there was an  
8 addition of the LDL requirement, there was movement of  
9 materials to sections about--, from the "see your  
10 doctor" section into the "see your doctor, your  
11 pharmacist, your healthcare professional", and so that  
12 not only was the format changed, there were content  
13 changes as well that we think may affect  
14 comprehension.

15 CHAIRMAN BRASS: Dr. Krenzelok.

16 DR. BLEWITT: I'm sorry. If I could just  
17 follow. My question is whether--, I know the  
18 erythromycin was removed, but whether there has been  
19 removal or just whether it has just simply been moved.  
20 The "see your doctor" which was on the original label  
21 was now not appropriate because, it would not be  
22 appropriate in the Drug Facts label. So in fact  
23 you're moving that to ask a doctor before--, you're  
24 moving it down into the label, but's it the same  
25 question.

1 DR. LECHTER: I think the strength of the  
2 warning in the new label is greatly reduced from that  
3 of the tested label and the strength of the warning to  
4 see a doctor before use, and therefore may not come  
5 across to readers as clearly as the advice to see a  
6 doctor had been on the tested label.

7 CHAIRMAN BRASS: Please identify yourself.

8 DR. FRIEDMAN: Dr. Friedman. Dr. Lechter,  
9 that's correct. We did take the see your doctor  
10 warning from the top of the Drug Facts label because  
11 it is precluded by that regulation of having it there.  
12 We would be willing, we agree with you that it is very  
13 important to have it there and we would be very  
14 willing to work out some way that we could have it  
15 there. The other mentions about healthcare  
16 professionals such as pharmacists are also mandated by  
17 Drug Facts. We do not in any way want to dilute that  
18 message and would be very happy to work within the  
19 confines of those requirements to make that as strong  
20 as possible. But I think the number of messages to  
21 see your doctor are the same, and as I think Dr.  
22 Blewitt eluded to, things have been moved to conform  
23 with the requirements and certainly our intent is to  
24 have that message as strong as it can be--

25 DR. LECHTER: Right.

1 DR. FRIEDMAN: --as it can be within the  
2 regulations.

3 DR. LECHTER: They've been moved but they  
4 haven't been tested, so we don't know how well they  
5 come across.

6 CHAIRMAN BRASS: Dr. Krenzelok?

7 DR. KRENZELOK: Thank you. Safety is a  
8 very important aspect that we're asked to consider in  
9 reviewing these applications. I noted that the  
10 sponsor had approximately, I think it was sixteen  
11 reports of overdose, and I think it's well established  
12 that this is a fairly safe drug in overdose,  
13 certainly. But did either the agency or the sponsor  
14 acquire information from the American Association of  
15 Poison Control Centers and examine that data?

16 DR. FRIEDMAN: Yes we did. I can show you  
17 the slide if you like, but basically--, you'd like to  
18 see the slide? I think there are about 1600 reports,  
19 there are no deaths. There is one case reported of  
20 rhabdomyolysis in a man who had diabetic ketoacidosis,  
21 and there are three hepatic events which are listed  
22 only as increased transaminase levels. This is sort  
23 of the-, you know the highlights from the reports.

24 DR. KRENZELOK: The AAPCC data breaks it  
25 down by no effect, mild, moderate, major, fatality and

1 so on. Did you break it down just in tabular form at  
2 all just by those particular categories?

3 DR. FRIEDMAN: No, we didn't. I guess the  
4 overall look at that data was that there were no  
5 different conclusions from our database as well as the  
6 FDA FOI database, so we really just summarized it this  
7 way.

8 CHAIRMAN BRASS: Dr. Davidson.

9 DR. DAVIDSON: Three questions, quick  
10 questions. Do we test, does the agency test the label  
11 in Spanish? Have you seen a review of the Spanish  
12 label to be sure that it's proper Spanish?

13 DR. LECHTER: I'm not aware of any--,  
14 first of all, the agency does not do the testing. The  
15 sponsors do the testing.

16 DR. DAVIDSON: The review.

17 DR. LECHTER: I have not seen any  
18 submissions that show testing of the label in Spanish.

19 DR. DAVIDSON: Okay, thank you. The  
20 second question for Dr. Parks. 60 patients with  
21 normal cholesterol, you know, were not allowed in this  
22 study, but do we really know what the normal lipids  
23 for those 60 patients were? Because, you know, if  
24 it's physicians making the decisions, it may be lipids  
25 that should be treated.

1 DR. PARKS: The reason listed as normal  
2 cholesterol was obtained directly off of forms where  
3 the physician checks it off, so we don't have a  
4 corresponding--, or I didn't see a corresponding level  
5 with that reason normal cholesterol. With regards to  
6 cholesterol in that population, the data I reviewed  
7 were of the entire 285 in that qualified and treated  
8 subgroup in the OTC group. I did not look at the  
9 individual 60 in the normal population.

10 DR. DAVIDSON: Okay.

11 DR. FRIEDMAN: Could I clarify that? We  
12 actually did look at that 60.

13 DR. DAVIDSON: Okay.

14 DR. FRIEDMAN: And about 50 percent of  
15 those people had LDL cholesterols above 130 mg. per  
16 deciliter and about half of them had levels below.

17 DR. DAVIDSON: Fifty percent?

18 DR. FRIEDMAN: Yes, about that.

19 DR. DAVIDSON: Over 130?

20 DR. FRIEDMAN: Yes.

21 DR. DAVIDSON: Thank you. And then, for  
22 Dr. Shetty, you have 200 patients, close, that did not  
23 qualify for the study. Could you tell us why they did  
24 not qualify?

25 DR. SHETTY: I don't have all the exact

1 numbers, but based on the risk factors and the lipid  
2 profile that was based on those specific guidelines  
3 used in the PREDICT study. Either LDL was too high or  
4 too low, or the risk factors were more than two.

5 DR. DAVIDSON: Thank you.

6 DR. SILVERSTEIN: My question's for Dr.  
7 Friedman. I don't know how to do this.

8 (Laughter)

9 DR. SILVERSTEIN: I'm moving this. Okay.  
10 As you said appropriately you had, in the PREDICT  
11 study, a very motivated population and in the absent  
12 study you had a population that had ready access to  
13 healthcare. How many of those--, I somehow missed it  
14 in going through the two books--, how many of those  
15 people who enrolled in this study knew their total  
16 cholesterol and HDL cholesterol levels before  
17 enrolling?

18 DR. FRIEDMAN: In terms of the enrolled  
19 population, about high 70's knew their total  
20 cholesterol before enrolling. Again, there was no  
21 education, they didn't have their levels at the  
22 enrolled site. They only had their levels in PREDICT  
23 if they saw the doctor. So of the enrolled  
24 population, about 75 percent of people knew their  
25 total cholesterol. Of the people who actually



1 purchased the product, it rolls to about 80 percent or  
2 so. In terms of LDL cholesterol knowledge, that was  
3 really much less and I think that does show that  
4 knowledge of LDL cholesterol is really, you know, we  
5 haven't gotten that message out to the public. I  
6 think that we hope that as we put that on the label,  
7 people will start to inquire, and the purpose there is  
8 really that they need to see their doctor or talk to  
9 their doctor about their full lipid profile.

10 DR. SILVERSTEIN: Could I ask a second  
11 question? And that was my concern, too, about  
12 appropriateness of patient selection. The second  
13 question has to do with the fact that this is a  
14 chronic disease and not static, and to really somehow  
15 get the message across to the patients that because of  
16 that their dose may change over time. And do you  
17 have, as part of your education program?

18 DR. FRIEDMAN: Yes. Certainly the patient  
19 educational booklet that is enclosed in the starter  
20 kit talks specifically about that. We thought it was  
21 important to talk about all cardiovascular risk  
22 factors and how they should all be modified and as  
23 well, I think it's important that we saw in the  
24 PREDICT study without the prompts that these people do  
25 go back and see their doctor annually or talk to their

1 doctor after they stop medication. So, and some of  
2 them as was mentioned got titrated up appropriately  
3 as, you know, their profile may have changed over the  
4 course of time.

5 CHAIRMAN BRASS: Dr. Molitch.

6 DR. MOLITCH: I'd certainly like to  
7 applaud the sponsor for all the educational materials  
8 that they're planning for the patients in this over-  
9 the-counter portion of treatment. I would hope that  
10 those materials are also provided to all the patients  
11 who are getting the same medication by prescription,  
12 whether this is approved for over-the-counter or not,  
13 because it certainly would be beneficial for those  
14 patients. I have a couple of philosophical questions  
15 that perhaps the sponsor could answer. I agree that  
16 lowering cholesterol perhaps in this patient  
17 population is important. And how about--, what would  
18 be the difference in doing the approach that you're  
19 taking here versus perhaps trying to educate  
20 physicians in treating these patients and prescribing  
21 the drug at these lowered cholesterol levels? So  
22 that's one question. And then the second, and this is  
23 partially borne out by the survey that was reported by  
24 you, that perhaps the over-the-counter designation of  
25 this drug in fact denigrates hypercholesterolemia in

1 patients' eyes as a serious problem. Because you can  
2 get this drug over-the-counter, it's not such an  
3 important problem, and so that they may not take it as  
4 seriously. Perhaps you can address those two  
5 questions.

6 DR. FRIEDMAN: I think certainly as a  
7 company, Bristol-Meyers Squibb is absolutely committed  
8 to ongoing education in the medical and lay community.  
9 As you know we put forward huge efforts, as does the  
10 rest of the industry, government in academia. I think  
11 really one of the reasons we are here today is that it  
12 appears that that may not be the only complete  
13 approach, or perhaps another approach, an additional  
14 approach, may enhance those efforts. And what we're  
15 looking for here is an approach to a lower-risk  
16 population. You know right now the current efforts  
17 are really focused on secondary prevention people.  
18 Very, very few people in primary prevention are being  
19 targeted either by the major organizations or  
20 industry, and you know, I think that here's a group of  
21 people that we can add to the people that are  
22 currently being targeted and add to being treated  
23 appropriately. It is a lower-risk population. It's  
24 not a population without risk. But as Dr. Cohen  
25 showed earlier, there is vast, vast under-treatment of

1 this population. Certainly less than ten percent of  
2 them are being treated now.

3 DR. MOLITCH: But why couldn't that be  
4 addressed by educating physicians to treat them?

5 DR. FRIEDMAN: Well, perhaps I can call on  
6 some of my colleagues here who have been involved in  
7 development of the guidelines. Certainly those  
8 programs have been well under way. The results of the  
9 megastatin trials have been known now for, you know,  
10 almost a decade. And, you know, we still seem to have  
11 this problem. There certainly is huge efforts going  
12 on to educating physicians. And maybe I could ask Dr.  
13 Brown from, as a perspective who did work on the  
14 guidelines to address that.

15 DR. BROWN: Yes. In 1986 we met in this  
16 very room to begin the NCEP, and I am one of the old  
17 guys who actually worked on that original panel that  
18 created part of this problem. We, in 1986, the first  
19 Pravachol studies were just getting underway. The  
20 report that we wrote came out in 1987, the year that  
21 Lovostatin was released on the market. That was eight  
22 years before we had the first clinical trial that  
23 showed prevention of vascular disease with a statin,  
24 that was 1994. So what we were working with here are  
25 principles that have become dogma that would horrify

1 me because that was certainly not our intention to  
2 create dogma that we call the NCEP. My feeling is  
3 that it's time for us to reexamine the thought that  
4 was made, the principles that were laid down 14 years  
5 ago. And it's time for us to do something new because  
6 what we did made tremendous progress but we're  
7 reaching a plateau in its impact. If we had everyone  
8 in America follow the NCEP guidelines with regard to  
9 those above 240, we would still have in this country  
10 the number one cause of death as cardiovascular  
11 disease. It would still outrank cancer as a cause of  
12 death. These people would just move into this 240  
13 down to 200 group where we would still have a very  
14 high incidence of coronary events. So this is a very  
15 serious problem. It is not low cholesterol that we're  
16 dealing with here. And I would say, I think David  
17 Orloff said this very well about the agency's approach  
18 to over-the-counter drugs, if I might digress a  
19 moment. And that is that there is a tradition that  
20 the agency has adopted which has served them well in  
21 dealing with over-the-counter drugs, but I would  
22 submit to you that tradition, when dealing with a  
23 recalcitrant problem that just won't go away, maybe  
24 it's time to do something that's nontraditional, to  
25 think about a new approach to this problem. And I

1 think the learned intermediary that we depend upon  
2 greatly to support that tradition should not only be  
3 viewed to be the doctor. There are many other learned  
4 intermediaries that the doctor needs to deal with this  
5 problem. We need people who are educational  
6 specialists. We need other people who can get  
7 involved in this problem if the over-the-counter  
8 measures were taken. They would be incorporated into  
9 this. It would be a natural way to bring in a whole  
10 series of other individuals to help us as physicians  
11 deal with the problem that continues, and will  
12 continue to be during my lifetime and your lifetime,  
13 the number one cause of death in America. And so my  
14 plea to you is to think outside the box a little bit  
15 and help us doctors deal with this problem. I think  
16 the approach that this company has taken is absolutely  
17 on target. It is not to remove cholesterol treatment  
18 from the doctor's domain, but it's to help the  
19 physician deal with the problem that he is having, or  
20 she is having, a tremendous struggle with and is not  
21 doing very well with.

22 DR. MOLITCH: I'm sorry. Neither speaker  
23 has addressed the question that I asked because I  
24 agree that perhaps we want to lower those guidelines  
25 for therapy. I'm not disagreeing with that at all.

1 I'm just asking for the approach to that lowered  
2 guideline, whether that should be through the  
3 physician being educated to have a lower guideline for  
4 recommending therapy versus this coming up through the  
5 patient who is doing this with, perhaps, a little less  
6 guidance in this sort of borderline situation.

7 DR. BROWN: I thought I was answering the  
8 question. Let me clarify why I thought I was  
9 answering it. The guidelines are written for us to  
10 use the tools that we currently have appropriately.  
11 And what we're asking for here is a new tool so that  
12 new guidelines can be written. The physician if  
13 followed the guidelines would not address this issue.  
14 I don't care how well educated they are. We've made  
15 tremendous efforts over the years. I must have 10,000  
16 cholesterol talks over the last 15 years trying to  
17 educate physicians. The issue, I think now, is to  
18 again, think outside the box and get the physician  
19 some help here, you know. And that will help educate  
20 those doctors who are somewhat less educable. And so  
21 we need to do something new. No, just more speeches  
22 to doctors is not going to answer the question.

23 DR. FRIEDMAN: Yes. I also I think want  
24 to answer this from the data that we have from PREDICT  
25 and OPTIONS. You know, we're not talking just about

1 getting people to treat to lower levels. Even if you  
2 look, and I think the information was in the briefing  
3 book we provided to you, if you look at the people who  
4 came into the PREDICT and OPTIONS trials who had  
5 access to healthcare, who saw their doctors every  
6 year, very few of them were actually at their NCEP,  
7 the current NCEP goals. If you look at the people  
8 with heart disease, it's about 10 or 15 percent, and  
9 in the other populations it's not much better. So I  
10 think if you, you know, I think that's actually  
11 exactly the point here that, you know, here is almost  
12 the best that we can do and, you know, this was very  
13 consistent in both studies in a population that maybe  
14 is, you know, even a little bit better than overall.  
15 So I don't think it's a question of bringing the  
16 guidelines down. Even with the current guidelines,  
17 the under-treatment is enormous and we've documented  
18 that in our studies.

19 I think the other question you raised about the  
20 denigration of cholesterol lowering to sort of a  
21 frivolous undertaking, you know, I think everyone  
22 knows they need to lower their cholesterol and sort of  
23 a question of how they're going to do it. I think  
24 that we saw that the people here are really committed  
25 to lowering cholesterol. I think they do take it



1 seriously for those of you who heard the results of  
2 the National Consumer League study and independent  
3 study when they asked people if they would just start  
4 an OTC statin, what they'd do, and the vast majority,  
5 again confirming our data, said that they would speak  
6 to their doctor. I think these people do take it  
7 seriously. It's a very disease prevention-minded  
8 population. If you look, for instance, you know, what  
9 these people are doing. The postmenopausal women who  
10 came into this program, 50 percent of them were taking  
11 hormone replacement therapy, much higher than the  
12 average. Look at the incidents of smoking in this  
13 population, ten percent compared to 20 percent on  
14 average. These people want to do something. And, you  
15 know, I think there is some kind of failing here that  
16 maybe this kind of program can address.

17 CHAIRMAN BRASS: I just want to follow-up  
18 on Dr. Molitch's point because it really sets up a  
19 central dilemma. I have a lot of specific points, but  
20 I can't get over this really central dilemma in my  
21 mind. In both of your studies which have some really  
22 outstanding features, incidentally, which I hope we  
23 have a chance to talk about later, the primary outcome  
24 was go see your doctor. And I have this circular  
25 paradox in your mind where we're all acknowledging the

1 central role of the learned intermediary, whether it's  
2 a pharmacist, physician or whatever, in this process,  
3 and yet at the same time considering an OTC NDA. And  
4 not at all am I the only one. But I'm having a lot of  
5 trouble getting past this. We say the doctor is  
6 critical, so let's make it available without the  
7 doctor. I can't resolve that paradox.

8 DR. FRIEDMAN: I think we certainly say  
9 that the doctor is very important. And I guess the  
10 question really is, you're right, is OTC diametrically  
11 opposed to doctor involvement? And I think we see  
12 from our studies that it isn't. Here are people who  
13 do see doctors. Twice as many of them are taking OTCs  
14 to lower their cholesterol as taking prescription  
15 therapies. And you know, we also do have OTC  
16 recommendations that we do have in our practice. You  
17 know, we recommend certainly diet, we recommend  
18 dietary adjuncts to lower cholesterol. And there are  
19 other OTC therapies, certainly aspirin, for secondary  
20 prevention, is one of the great successes. So you  
21 know, I think that perhaps the thought that an OTC  
22 approach is antithetical to a doctor approach may be  
23 not borne out by the data.

24 CHAIRMAN BRASS: Dr. Grady.

25 DR. GRADY: I'd also like to compliment

1 the sponsor on the PREDICT study and I think that the  
2 results of it are really kind of crucial, at least to  
3 me, to understand whether this is a good OTC product.  
4 But the interpretation of the PREDICT results by the  
5 agency and by the sponsor seems like they're quite  
6 different. So I have some questions that I'm hoping  
7 that the two of you together could answer. And it  
8 seems to me that one important one was that the  
9 randomized groups were the 1,900 patients who were  
10 randomized either to OTC or to prescription. But I  
11 guess as I'm understanding it you were not able to  
12 measure cholesterol at the end of the study period in  
13 this whole, in the whole 3,800, is that correct?

14 DR. FRIEDMAN: That's correct. You know,  
15 I think the importance here, the difference between  
16 PREDICT and a randomized control trial, is that what  
17 we call randomize was randomizing to environments.  
18 And then it was really, I guess it was almost when  
19 they saw the doctor that that's when we could screen  
20 because, you know, there's no screening when you go  
21 and buy an OTC product. And that's really where the  
22 standardization, if you will, for certain parameters  
23 occurred. In looking at cholesterol reduction or  
24 whatever in one environment or another, that's I guess  
25 where we've done the usual screening criteria that is

1 done in a usual controlled trial. The doctor has sort  
2 of done that and leveled the playing field for those  
3 evaluations.

4 DR. GRADY: But the 2,400 who saw a  
5 physician were not, that group was really not followed  
6 up at the end either, right? Is that correct in terms  
7 of measuring cholesterol?

8 DR. FRIEDMAN: No, not all for measuring  
9 cholesterol, because again, it was a real world  
10 situation. For those that we could, we did measure  
11 cholesterol. But I think what we wanted to do, the  
12 objective was to see whether cholesterol reduction in  
13 one environment, the current prescription one versus  
14 the cholesterol reduction in an OTC environment, for  
15 people for whom ultimately it was appropriate would be  
16 the same. Because certainly in the OTC environment  
17 people could stop taking it, you know, over a period  
18 of time, a year. You know, no one had to go back, and  
19 in fact they didn't get their medication at the  
20 doctor's office. They had to go specifically back to  
21 the retail site.

22 DR. GRADY: So the only group whose  
23 cholesterol were measured were the ones who were  
24 qualified and treated in both groups?

25 DR. FRIEDMAN: The cholesterol were

1 measured initially in everyone who consulted.

2 DR. GRADY: At the end, though.

3 DR. FRIEDMAN: At the end, you know, again  
4 we had--, I think that the follow-up was not adequate  
5 enough to answer that. I think what you're looking at  
6 is a--, in those groups of everyone who came in--, I  
7 mean I think that the importance is that everyone who  
8 came into the OTC group, or the prescription group,  
9 not all those people, they had lots of places to go.  
10 They were randomized to the environment. It's really  
11 as if you were randomized to, you know, go to a  
12 supermarket to buy your drug versus being randomized  
13 to go to a pharmacy. But it doesn't mean that the  
14 drug is right for you. You just came in, you were  
15 interested in the ad, and then a lot of things could  
16 happen.

17 DR. GRADY: I understand that, but it just  
18 makes it not a randomized comparison and I know it's  
19 difficult to do. I think there is more, a better  
20 randomized comparison of who actually did see a doctor  
21 and got consulted.

22 DR. FRIEDMAN: Perhaps just from that  
23 perspective and the issue--, because I know this is a  
24 big issue in a consumer trial, the issue of  
25 randomization and when to make appropriate

1 comparisons, and perhaps I could ask Dr. Cook to  
2 comment on that from a statistical point of view.

3 DR. COOK: Yes, my name's Gary Cook. I'm  
4 a statistical consultant to Bristol-Meyers. The  
5 randomization basically created two groups at the time  
6 of randomization, but each of those groups was going  
7 to go down a different path. If a patient was in the  
8 Rx group, then they had to make the decision to  
9 actually see a physician for a prescription and then  
10 to decide if they then qualified and got a  
11 prescription, whether they would follow through with  
12 that. It does turn out that, my understanding is that  
13 there were 405 patients who qualified and consulted  
14 with the physician and there were 50 of them who  
15 basically didn't fill the prescription. So not  
16 necessarily all of the patients who qualified and were  
17 thought to need a prescription on the Rx side actually  
18 filled a prescription and followed through. 350 of  
19 them did. Now on the OTC side, we had something like  
20 499 patients who were treated, but not all of those  
21 patients saw the doctor. We basically had 90 percent  
22 of the patients ultimately saw a doctor, but it was a  
23 somewhat smaller percentage who saw the doctor  
24 initially. Now when they saw the doctor several  
25 things could happen, and most of those were good

1 things. They could be told they had a normal  
2 cholesterol which they didn't know before ever seeing  
3 the doctor or even coming in contact with the OTC  
4 option. So these were patients who in some sense were  
5 out of the system, but because they chose to purchase  
6 the OTC product and then go see the doctor, they found  
7 out they had a normal cholesterol and they were fine,  
8 and there were about 60 such patients who did that.  
9 That's a correct decision. That's actually a success  
10 for the OTC product. And then there were another 30  
11 some patients who, when they saw the doctor on the OTC  
12 side, they were actually found to have much higher  
13 cholesterol than would be appropriate for OTC, and so  
14 they got put on a prescription for that particular  
15 product. And that's a correct decision for them as  
16 well. The right thing happened to them because they  
17 purchased the OTC product and then were ultimately  
18 given a prescription for what was actually the right  
19 thing. Some of them were told that they already had  
20 a prescription, and that was the correct thing in that  
21 they didn't need the OTC product. So there were about  
22 120 some patients who when they actually saw the  
23 physician they found that it was inappropriate for  
24 them to use the OTC product, but they didn't know that  
25 until they actually saw the physician. But because

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 this is a physician-assisted use of an OTC product,  
2 they eventually did find out the right thing. Now the  
3 groups do not become actually comparable until the  
4 doctor has seen them on both sides and now you  
5 basically have patients who have both qualified and  
6 treated. And at that point you can see what happens  
7 to their cholesterol. Now the real question here is  
8 not necessarily whether or not you have a formal  
9 randomized comparison at the level at which you have  
10 qualified and then treated, because at that particular  
11 point you have groups that in some sense have gone  
12 through somewhat different pathways to get where they  
13 are. And so you can't necessarily on the basis of  
14 randomization alone as in a classical clinical trial  
15 compare the reduction in cholesterol of those groups  
16 with one another. The only question that you can  
17 really directly ask is, "Is the reduction that these  
18 individuals had consistent with what you might expect  
19 them to have on the basis of what was seen in previous  
20 randomized trials and is that reduction greater than  
21 what the reduction might have been had those patients  
22 been hypothetically randomly assigned to placebo?"  
23 Now my understanding from placebo-controlled trials is  
24 that when patients are randomly assigned to placebo,  
25 they either have very little change or cholesterol



1 slightly increases, or at most it decreases by two or  
2 three percent. Now in these patients, there was a  
3 decrease of approximately 18 percent, which is  
4 consistent with what would be expected from the  
5 randomized clinical trials. So each of the two groups  
6 performed as expected, and each of the two groups  
7 performed better than what a hypothetical placebo  
8 would, and in that sense each of the two groups showed  
9 comparable efficacy. It's a different line of  
10 reasoning than what a classical randomized trial would  
11 do, but for this particular type of care, one doesn't  
12 really need a classical clinical trial to show that  
13 Pravachol at a dose of 10 mg. reduces cholesterol.  
14 That's already been established. All that was needed  
15 was to see that in each of these two arms that  
16 qualified for treatment and that used treatment, that  
17 the reductions that you got were consistent with what  
18 you'd expect. But it's not a classical randomized  
19 trial. It was never intended to be that.

20 DR. PARKS: I just want to add that I do  
21 agree with you that I think that there are some really  
22 nice unique features about PREDICT and one of them is  
23 that the eligibility criteria, the list of exclusion  
24 criteria were not very extensive, and so when the  
25 study was opened up to the public here, or the

1 consumer, there was a lot of capture of a lot of  
2 people and we were able to see who was going to use  
3 this product without a physician. I think what  
4 becomes complicated is when they actually went in to  
5 see the physician at, in the OTC group it would be the  
6 second visit. And at that point those treatment  
7 guidelines were applied, the intervention started by  
8 the physician in that setting there. And then when  
9 they limited the lipid response evaluation to just  
10 that group of the qualified and treated, that's an  
11 enriched population in the OTC group. As I mentioned,  
12 there are about half of them in the OTC group that  
13 took the medication and we don't know anything about  
14 them. You really want to know in the real world use  
15 of this product, of the people who are not going to  
16 meet this protocol-defined qualified and treated  
17 subgroup, how are they going to actually do and the  
18 agency doesn't really know because those data were not  
19 available.

20 DR. FRIEDMAN: I think just for  
21 clarification, we do know of the people who took the  
22 product, who purchased and took the product, we know  
23 about all of them except for 72 who didn't consult a  
24 doctor, so we don't know their lipid levels. We know  
25 they're self-reported risk factor profile and what

1 they had done in the past. And I think, you know, as  
2 you look sort of in a broad picture of first of all  
3 that these people did see a doctor, so that's good,  
4 and then if you also look as to why the doctor said  
5 this wasn't appropriate, for the vast majority would  
6 have gotten benefit, either their levels were high and  
7 they weren't doing anything about it anyway, so then  
8 it's philosophical. Is it better to get a 20 percent  
9 reduction in LDL cholesterol when it would have been  
10 best to get a 30 percent, but now they're not getting  
11 anything. For the vast majority of them, they needed  
12 some cholesterol reduction. And I, sort of in my  
13 mind, is the big picture. That's sort of the  
14 question.

15 CHAIRMAN BRASS: Dr. DeLap.

16 DR. DELAP: Yes. There is one other  
17 aspect of this study that I'd just like to note. I  
18 think it was a very nice study in many respects and it  
19 answered a lot of interesting questions, but of course  
20 you can never totally mimic the OTC experience in a  
21 study. One of the things we've been talking about is  
22 how many people came back to see the doctor and how  
23 much good advice they got. Well, as I understand, the  
24 design of the study was such that if you wanted to  
25 continue on the product, you didn't have to come back

1 and see the doctor. So what we're not really  
2 capturing here is, without that incentive that you had  
3 to come back and see the doctor depending on the  
4 product, if you could just go to Wal-Mart and buy it,  
5 would they still have come back?

6 DR. FRIEDMAN: Well, we did capture it  
7 indirectly. People were--, you're right. There was  
8 an IRB recommendation that we do not let people go on  
9 and use the product for more than two months if they  
10 had not seen the doctor. So if you look at the  
11 people, the 72 people that we classified as not seeing  
12 the doctor, there is a 72--, 62 rather, never did see  
13 the doctor. Ten people attempted to go on and  
14 purchase more medication without seeing the doctor.  
15 We classified them as never seeing the doctor because  
16 they--, so we know that of this cohort who purchased  
17 medication, 720, that there were only 10 who decided  
18 to go on and try to repurchase, keep going with this,  
19 without seeing the doctor after two months. So we  
20 actually do have that information. We can't say  
21 anything more about them because we intervened. We  
22 said that they had to see the doctor. But we actually  
23 classified them as not seeing the doctor. So I think  
24 we do have some information from the study.

25 CHAIRMAN BRASS: Dr. Lukert.

1 DR. LUKERT: Did patients have to pay out  
2 of their own pocket to see either their own doctor or  
3 the study doctor?

4 DR. FRIEDMAN: People paid out of pocket  
5 to purchase medication. They were ultimately  
6 reimbursed at the end of the study, but they didn't  
7 know that during the study. The study physician  
8 visits were free. Many people did see their own  
9 doctor which, you know, was part of, and they did that  
10 as part of their normal care. So if they had coverage  
11 they got it, if they didn't, you know, they did as  
12 they normally would in their lives. But the specific  
13 study physician visits were free of charge. And I  
14 think we've talked about this before, we struck a  
15 balance of making people pay for medication in a  
16 study, but we did let people see the study doctor for  
17 free. I think it's important, though, that for many  
18 people this was an inconvenience because they already  
19 had their own doctor. So they actually made a  
20 specific visit to a study doctor. Maybe this is even  
21 showing how motivated people are to see a doctor  
22 because 80 percent of these people already have a  
23 doctor that they're used to seeing, but they actually  
24 made, you know, went out of their way to see the study  
25 doctor.

1 DR. SHETTY: I would like to point out  
2 that the OTC group had the access for the medication  
3 only for two months. Their supply was given only for  
4 two months and they had to go to the doctor in case  
5 they wanted to continue in treatment, so that forced  
6 them to go to the doctor within two months.

7 CHAIRMAN BRASS: Dr. Lukert, now when you  
8 say you're going to change the subject, it will be  
9 about cholesterol? Okay.

10 (Laughter)

11 DR. GRADY: Could we stick with PREDICT?  
12 I'm sorry I set off that whole thing. I just had a  
13 couple of very specific numbers questions about  
14 PREDICT. Could we do those first? Is that all right?  
15 Well, here's the other puzzling thing to me and that  
16 is, this issue of the 499 who were treated in the  
17 over-the-counter group. So these were the ones who  
18 were qualified and actually got treated. The FDA  
19 staff stated that 266 of those were really unqualified  
20 for treatment and Dr. Davidson, I think, asked why  
21 they were unqualified. Now, that was unqualified  
22 based on the actual guidelines of PREDICT? Or is that  
23 unqualified based on some physician's recommendation?

24 DR. PARKS: I just want to clarify that of  
25 the 499 who were treated, not all of them were

1 qualified. These are just individuals--

2 DR. GRADY: Well, those are the numbers  
3 I'm trying to get. How many were qualified and how  
4 many were unqualified, and why?

5 DR. PARKS: Okay. Of the 499 who took any  
6 amount of medication, 315 were qualified for  
7 treatment. And of those 315, 285 were actually  
8 treated. Now with respect to qualification, it was  
9 based upon that set of treatment guidelines I told  
10 you, and it was based on whether or not they had a  
11 baseline, if there was no evidence of diabetes or  
12 cardiovascular risk factor or established heart  
13 disease, or two or more risk factors, then they had to  
14 have a particular LDL to actually get started on the  
15 treatment. So if we look at--

16 DR. GRADY: Okay, but they were  
17 unqualified based on the sort of outline of the OTC  
18 program.

19 DR. PARKS: Based on the treatment  
20 guideline, exactly.

21 DR. GRADY: Okay, but 90 percent of those  
22 who were qualified did get treated?

23 DR. PARKS: Yes. 285, about 285 of the  
24 qualified got treated.

25 DR. GRADY: Okay. Thank you. And

1 finally, you said that a quarter of persons who took  
2 the OTC medication were subsequently recommended by a  
3 physician to stop. Is that the same group that we're  
4 talking about, who were the unqualified group?

5 DR. PARKS: It was a quarter out of the  
6 499. So yes, they would be part of the unqualified  
7 group.

8 DR. GRADY: Okay. That's not an  
9 additional group that subsequently recommended to  
10 stop.

11 DR. PARKS: No, it's part of that--, yes  
12 it's part of that 499.

13 DR. GRADY: Okay, thanks.

14 DR. COOK: Gary Cook here. But the reason  
15 they were recommended to stop was because for many of  
16 them when they saw the physician, they learned that  
17 they had normal cholesterol. So that was the right  
18 thing. Or they learned that they actually should be  
19 on an Rx dose, which also was the right thing. And if  
20 they had not actually participated in the OTC  
21 environment, they would not have learned either of  
22 those is the right thing.

23 DR. LUKERT: I want to go back to the  
24 basic question about if we're trying to solve an  
25 educational problem with an option that indirectly



1 will bring about education. It seems to me that what  
2 we said that the major problem is that a lot of people  
3 who are seeing doctors regularly with cholesterol  
4 levels within the range that we're trying to address  
5 with the OTC are not being treated because the current  
6 guidelines don't indicate treatment for those levels  
7 of cholesterol. And it seems to me that the major  
8 problem is that we have to rewrite the guidelines,  
9 educate physicians and educate the public to be  
10 advocates for this position. But we're trying to  
11 avoid that issue. We're not trying to avoid it, we  
12 think that issue's going to be difficult to solve so  
13 we plan to solve it by offering yet another option for  
14 the person with an over-the-counter preparation that  
15 may or may not be effective. We've already said that  
16 the people have difficulty identifying who should be  
17 eligible, even when they're given very specific  
18 guidelines. The consumer has difficulty with that.  
19 And we're going to have people who are going to be  
20 taking a drug in a sub-optimal way. They're not going  
21 to be titrated to the target. So it's just going to  
22 be another choice out there among all the other  
23 supplements and choices that they have that may  
24 actually delay them from getting effective treatment.  
25 And I don't think we're solving the problem of getting

1 yet another possibly ineffective option instead of  
2 addressing with massive attempts to educate both the  
3 public and the physicians.

4 DR. FRIEDMAN: Can I just clarify? I  
5 think we're talking about two different issues here.  
6 One is that--, one there's expanded access to a lower  
7 risk population. But the other is the issue that even  
8 within the current guidelines, people are not being  
9 treated according to those guidelines. Yeah, so, but  
10 this is not--, and just bringing the guidelines down,  
11 though, are not going to answer that.

12 DR. LUKERT: No, I think it has to be, you  
13 know, the guidelines certainly have to be something  
14 that you can defend so that the physician isn't  
15 getting confused by, you know, the guidelines are  
16 telling us one thing, and then by some other people  
17 being told something else. So we have to be  
18 consistent. And then certainly we have to make  
19 patients advocates for themselves and doctors, we have  
20 to keep pounding away at this problem making doctors  
21 address the problem.

22 CHAIRMAN BRASS: Dr. Uden.

23 DR. UDEN: I have a couple of questions,  
24 and some of these are open-ended and some of them are  
25 close-ended, trying to follow good types of questions.

1 Did the sponsor consult with the FDA prior to the  
2 design of PREDICT and OPTIONS?

3 DR. FRIEDMAN: The design of PREDICT and  
4 OPTIONS was the result of previous meetings that we've  
5 had with these committees for considering lipid-  
6 lowering therapy. When we developed the OTC Pravachol  
7 program, the FDA had a policy in place that they were  
8 not discussing protocol designs about this issue  
9 because of the guidance to industry.

10 DR. UDEN: My second question actually  
11 refers to the new proposed label submitted with the  
12 NDA. I didn't hear any rationale presented why the  
13 age restrictions were eliminated and now it's only  
14 over 18, it's only restricted under 18 years of age.

15 DR. FRIEDMAN: The proposed label--, you  
16 know, I think our intent and certainly the people that  
17 you see who are interested in this are generally  
18 middle-aged population. We saw very few people under  
19 the age of 35 and very few older people who were  
20 interested. And that was always our intent, how to  
21 capture that ideally, we have been working on.  
22 Actually the label that we have submitted is for men  
23 above the age of 35 and for women above the age of 45,  
24 yes. And I'm not sure if that's actually the label  
25 that you have in front of you. If not, we'd be happy

1 to give you the proposed label that has been submitted  
2 recently.

3 DR. UDEN: Okay, given that label, then I  
4 want to ask a question of Dr. Shetty. In the OPTIONS  
5 trial, you stated that 59.8 percent of women less than  
6 55 years selected the drug. How many of those women  
7 were less than 45 years who selected the drug?

8 DR. FRIEDMAN: I can show you that if I  
9 can-

10 DR. SHETTY: Less than 35 and above 35.

11 DR. FRIEDMAN: This is the age  
12 distribution in OPTIONS, and you can see that of  
13 course most people, this is PREDICT and OPTIONS. So  
14 most people did fall above the age of 55. And then  
15 you know, the majority of people were then between 45  
16 and 55. And if I could just follow-up actually, you  
17 know, not only with age, one thing to point out that  
18 the mean age of menopause in United States women is 51  
19 and a half. And then the next slide, actually, goes  
20 on to their cardiovascular risk and how many of these  
21 women below the age of 55 had total cholesterol levels  
22 greater than 200. Of the purchasers you can see that  
23 over 90 percent in PREDICT and OPTIONS of the women  
24 below the age of 55 who purchased drug in fact had  
25 total cholesterols greater than 200.

1 DR. UDEN: Thank you.

2 CHAIRMAN BRASS: Dr. Tamborlane.

3 DR. TAMBORLANE: I was waiting for  
4 somebody to raise the HDL question, but I guess I have  
5 to do it. I suppose I direct this to Dr. Cohen.  
6 Specifically if you want to show slide 2-9 from your  
7 presentation. I think the question that has come up  
8 is what is, you know, how can we extrapolate from  
9 using cholesterol and cholesterol changes as a  
10 surrogate marker for ultimate clinical benefit? And  
11 I don't think we've actually yet had that question  
12 resolved. My reading of this slide, which looks at  
13 the effect of placebo versus Pravachol, stratified by  
14 entry HDL, is that these data was for all total  
15 cholesterol and LDL values. So the question is what  
16 are these efficacy outcomes if you only look at the  
17 target population of entry total cholesterol of 200 to  
18 240 and then LDL over 130? This related and second  
19 question is how did the lipid-lowering, what was the  
20 reduction in LDL and total cholesterol in these  
21 studies? I'm only a pediatric endocrinologist and I  
22 don't follow this literature. This was a optimized  
23 titrated dose. What kind of outcomes did you get?  
24 What was the actual lipid-lowering effects?

25 DR. BELDER: To start with the last point

1 in all the prevention studies that we did we only used  
2 40 mg., so we never titrated. Another issue is that  
3 Pravachol lowers cholesterol very uniformly,  
4 independent of what the baseline levels is of  
5 triglycerides, HDL or total cholesterol. So the  
6 reduction is very uniform, around to 30 percent.

7 DR. TAMBORLANE: Excuse me. I just want  
8 to highlight that. So it was 30 percent in these--,  
9 about 30 percent in this study versus 18 in the 10  
10 mg.?

11 DR. BELDER: That's correct.

12 DR. TAMBORLANE: So it was significantly  
13 less.

14 DR. BELDER: Now your first question was  
15 the influence of the level of HDL on the relative risk  
16 reduction in our studies, and then for the target  
17 population. Unfortunately, in the West of Scotland  
18 study, we did not have to target population. However,  
19 in the CARE and the LIPID studies, you know that the  
20 CARE study was a study that was performed in patients  
21 with normal cholesterol levels. We did have to target  
22 populations; however, it was a secondary prevention  
23 study. If we looked, and we did an analysis according  
24 to the baseline cholesterol levels and we took the  
25 patients who had a baseline cholesterol level of 180

1 to 240, and we did another analysis that did the same  
2 thing, but then from 200 to 240, and we looked at the  
3 baseline of HDL because it continues variable and we  
4 did not see that there was any influence of the  
5 baseline HDL level on the relative risk reduction and  
6 clinical events.

7 CHAIRMAN BRASS: Do you have that on for  
8 us to see?

9 DR. BELDER: Unfortunately I don't have a  
10 slide because we did-- yesterday, we did the analysis.

11 (Laughter)

12 CHAIRMAN BRASS: Because I agree with his  
13 point and I--, this is really a poor surrogate.  
14 Because the issue is raised by the AFCAPS sub-  
15 analysis, which showed no risk reduction with another  
16 statin, and so the point you're making now is very  
17 important.

18 DR. BELDER: And I would also like to  
19 emphasize that the CARE study had patients with  
20 relatively normal HDL levels, so it was unlike the  
21 AFCAPS study that was selected for patients with low  
22 HDL levels. So we did that for both LIPIDS and for  
23 CARE, and we did not find that the level of HDL at  
24 baseline influenced the relative risk reduction.  
25 Another point that I would like to make is that we

1 also looked at the change of HDL during the study, and  
2 very-

3 DR. TAMBORLANE: Before you move on to  
4 that, statistics are great. Relative risk is one  
5 thing, but absolute rates are also important. I think  
6 you can have a relative risk of twofold, at a rate of  
7 one to two, versus seven to 14 percent, so I think  
8 that you really need to look at this in a hard way to  
9 really convince the committee that there's a clinical  
10 benefit because you're trying to use the surrogate.  
11 So relativity may not be the important issue.

12 DR. BELDER: I can address that issue. Of  
13 course the CARE and the LIPIDS study had relatively  
14 high-risk patients included because they were all  
15 secondary prevention. However, the data that has been  
16 shown consistently is that independent of the baseline  
17 risk of the patients, the relative risk reduction  
18 across all studies was similar. They were all  
19 approximately 30 percent relative risk reduction  
20 independent of the baseline risk of the patient. And  
21 so in the AFCAPS/TexCAPS study there was the lowest  
22 risk population that has been studied that the  
23 relative risk reduction was the same. The absolute  
24 risk reduction of course is much smaller, but the  
25 relative risk reduction is the same. And the other



1 point that I wanted to make is that we also looked at  
2 the change in HDL levels during the study. And we  
3 found that if a patient's HDL level changed, went up  
4 during the study, that did not influence the relative  
5 risk reduction that the patient received on the basis  
6 of his LDL reduction. So the LDL reduction would  
7 predict--, I should say it differently. That if a  
8 patient do, let's say for instance, exercise would  
9 raise his HDL level, he would still have the same  
10 magnitude of benefits, due to his LDL-C lowering. So  
11 you can add those two benefits more or less together.

12 CHAIRMAN BRASS: I just want to emphasize  
13 his point about absolute risk, because relative risk  
14 may be constant but as you go to a lower risk  
15 population, the absolute risk falls, or the absolute  
16 benefit falls. But if there's a risk of exposure,  
17 that risk will not fall. So the risk to benefit  
18 equation will fall. And I'm not saying this is an  
19 issue, but I'm just re-emphasizing why the absolute  
20 risk in this population is important.

21 DR. FRIEDMAN: I guess also, though, I do  
22 want to remind the committee that we're not asking for  
23 an indication for event reduction. And I guess the  
24 question is, is cholesterol lowering an appropriate  
25 end point? It certainly is for prescription

1 cholesterol lowering therapies. And I think we  
2 brought this up, you know, really because there had  
3 been a lot of discussion yesterday about the issue of  
4 HDL and it was really--, I hope we didn't confuse more  
5 than clarify. But I think we do want to be very clear  
6 that the indication that we are looking for here is to  
7 lower cholesterol.

8 DR. TAMBORLANE: I guess I'm confused  
9 because I assumed that that would be an indication  
10 based on data for clinical outcome resulting in  
11 lowering cholesterol.

12 DR. FRIEDMAN: Yeah, though again, as a  
13 reminder, for prescription approval for a cholesterol  
14 lowering drug, there is no requirement for event  
15 reduction or clinical outcome. There are only LDL  
16 markers.

17 CHAIRMAN BRASS: So I think to summarize,  
18 I think that a label that says LDL reduction may be an  
19 approvable label are part of our assessment is what  
20 the extrapolation of the risk to benefit of that  
21 surrogate will be, and that will be part of our  
22 deliberations and discussions. Dr. Johnson?

23 DR. JOHNSON: I want to come back to the  
24 age issue and clearly, I think there were three  
25 variations on the label which has, I think, importance

1 in terms of label comprehension and selection. But I  
2 have a more fundamental question about why you picked  
3 35 and 45 when I think the people that are interested  
4 aren't necessarily the people we want to target. We  
5 want to target the people who are interested and at  
6 some risk. And a man at 35 and a woman at 45 is  
7 probably a good 20 years from their first event, so  
8 we're going to ask those people, or try to convince  
9 those people that they should take this drug for 20  
10 years before they're really even at risk for an event.  
11 So I guess I'm curious why 35 and 45 and not 45 and  
12 55, which are the defined risk factor cut points in  
13 the NCEP guidelines?

14 DR. FRIEDMAN: Yeah, I think the  
15 determination of ages are a very important one and  
16 certainly one that deserves a lot of discussion now  
17 and as we move forward with this. I think we picked  
18 this--, first of all the 45, 55 are risk factors  
19 because the feeling is at that age one is already  
20 starting to have, by that age, a burden of  
21 atherosclerosis. And we see this as a prevention kind  
22 of issue by the age of 35 and 45, respectively, men  
23 and women are now starting to have, significant  
24 numbers of people are coming in to the higher  
25 cholesterol levels that will benefit from this. And

1 I guess we see this as a prevention option for people  
2 who are already--, and I think the other thing that's  
3 important is these people are already taking steps to  
4 lower their cholesterol. So that, you know, we saw  
5 that. Twenty-five percent of them are already  
6 pursuing nonprescription therapies to lower their  
7 cholesterol. We see this as a meaningful option for  
8 those people who are interested in doing that. And  
9 maybe Dr. Cohen has a couple of perspectives on the  
10 risk benefit because I think also, to your point, the  
11 safety issue is absolutely of paramount importance.  
12 We recommend to everybody that they follow a diet and  
13 probably will recommend to many people that they take  
14 dietary adjuncts to lower their cholesterol. So I  
15 think the question is in risk and benefit, what is the  
16 risk of which is really the safety profile of the drug  
17 to what is the ultimate benefit for that given period  
18 of time.

19 DR. COHEN: Thank you for that question.  
20 It's a good question and it's one that I think we've  
21 given considerable thought to. And as a clinician and  
22 a cardiologist, you come back to the disease process  
23 we're trying to prevent. And the number one cause of  
24 death in men over the age of 35 is coronary heart  
25 disease. The number one cause of death in women over

1 the age of 45 is coronary heart disease. That's what  
2 we're trying to prevent. And as I mentioned in my  
3 preamble, in fact, about 35 percent are sudden first-  
4 event deaths. You don't have a chance to see them,  
5 and this is the kind of patient we're trying to get  
6 at. And who is that patient? It's not a guy with a  
7 cholesterol of 300. It's a guy who may smoke, who's  
8 got a blood pressure of 142 and the doctor says,  
9 that's not so bad. And he's got a cholesterol of 235.  
10 That's the high-risk man. And he doesn't realize it  
11 because he's looking at individual numbers, and none  
12 of the numbers knocks your socks off, except when he  
13 dies everybody says this was a high-risk guy. Let's  
14 pay attention to the risk factors. Let's focus on the  
15 high-risk people, men age over 35, women 45 and over.

16 CHAIRMAN BRASS: But you'd agree that  
17 dropping the age to 35 would represent an additional  
18 extrapolation of the surrogate variable in terms of  
19 risk event rates, et cetera?

20 DR. COHEN: Yes, I would agree with that.  
21 And at some point I hope to be able to show you a  
22 slide that kind of puts it into a perspective overall.  
23 I'm not going to do that now, but I hope that we can  
24 get back to this issue of this question. It's a very  
25 important one, obviously, thank you.

1 DR. ORLOFF: Dr. Brass, may I make a point  
2 of clarification, stepping back right here.

3 (Laughter)

4 DR. ORLOFF: We need to get straight on  
5 this for the purposes of our discussion. The  
6 indications for use of any drug are a reflection of an  
7 expectation of benefit. And LDL-lowering indication  
8 is an implied indication to reduce the risk of  
9 cardiovascular disease of the occurrence of some  
10 atherosclerotic disease event. In those instances  
11 where we grant indications for the use of these drugs  
12 based solely on the LDL-lowering data, that is because  
13 the judgment is that under the conditions of use  
14 recommended in the labeling, the benefit to that  
15 population of patients will outweigh the risk. That's  
16 the problem that we need to get to today.

17 DR. COHEN: Mr. Chairman, may I address  
18 that problem? David, we've talked about this and  
19 you've hit the nail right on the head in terms of this  
20 issue and I think it's one that we need to look at in  
21 terms of the totality of the data. And when you look  
22 at the totality of the data we have a clear evidence  
23 of efficacy in terms of the 18 percent reduction. Now  
24 let us look at a level playing field. There was a  
25 situation not long ago where an Rx switch was made to

1 OTC, and it was nicotine replacement therapy. Nobody  
2 ever said show me the data for preventing lung cancer.  
3 Nobody said show me you can forestall COPD down the  
4 road. What did you want? You wanted intermediate  
5 variable reduction that we know in the long run will  
6 translate into benefit. And that's what we're after  
7 here. We know from all of the science, the animal  
8 studies, the epidemiologic data, and the clinical  
9 trial data that exists, that an 18 percent LDL  
10 reduction will translate into a huge-- That's why the  
11 goal of 2010, the newest goal is 199 for everybody.  
12 Where are the data to support that? That's the U.S.  
13 government. That's all of us in this room  
14 collectively thinking about how we're going to prevent  
15 our number one epidemic in the United States of  
16 coronary disease. The answer is not defibrillators  
17 for preventing first-event sudden death in airports  
18 and in ballparks. That's not the answer to this  
19 disease process. The answer is dealing with the basic  
20 disease of atherosclerosis and we have it in front of  
21 us today, ladies and gentlemen.

22 CHAIRMAN BRASS: Dr. Gilliam.

23 DR. GILLIAM: Two questions. One, in your  
24 safety data you had 43 women who had taken this  
25 medication while they were pregnant. Do you have any,

1 how long did they take-

2 CHAIRMAN BRASS: Please be sure you talk  
3 into the mic.

4 DR. GILLIAM: How long did they take this  
5 medication, that kind of thing? And then the second  
6 question was in your use studies, a question about how  
7 many of these people were taking herbal products,  
8 other medications that are, to try and lower their  
9 cholesterol?

10 DR. FRIEDMAN: To first answer the  
11 pregnancy, I can show you the specific breakdown. The  
12 exposure for probably a third of the women or half of  
13 the women was well, it was about less than six weeks.  
14 We might have that slide. Maybe we can look for that.  
15 It was about less, it was six weeks or less, four to  
16 six weeks. There was another, approximately a third  
17 or so, or maybe a little less, it was six to 14, 15  
18 weeks. There were a couple of women who took  
19 Pravachol throughout the full duration of their  
20 pregnancy. There's the slide. This is all during the  
21 first trimester and as Dr. Brown points out this is  
22 all during the period of organogenesis.

23 DR. ELASHOFF: As I recall you didn't know  
24 the outcome from a lot of those studies.

25 DR. FRIEDMAN: We know the outcome in 29



1 of these pregnancies and of those in which we know the  
2 outcome there were no cases of teratogenicity.

3 CHAIRMAN BRASS: Dr. Davidson.

4 DR. DAVIDSON: Well, first I want to  
5 congratulate the sponsor for being inclusive, you  
6 know, for the material you are producing. And I have  
7 to agree with Dr. Cohen. It would reduce the LDL 18  
8 percent, it would get a lot accomplished. The problem  
9 is I don't know if your studies and going over-the-  
10 counter will address the problem. Number one, in your  
11 studies, 85 percent of the population already have  
12 medical attention. And in 50 percent of the patients  
13 that the drug was prescribed, the drug was  
14 discontinued by the physician in patients without  
15 normal lipids. And that's a problem. It's a problem  
16 not for the consumer; it's a problem of education that  
17 we all need to do. Then you know, your studies really  
18 don't solve the problems. Second, your population at  
19 target was not a low-literacy population or the  
20 average American. You know, if you look at the  
21 answers, you know, actually your low-literacy did  
22 better in the answers than the over ninth grade deal.  
23 Then who did you choose for the studies? You know,  
24 people who already have insurance, that have HMO  
25 options. Either your material that you used was too

1 good and actually easy to understand, or you know,  
2 your population was really more educated than you  
3 think. Then those are the first parts of my  
4 questions, if you can answer. I have a couple of more  
5 after that.

6 DR. FRIEDMAN: I think to your first point  
7 about access to healthcare and that is clearly a very  
8 important issue for every aspect of healthcare. We  
9 actually see this as potentially an additional venue  
10 that could, where we could use other access to  
11 healthcare and bring the message out in additional  
12 ways that may, in the current prescription  
13 environment, not be reaching so many people. I do  
14 want to make it clear that we didn't choose anybody.  
15 We advertised and we made sure that the demographics  
16 of the media represented the community. We also  
17 augmented the advertisement in minority radio stations  
18 and magazines.

19 And we also specifically placed the sites  
20 in minority communities so we could get the reach that  
21 we did. I absolutely agree, and also the messages on  
22 the package and then the ad whatever the person  
23 bought, are really what we intend to have in the true  
24 OTC environment. We didn't have in the study some of  
25 the additional tools that we would want to have in an

1 OTC environment, such as audiocassettes for low-  
2 literacy people, audiocassettes in Spanish, package  
3 inserts and label in Spanish, which we would intend to  
4 have. But for one, we did not exclude people if they  
5 did not speak English, and we actually had healthcare  
6 professionals at some of the clinics that we chose  
7 that were reflecting the communities. So I think we  
8 tried as much as possible to do that. I totally agree  
9 with you that this is a big issue of access, and as  
10 Dr. Cohen said there are a lot of Healthy People 2010  
11 goals and narrowing that gap is one of them and maybe  
12 this can help.

13 DR. DAVIDSON: The second part was, you  
14 know, even if they have over-the-counter, if the  
15 physician will discontinue the therapy, you know, is  
16 a big problem. And those are things you need to  
17 address.

18 DR. FRIEDMAN: Absolutely.

19 CHAIRMAN BRASS: But if I could just  
20 interrupt for one second, because I think this  
21 concept-- Well, we all agree the healthcare system is  
22 doing a non-optimal job. I think we all agree on  
23 that. But I think this bashing about primary  
24 prevention of this population is being overdone. The  
25 PREDICT study was done in 1998. The amount of

1 randomized placebo-controlled trials that demonstrated  
2 a positive risk-to-benefit ratio in this low-risk  
3 population in 1998 was simply not available. And to  
4 say that physicians were acting inappropriately in  
5 1998 based on the information available in 2000, I  
6 just don't think is necessarily an accurate reflection  
7 of what physician behavior in primary prevention is  
8 going to be over the next five years.

9 So I think we have to be a little bit  
10 careful about--, and again, nobody's questioning that  
11 there is a lot of room for improvement in the  
12 healthcare system. I just think we're looking at an  
13 absolute worst-case scenario when we look at how  
14 primary prevention was being done in that period of  
15 time for what was then a low-risk population with  
16 limited evidence for indication for treatment. If you  
17 could identify yourself, please.

18 DR. PFEFFER: Yes. Mark Pfeffer. I'm a  
19 consultant for the sponsor. And some of the issues  
20 you brought up about safety and the issues you're  
21 bringing up about time are really very important  
22 because these are all moving targets. And I'm here as  
23 a care investigator and when we started, this drug  
24 wasn't even approved for use to lower cholesterol.  
25 And we all had our preconceived notions. As a matter

1 of fact, if you think of the safety profile as it was  
2 rolling out, we had to do slit lamp exams to make sure  
3 that we weren't getting corneal opacifications.

4 And with dialogue with the agency and with  
5 data that barrier was removed in the midst of the  
6 study. We had to do very careful surveillance labs  
7 which was brought up that you don't do that in real  
8 life, and that had to be done. And because of that,  
9 and because we've now pooled three studies together,  
10 we have a quarter of a million samples that say we  
11 can't detect the difference between placebo and the  
12 act of therapy.

13 Now this wasn't known to the  
14 investigators. The pravastatin pooling project  
15 started before the lipid study came out, which just  
16 came out a few years ago. In 1992 the investigators  
17 from these studies with sponsor support, develop the  
18 pravastatin pooling project.

19 You're seeing numbers here that the world  
20 doesn't even know yet. And that was put together to  
21 look at efficacy, to look at pre-specified subgroups,  
22 and I happen to be the lucky one to say, "Let me do  
23 the safety analysis." And because of that we now know  
24 that there is no difference over placebo that could be  
25 detected. Now you could never be that certain about

1 safety, and then we have the 22 million patient years.  
2 So I think now, we're in a different place, and we  
3 need a new step to help with the education of both the  
4 consumers and the patients.

5 DR. DAVIDSON: I didn't finish. You know,  
6 still my point is that if this product goes over-the-  
7 counter, you know, if we want to use it properly, you  
8 know, there will need to be a lot of healthcare  
9 education, otherwise it won't happen. When you're  
10 going to have an 800 number, who is going to mind that  
11 800 number and what are the qualifications of those  
12 people? And is the 800 number a bilingual 800 number?

13 DR. FRIEDMAN: Could I have the schema of  
14 107, please? This is the schema of the toll-free 800  
15 number that would occur during the hours of eight to  
16 ten. Consumers' calls would come in and by an  
17 automatic triage they would select English or Spanish.  
18 Then they would also get, beyond that, there would be  
19 a phone triage to an automated handling which would go  
20 to Pravachol Partners enrollment, and then consumer  
21 promotion increase.

22 For most OTC products, that's the largest  
23 amount of increase that's come in. Then the next  
24 would go to a consumer affairs specialist, and again,  
25 now they have already selected English or Spanish, and

1 then they would have a script that could answer  
2 questions about product use that would go through a  
3 script. If there were medical related questions, they  
4 would be directed to the personal physician or to the  
5 healthcare professional.

6 DR. DAVIDSON: Why only eight to ten?

7 DR. FRIEDMAN: We have another, if I could  
8 have the next slide, please.

9 DR. DAVIDSON: No, no. Let's finish.

10 DR. FRIEDMAN: It's 8:00 a.m. to 10:00  
11 p.m.

12 DR. DAVIDSON: Right, I know. Eastern or  
13 Standard time? Which leaves a lot of people out of  
14 the loop.

15 DR. FRIEDMAN: Sure. Well, that's  
16 something we'd be happy to extend. We do also have an  
17 off-hours 800 number.

18 DR. DAVIDSON: Okay. Could you go back to  
19 that slide?

20 DR. FRIEDMAN: Sure.

21 DR. DAVIDSON: You know, who is your  
22 consumers affairs specialist? What is the  
23 qualification for that person?

24 DR. FRIEDMAN: That person is someone who  
25 has been trained in the use, to answer questions per

1 the labeling and the fact book. That person is not  
2 intended to take the place of that person's physician  
3 and it's really just to be able to using the  
4 information, being able to provide that information to  
5 that consumer that's already in a fact book that  
6 actually the consumer would more or less for him or  
7 herself, but might need some clarification.

8 DR. DAVIDSON: Yeah, I really still don't  
9 get my question out. What is the background of that  
10 person?

11 DR. FRIEDMAN: That person would probably  
12 have a college education and then have specific  
13 training from a healthcare professional.

14 DR. DAVIDSON: Thank you.

15 CHAIRMAN BRASS: Dr. Neill.

16 DR. NEILL: Why did you ask for approval  
17 for the 10 mg. dose as opposed to 20 or 40?

18 DR. FRIEDMAN: Why are we asking now for  
19 approval? Why are we asking for approval for 10  
20 versus other doses?

21 DR. NEILL: Yes.

22 DR. FRIEDMAN: I think for several  
23 reasons. First, Rx-to-OTC switches have historically  
24 been at lower doses. And I think, very importantly,  
25 the choice of the lower dose actually has come, has



1 evolved from discussions from these committees and  
2 with FDA where there was a real concern and interest  
3 in differentiating the lower-risk person who could  
4 take this OTC from the higher-risk individual who  
5 really needed much more intensive intervention with a  
6 physician. And we think that we accomplish this by  
7 this dose.

8 We, in fact, the last time we were before  
9 this committee, we had a much broader reach in our  
10 program, and in fact it was a great concern of the FDA  
11 and the committee that perhaps that would be confusing  
12 and distract people from the healthcare system. So  
13 our intent here is to have a lower dose for a lower-  
14 risk population, who most of whom will achieve a  
15 meaningful benefit or get to their goal on this dose.

16 DR. NEILL: Why did you choose this lower-  
17 risk population for that low dose for your indication?

18 DR. FRIEDMAN: Again, it was because of  
19 discussions that we've had with the FDA and this  
20 committee. The concern that the higher risk  
21 population really needs to be under intense medical  
22 supervision, and that this could potentially be a  
23 distraction. That was a concern voiced at our last  
24 meeting. We heard it and this is how we've responded.

25 DR. NEILL: And last question for FDA.

1 Given the move of cholesterol monitoring outside the  
2 physician's office, I could imagine a time when this  
3 medication is available over-the-counter and that even  
4 higher-risk patients given access to cholesterol  
5 testing could appropriately manage themselves with an  
6 OTC medicine and with OTC testing. My question to you  
7 is, that's a real shift.

8 Yesterday you discussed the extent to  
9 which patients currently titrate other OTC medicines  
10 for symptom-related conditions. And I sat trying to  
11 imagine or come up with any medication that is already  
12 OTC that has a symptom of a condition that I felt was  
13 as serious, or the consequences of which were  
14 potentially as serious, or the safety profile given  
15 incorrect use of this OT medicine might be as serious  
16 as coronary heart disease. I couldn't come up with  
17 any. Can you?

18 CHAIRMAN BRASS: You can answer it very  
19 briefly, but really I think that's more for our  
20 general discussion this afternoon and let's focus.  
21 But if you want to go ahead and make a brief comment.

22 DR. DELAP: I think that you've hit a very  
23 important nail on the head, there, and that's partly  
24 why we've spent two days out of our lives with this  
25 meeting.

1 DR. GANLEY: The example would be insulin.  
2 Insulin's essentially an OTC drug and people self-  
3 monitor, and that's why I bring up the issue. If it's  
4 under-treated in the higher-risk populations, then why  
5 aren't we focusing on those populations, also? If  
6 there's going to be self-monitoring, which seems to be  
7 a requirement for the treatment of cholesterol, I  
8 think we should try to maximize benefit and  
9 individualize therapy and not look at it just on a  
10 population basis.

11 CHAIRMAN BRASS: Dr. Jenkins.

12 DR. JENKINS: I have a question for the  
13 sponsor, but first I want to go back to what Dr.  
14 Orloff was trying to clarify about the indication.  
15 Because you can't separate an indication for  
16 cholesterol lowering from an expectation of  
17 cardiovascular risk reduction and cardiovascular  
18 benefit, because you can't interpret the benefit of a  
19 cholesterol reduction unless you can interpret that in  
20 the context of what you think that benefit translates  
21 into for a cardiovascular benefit. And that's what  
22 you'd have to take into your risk benefit equation,  
23 which is what stands behind the agency's decision to  
24 approve a drug or not. So you can't just say that  
25 this is an indication just for LDL lowering. That

1 doesn't make any sense. LDL in and of itself doesn't  
2 mean anything until you translate it into what does  
3 that mean for reduction in cardiovascular risk. So  
4 you have to apply that process to this, what we  
5 consider to be a new indication, for the treatment of  
6 people 200 to 240, LDL greater than 130. You have to  
7 translate the LDL reduction into some benefit whether  
8 you do it through extrapolation or data for a  
9 cardiovascular benefit, and then you have to calculate  
10 in your mind or somehow whether that benefit outweighs  
11 the risk.

12 That's just a clarification of the  
13 indication. The question I wanted to ask goes back to  
14 the question we started with with Dr. Uden a few  
15 moments ago, and that's about the age criteria for the  
16 labeling. And I have to say I still haven't heard a  
17 very clear answer on how you arrived at the criteria.  
18 As I looked at the information that Dr. Lechter shared  
19 with us, the label you tested in the label  
20 comprehension study said men over 35 and women over  
21 55. The label that was then submitted to the NDA only  
22 mentioned if you were under 18 you shouldn't take the  
23 drug. The label you're now proposing is over 35 for  
24 men, over 45 for women, which as we heard from  
25 yesterday's discussion is somewhat different from the

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 other company's proposal which was, I think, 40 and  
2 over for men and greater than or equal to one year  
3 postmenopausal for female. Clearly a decision to  
4 treat patients has to be based on a calculation that  
5 the risk benefit ratio for treatment in that  
6 population is favorable. So I'd like to understand,  
7 why have you migrated in your program and how did you  
8 arrive that it's a favorable risk benefit treatment  
9 option for people as young as 35 if they're male, and  
10 as young as 45 if they're female? What's the  
11 scientific rationale? The only rationale I've really  
12 heard so far was, that's when people seem to indicate  
13 they want to start taking drugs.

14 DR. FRIEDMAN: I think first starting with  
15 the risk equation, certainly there are, as you get  
16 younger especially in women, I think it's very  
17 important to assess the risks and I think we've been  
18 very careful to ascertain that the risk is extremely  
19 minimal and no different from men or women. As you  
20 look at the risk of developing coronary disease for a  
21 45 year old woman and a 35 year old man, it's  
22 essentially the same reflecting that ten year  
23 difference. And again, we don't have clinical outcome  
24 trials on this issue. But I think what we've been  
25 hearing is that people are looking to lower

1 cholesterol, that lowering cholesterol is a good  
2 thing. We have approved therapies to lower  
3 cholesterol, dietary adjuncts, that we have accepted  
4 to recommend to people, foods and dietary adjuncts,  
5 because of the feeling of safety. And you're right,  
6 it is a risk benefit assessment. I don't think, I  
7 don't want to today say that it has to be 35 or 45.  
8 You know, I think that it has to be something that is  
9 beneficial of a prolonged dialogue as to what is the  
10 best age. But I think that as we looked at it, given  
11 the incredible safety profile of this drug, we saw  
12 extremely minimal risk and benefit for people who are  
13 looking to do this anyway.

14 DR. JENKINS: Can I just follow-up with  
15 that? On the issue of risk of cardiovascular disease  
16 in these target populations, can you give me any  
17 estimate or any idea about a 35 year old male who has  
18 no other risk factors other than his total cholesterol  
19 is within the 200 to 240 range and the LDL is greater  
20 than 130, do you have any estimates on what's the  
21 time-to-event frame that we're looking at for a  
22 cardiovascular event? What's the expectation for--,  
23 when will you see an event in a 35 year old male with  
24 those as his only risk factors? On average, I  
25 understand that it has to be average data.

1 DR. COHEN: That's a good question and I  
2 think that we don't have clinical trial data to look  
3 at. You saw yesterday from the AFCAPS/TexCAPS, which  
4 is the lowest-risk population studied in placebo-  
5 controlled trials, the curves begin to separate after  
6 six months. And in that population, which isn't quite  
7 the same age but it's the lower-risk end that we're  
8 really discussing here, and age is really a surrogate  
9 for a lower-risk individual if you're talking about a  
10 lower age person.

11 But the trials continue to separate over  
12 time, and that was pointed out. So at five years, the  
13 benefit continues to digress, to diverge, and all of  
14 the analysis that you can do, when you come to  
15 randomized clinical trials, minimizes the benefits.  
16 Why is that? It's because all of these trials have  
17 intention to treat analysis by design. And so people  
18 who are not taking a drug on treatment are counted as  
19 taking the drug. In addition, we're looking at  
20 defined event rate over five years in time. We know  
21 with the digression that the benefit is going to be  
22 high down road. I don't know when a 35 year old man's  
23 going to have a heart attack, nor do you. Or maybe  
24 he'll get hit by a truck tomorrow. No one in this  
25 room knows that. All we know is the United States of

1 America has this huge problem. I don't know if it's  
2 John Doe or Jane Smith who's going to have that  
3 problem, and so we have to face it from this kind of  
4 issue. Then you put it in the perspective of primum  
5 non nocere, what's the risk? And if you can assure  
6 yourself that the risk is vanishingly small, I will  
7 show you slides later that might suggest what the  
8 benefit can be. And I can tell you in this  
9 population, Dr. Jenkins, the risk is above average.

10 DR. JENKINS: No, I understand that point.  
11 I don't think you answered my question, but I don't  
12 think you have the data to answer the question. Let  
13 me just point out, though, I don't think anyone  
14 disagrees with your public health message, that those  
15 are important critical goals, but one of the  
16 fundamental tenants of drug approval is that the  
17 benefit is going to be derived from the patient who  
18 takes the drug and that the risk is carried by the  
19 patient who takes the drug, so I'm just trying to put  
20 it into perspective. Not the public health societal  
21 benefit of reducing cardiovascular disease, which will  
22 be a wonderful societal benefit. The question I'm  
23 trying to frame is what's the benefit to Joe Doe  
24 individual-

25 CHAIRMAN BRASS: I'm going to interrupt



1 now.

2 DR. COHEN: Could I just answer that  
3 question briefly? The benefit is a calculated risk  
4 reduction that we could do. And so if you know his  
5 cholesterol is x and he goes on a lipid-lowering drug,  
6 Pravachol 10, over-the-counter, and its 18 percent  
7 point less than x, then we can estimate from many,  
8 many data that his risk is that much lower in the  
9 order of magnitude of 20 to 30 percent.

10 DR. JENKINS: The only point I'm trying to  
11 make is that's the risk calculation we should be  
12 addressing for approval in a drug, not your public  
13 health goals, which are wonderful, but that's not the  
14 drug approval risk benefit calculation.

15 CHAIRMAN BRASS: I'm going to interrupt  
16 now, and we're going to take our lunch break at this  
17 point. We will reconvene at 1:30 and continue the  
18 general questions prior to getting to the FDA-specific  
19 questions.

20 (Whereupon, the proceedings went off the  
21 record at 12:30 p.m. for a lunch break.)  
22  
23  
24  
25

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:33 p.m.)

3 CHAIRMAN BRASS: Okay. If we could come  
4 to order, please. At this point we're going to  
5 continue the discussion of the presentations by the  
6 sponsor and the FDA. The sponsor, based on questions  
7 this morning, has taken advantage of the lunch hour to  
8 complete another pivotal trial with 10,000 patients.

9 (Laughter)

10 CHAIRMAN BRASS: But unfortunately the  
11 data analysis won't be ready for another five minutes.

12 (Laughter)

13 CHAIRMAN BRASS: So they will be making  
14 some additional comments about the risk issue in just  
15 a few minutes. But while they pull that together, I  
16 thought we would continue the questioning. And  
17 perhaps I might begin with just a couple of issues.  
18 Could you comment on any pharmacokinetic and safety  
19 data you have on patients with renal insufficiency  
20 given the right of elimination of this compound?

21 DR. BOTORFF: Mike Botorff. I'm a  
22 professor of clinical pharmacy at the University of  
23 Cincinnati. When you compare the pharmacokinetics of  
24 the various statins, most of them are very lipid-  
25 soluble and you hear repeatedly that pravastatin is

1 more hydrophilic or water-soluble. It is eliminated  
2 by several mechanisms, involving the kidney as one,  
3 when an oral dose is given. Generally, the renal  
4 excretion unchanged is about 20 percent or a little  
5 bit less. It's been studied both in single dose and  
6 in multiple doses in patients with various degrees of  
7 renal insufficiency, including those on dialysis,  
8 resulting in really no change in the pharmacokinetics  
9 at all. Both those papers have been published.

10 CHAIRMAN BRASS: Thank you. I want to  
11 turn a little bit more to understand the target  
12 population and its behavior in the OTC setting. And  
13 much of the discussion earlier today was related to  
14 the qualified who took drug and that very small  
15 cohort.

16 I'd like to go back to a slightly larger  
17 cohort and make sure I understand something. In the  
18 PREDICT trial, there were 2,400 plus patients who were  
19 designated after randomization as appropriate for  
20 consultation. I'm looking at page 89 of your  
21 briefing, and that was called the consult group, post-  
22 randomization.

23 So that, again, on page 89 it's 3,800  
24 visited, 3,600 were randomized, 2,500 consulted. And  
25 I want to look at the characteristics of that 2,500

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 patients in contrast to patients who actually  
2 completed. And before I even start, though, I want to  
3 emphasize that I think the sponsor did a variety of  
4 innovative things in their two actual use studies that  
5 has generated the kind of data we often don't see  
6 about actual use populations. So that many of the  
7 issues that might come up for discussion, in fact  
8 already have, would even have been raised in many of  
9 the conventional trial designs. So I really do  
10 congratulate the more innovative context of some of  
11 this trial design.

12 But my understanding is these 2,500  
13 patients had to opportunity to review the carton and  
14 after reviewing the carton thought that they were in  
15 fact appropriate users. Is that correct?

16 DR. FRIEDMAN: The 2,500 patients  
17 represent both the OTC and prescription environments.  
18 So for the OTC people, they reviewed the carton and a  
19 prototypical OTC ad.

20 CHAIRMAN BRASS: But that's prior, is that  
21 prior to randomization?

22 DR. FRIEDMAN: No, after randomization.  
23 So they were randomized to these environments without  
24 any knowledge at all. Okay, they came in and they  
25 were randomized to the two environments without

1 showing them anything. So that is truly without any  
2 selection bias. And then after randomization the OTC  
3 participants could see the OTC package and a  
4 prototypical OTC ad that you would see in a magazine.  
5 The prescription people, the people that were  
6 randomized to prescription, those 1,900 saw a  
7 prototypical DTC ad and did not see anything else.

8 And then when they left the site, they,  
9 you know, then they left the site. The OTC people  
10 could have purchased at that point, but that was the  
11 only information given to the people at the site.

12 CHAIRMAN BRASS: Okay. Again, I apologize  
13 for the confusion, but try and understand.

14 DR. FRIEDMAN: Yes.

15 CHAIRMAN BRASS: On page 89, there is a  
16 population of 2,466 identified as the consult  
17 population.

18 DR. FRIEDMAN: That's correct.

19 CHAIRMAN BRASS: On page 91, it's  
20 indicated that there were 1,900 randomized OTC and  
21 1,900 randomized to prescription.

22 DR. FRIEDMAN: That's correct, because  
23 about two-thirds of people, after they left the site,  
24 decided to consult. That was up, you know, whatever  
25 reason they had.

1 CHAIRMAN BRASS: I see. So there was a  
2 patient-based selection.

3 DR. FRIEDMAN: That's correct.

4 CHAIRMAN BRASS: Once randomization  
5 occurred, not to follow-up.

6 DR. FRIEDMAN: Not to consult. That's  
7 right. That we don't know because we did not, we  
8 didn't ask them at the end of the six months and we  
9 didn't intervene, but for whatever reason they could  
10 just say they weren't interested, or whatever. So  
11 two-thirds of the entire cohort who were randomized  
12 decided to consult.

13 CHAIRMAN BRASS: Okay.

14 DR. FRIEDMAN: And that's why you get that  
15 drop-off. The information that they had before making  
16 the decision to consult was the package for the OTC  
17 and an OTC ad, and a DTC ad for the Rx, and that's it.

18 CHAIRMAN BRASS: In the 720 patients who  
19 then saw the label and elected to purchase.

20 DR. FRIEDMAN: Yes.

21 CHAIRMAN BRASS: Okay. Do you have what  
22 the cholesterol profile is of that 720?

23 DR. FRIEDMAN: Yes. This is the total  
24 cholesterol and LDL cholesterol. Is that the  
25 information you want?

1 CHAIRMAN BRASS: Yes. Now I'm interested  
2 in, there's a little bit of flopping between the LDL  
3 130 and 160 number, and for primary prevention, in  
4 fact, earlier in your document you indicated that 160  
5 would be the level that would be indicated for  
6 treatment and was your criteria for those who should  
7 enter the trial. But then, subsequently, very often  
8 you used 130. Could you just clarify that difference?

9 DR. FRIEDMAN: Yes. The treatment  
10 guidelines that we gave to the physicians-- Again, we  
11 wanted as uniform treatment guidelines so we could  
12 then follow behavior and LDL reduction, you know, in  
13 a systematic fashion in this study, which we didn't do  
14 in OPTIONS, but in a systematic fashion. So we gave  
15 treatment guidelines more or less following the  
16 principles of NCEP. So for the lower-risk primary  
17 prevention population, we had treatment guidelines of  
18 160 to 190, and for the higher-risk, 130 to I think it  
19 was 190.

20 CHAIRMAN BRASS: Right, so the 130 here is  
21 a little misleading in terms of them representing the  
22 target treatment population of this study. Because  
23 wasn't the intent to treat, and again, I'm just--

24 DR. FRIEDMAN: Well, this is the profile.  
25 I can give you the lipid levels of the people who were

1 in fact treated, if that would be helpful.

2 CHAIRMAN BRASS: Okay. Well, let me  
3 switch back then. You subsequently say that 80 some-  
4 odd percent ultimately met targets.

5 DR. FRIEDMAN: NCEP goal. That's correct.

6 CHAIRMAN BRASS: Was that, were those  
7 goals the 160 number or the 130 number?

8 DR. FRIEDMAN: It depended on where we  
9 thought we-- The goals were defined by the NCEP, so  
10 if you were a lower-risk primary prevention, it was  
11 160. If you were a higher-risk primary prevention, it  
12 was 130. So that was the guidelines given to the  
13 doctor. The number reached, so that's what that 83  
14 percent represents. If you would like to see the  
15 number specifically of the percent reaching LDL less  
16 than 130, it was about 63 percent. But again the  
17 doctors were not told, you know, to go any higher.

18 CHAIRMAN BRASS: Okay. One of the  
19 positive aspects of the OPTIONS trial, while it is  
20 limited because of the population all being accessible  
21 to physicians, one of the advantages is that you have  
22 gold standard medical histories by looking at the  
23 charts.

24 DR. FRIEDMAN: Yes.

25 CHAIRMAN BRASS: And one of the things



1 that was kind of interesting to me if I understood it  
2 right in OPTIONS was that 26 percent of the patients  
3 who were currently on prescription lipid-lowering  
4 agents selected themselves to take the OTC, and that's  
5 page 127 of the, it's on 26 and 27. Does that number  
6 ring a bell? Is that consistent with?

7 DR. FRIEDMAN: I don't know if, I know  
8 that at the end of the three month period, when we  
9 looked, there were 99 people who came in on  
10 prescription therapy. And we looked at their behavior  
11 at the end of-

12 CHAIRMAN BRASS: But at the start 27 of  
13 that 99 took Pravachol 10 mg.

14 DR. FRIEDMAN: Yes, there were some OTC  
15 purchasers who took and then went off it and went back  
16 on their prescription, that's correct.

17 CHAIRMAN BRASS: Well, what I'm trying to  
18 extrapolate that experience to is the unsupervised OTC  
19 use of the compound. And it seems that theoretically  
20 that represents two potential risks. If 27 percent of  
21 all the people currently on hypolipidemic prescription  
22 drugs begin in an unsupervised way, whatever our  
23 intent is, to begin taking the OTC products, it seems  
24 there are two potential risks associated with that.

25 One, if you believe that the risk of

1 rhabdo is increased by co-administration of statin and  
2 fibrates. They may be putting themselves at increased  
3 risk. Or, two, they may be putting themselves at risk  
4 for discontinuation of the more effective therapy.  
5 And when you start talking about 27 percent of that  
6 cohort beginning to discontinue drug in an  
7 unsupervised setting, who is most likely a higher-risk  
8 population, that again, the risk-to-benefit comes into  
9 question. Could you comment on those issues?

10 DR. FRIEDMAN: Yeah, two comments. First  
11 about the use with other prescription-lowering  
12 therapies and a potential, though I don't think it has  
13 been positively associated, potential for increase  
14 safety issues, I think we can go back to the label  
15 comprehension study where there is a comprehension of  
16 the warning, "Do not use if you're taking other  
17 prescription-lowering medicine", and that was well  
18 understood.

19 CHAIRMAN BRASS: Well, except that's not  
20 a real use comprehension study. And whereas those 27  
21 percent of the patients on prescription drugs who  
22 started, had the carton in front of them, presumably  
23 had the opportunity to read that carton, and yet start  
24 it. And I think that kind of actual use data is much  
25 more powerful than the multiple choice question on the

1 comprehension study.

2 DR. FRIEDMAN: Yeah, I, certainly I think  
3 the consumer use studies really give us a lot of  
4 information and I think the other piece of information  
5 that these give us is that, one, if people don't  
6 consult, you know, most people don't continue on with  
7 the therapy.

8 And when we saw that, at the end of the  
9 three month period, these people had an evaluation, in  
10 fact it was 11 percent who shifted from prescription  
11 to OTC in OPTIONS and in PREDICT, it was two percent  
12 who shifted from prescription to OTC. In OPTIONS you  
13 could say that, well, it's an HMO population. They  
14 all have healthcare. In PREDICT, it's a much broader  
15 population.

16 So, you know, I think the reality is there  
17 may be a little of that. That's probably what will  
18 happen. But I think that these numbers are really  
19 quite small and you have two studies to evaluate that.  
20 And so that is the issue of the shift. Then the issue  
21 of the safety, I think perhaps you can think about  
22 with the label comprehension.

23 CHAIRMAN BRASS: Well, I understand again,  
24 the absolute magnitude of the number who shift may be  
25 small and it may be anywhere between two and 27

1 percent, given the confidence intervals on those  
2 numbers. It's something in that range. My point is  
3 that the absolute risk in that population for  
4 cardiovascular events may be substantially higher  
5 evidenced by the fact that some doctor, even our  
6 limited healthcare system, decided they needed  
7 therapy, and so the absolute increase in risks from a  
8 small percentage of patients discontinuing  
9 prescription therapy versus a large number of low-risk  
10 patients accessing therapy, I think, is a legitimate  
11 question in the room.

12 DR. FRIEDMAN: I agree with you. I think,  
13 though, that what's central though to your hypothesis  
14 is exactly that a doctor did put them on therapy. So  
15 it is a person, you know, I think what you were  
16 concerned is the people who don't see the doctor. But  
17 here are people who are in the system, have been  
18 seeing their doctor, and you're right, some of them  
19 may not go back.

20 But I think our data, what you brought up  
21 was, well here are people who have doctors, so what  
22 about the people who don't? Well, the people who  
23 don't probably wouldn't have been put on therapy. So  
24 people who have been put on therapy have doctors.

25 CHAIRMAN BRASS: And I recognize that

1 we're talking hypotheticals. So neither of us can  
2 definitively address this. But one of my concerns,  
3 and I realize PREDICT was biased to shifting in one  
4 direction, but that an interpretation of this is that  
5 patients who have had physician relationships, are on  
6 prescription therapy, may say, may say, I don't know,  
7 may say I no longer need to go to my doctor because I  
8 can take the drug over-the-counter and discontinue the  
9 healthcare relationship. I don't know to what degree  
10 that will happen.

11 DR. FRIEDMAN: The other piece of data,  
12 though, to think about is the one year data where,  
13 again, people in the OTC environment were just as  
14 compliant with going back and getting that annual  
15 visit with a doctor compared to the prescription  
16 environment. I agree with you. It's a theoretical  
17 risk. We've tried to evaluate it with these pieces of  
18 data.

19 CHAIRMAN BRASS: And one last question.  
20 Of the 720 OTC PREDICT patients, what percentage of  
21 them had cholesterols over 240? You showed us over  
22 200. What percentage had over 240?

23 DR. FRIEDMAN: Just a moment. We have a  
24 lot of data. The book is very heavy. I can show you-  
25 AB109. Now again in PREDICT, now this is-that's not

1 it. In PREDICT, we only know the cholesterol because  
2 those are people who consulted, so we don't know the  
3 cholesterol of all the purchase people.

4 CHAIRMAN BRASS: I see.

5 DR. FRIEDMAN: I can show you the baseline  
6 for both PREDICT and OPTIONS. The baseline total  
7 cholesterol distribution for the people who purchased.  
8 But again, for PREDICT, that will only be for the  
9 people who consult.

10 CHAIRMAN BRASS: Fair enough.

11 DR. FRIEDMAN: So, yeah, AB103. And that  
12 gives you for PREDICT in yellow and OPTIONS in blue,  
13 the total cholesterol distribution.

14 CHAIRMAN BRASS: So adding up quickly, a  
15 little over 40 percent were over 240? Is that fair?

16 DR. FRIEDMAN: That's right.

17 CHAIRMAN BRASS: Okay.

18 DR. FRIEDMAN: Again, though, the  
19 important thing also for PREDICT is that these people  
20 all consulted a doctor.

21 CHAIRMAN BRASS: I understand. I  
22 understand. Are you ready for the risk data or do you  
23 want some more time for that?

24 DR. HENNEKENS: Well, I'm Charles  
25 Hennekens. I'm a preventive medicine consultant to

1 Bristol-Meyers Squibb. Dr. Friedman asked me to speak  
2 to the issues you raised just before lunch, both the  
3 committee and the FDA with respect to the quotient  
4 points about benefit and risk ratio and with respect  
5 to age as a former clinician and epidemiologist, I  
6 would tend to raise the lower limit from 35 to 40 in  
7 men and 45 to 50 in women simply because this is the  
8 time at which the event rates begin to rise  
9 exponentially.

10 But even this needs to be viewed in the  
11 context that years ago working with Sidney Blumenthal  
12 and Mary Jane Jesse we demonstrated that children of  
13 men who had an MI by age 50 already exhibited  
14 hyperlipidemia in childhood, so certainly in early  
15 middle age one could pick up this upset of the  
16 population in men in their 30's and women in their  
17 40's.

18 Now the data from AFCAPS/TexCAPS for this  
19 OTC target population indicates five year  
20 cardiovascular event rates of about five percent for  
21 this target population over five years. Now as  
22 expected, this is slightly lower than the projections  
23 one would get from an observational database because  
24 of the selection of people into the trials. But even  
25 after adjusting for the expected poor compliance that

1 one would get in the OTC population, this would  
2 translate conservatively to at least a 20 percent  
3 reduction and the absolute event rate to four percent  
4 among those who were taking Pravachol 10.

5 This is because the 18 percent reduction  
6 in LDL-C from Pravachol 10 would be expected from  
7 epidemiologic data and with good compliance in the  
8 trials to really achieve about a 40 percent to about  
9 an absolute risk down to three percent.

10 CHAIRMAN BRASS: If I could just  
11 interrupt. In the discussion yesterday and part of  
12 that discussion was inferred in the conversation this  
13 morning, there was concern that the AFCAPS population  
14 does not represent the OTC target population because  
15 of the HDL cutoff. And that part of the discussion  
16 this morning, and I apologize for the degree, it was  
17 a continuation of a discussion from yesterday, is  
18 trying to define in the OTC target population as  
19 defined by sponsor what the risk rates will be and  
20 extrapolation of benefit.

21 DR. HENNEKENS: Yes. Well, I think the  
22 HDL issue is a red herring here because even in the  
23 AFCAPS/TexCAPS study one has to conclude that if you  
24 look at the overall database, there is no  
25 heterogeneity in the reduction of LDL-C and people



1 with low, medium and high HDLs. There is a  
2 significant benefit even among those with high HDLs.

3 CHAIRMAN BRASS: Again I apologize, but  
4 yesterday we saw data that showed the event rate was  
5 different.

6 DR. HENNEKENS: Yeah. Well, the issue of  
7 yesterday, there were two issues I heard yesterday.  
8 One was that there was no significant benefit at the  
9 high HDL levels in AFCAPS/TexCAPS. This was based on  
10 a comparison of 21 versus 23 events. This was a  
11 subgroup of a subgroup. The overall HDL analysis is  
12 a data-derived subgroup and it is statistically  
13 significant in favor of benefit.

14 The subgroup of the subgroup, defined  
15 inexplicably by the sponsors based on those that would  
16 be eligible in their OTC target, left you a subgroup  
17 of a subgroup that had 21 versus 23 events. So I  
18 reject the notion that there's no benefit in the high  
19 HDL. It is true that there's some gradient across HDL  
20 levels, but that's also true in all populations one  
21 looks at.

22 And I think that that--, it's an  
23 independent risk factor. One sees benefits of LDL  
24 lowering at the highest levels of HDL in men and in  
25 women alike. So at any rate, I make this a fairly

1 conservative small absolute risk reduction which, as  
2 you know, is in marred contrast to a secondary  
3 prevention population where during this five year  
4 period you'd expect reductions--, a 20 percent  
5 reduction would lead you from 20 percent to 20 percent  
6 from 25 percent in the placebo group. So it's a much  
7 bigger benefit in the higher-risk secondary prevention  
8 group.

9 But this 20 percent reduction in secondary  
10 prevention has a far greater impact in the individual  
11 than in primary prevention, but for a safe drug like  
12 Prava 10 I think this reduction is important both from  
13 a clinical and public health impact. And indeed  
14 estimates could be made that the 20 percent reduction  
15 and secondary prevention, even though it has a bigger  
16 benefit to the individual might avoid 10,000 events  
17 whereas in the population in primary prevention over  
18 five years it would avoid about 100,000 events.

19 Now with regard to the fact that one can  
20 demonstrate a benefit of cholesterol-lowering in this  
21 population, I did serve on the NCEP coordinating  
22 committee as the College of Preventive Medicine  
23 representative. In 1986 the guidelines called ATP I  
24 were based on the totality of evidence that included  
25 randomized trials of drugs and diet that gave LDL

1 reductions of 11 percent.

2 This translated to benefits on coronary  
3 events, but equivocal findings on total mortality.  
4 Prava 10, as you know, reduces LDL-C by 18 percent.  
5 In 1992, ATP II guidelines were changed primarily to  
6 make a more targeted goal of an LDL less than 100 for  
7 secondary prevention.

8 Now, these are the current guidelines.  
9 They don't reflect or have anything to do with any of  
10 the statin trials that have been completed in the last  
11 six or seven years and they show marked reductions on  
12 MIs, stroke, vascular death and total death. And the  
13 point I want to make here is that these guidelines are  
14 reactive to accumulating data and positions taken. If  
15 the FDA were to approve OTC use of Prava 10, then I  
16 would assume that they would be incorporated into  
17 future guidelines to react to the data as they  
18 accumulate it and become accepted.

19 With regard to adherence to the current  
20 guidelines as the Chairman and others have said,  
21 nobody would disagree that they're sub-optimal;  
22 however, I think it should be stated that with 13  
23 years of NCEP, six years of landmark statin trials,  
24 widespread advertising in the medical journals,  
25 educational programs and perhaps, last but not least,

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 drug detail people at the doorstep of all the  
2 healthcare providers on a daily basis, Dr. John LaRosa  
3 has published in the American Journal of Cardiology in  
4 the last year or so results from the NHANES data,  
5 which quantitated this to be, in secondary prevention  
6 and people with events, less than 15 percent are  
7 achieving the current guidelines. In primary  
8 prevention, less than five percent are achieving the  
9 guidelines.

10 So I think the introduction of Prava 10  
11 into the OTC as an OTC option has to be looked at in  
12 the context of a current situation that is  
13 complementing a big existing need. Now with respect  
14 to the risk side of the equation, I concur completely  
15 with Dr. Belder's safety analysis, but I think that  
16 it's important to point out the suggestion that their  
17 liver failure associated with Pravachol has to be  
18 viewed in the context that this has no, is nothing in  
19 excess of the background. And secondly, that with  
20 regard to rhabdomyolysis, the risk is either  
21 exceedingly small or nonexistent, so I would think  
22 that I would not be able to conclude that there are  
23 small but significant risks associated with this drug.  
24 So in principle I would say that I concur that OTC  
25 options for a prescription drug require particularly