

1 seeing a lot of the office, strong topical steroids are
2 being routinely. They are used because we have a lot of
3 samples of them, for one thing, and for another, a lot of
4 the people who are handing them out don't have any
5 understanding as to what is strong, what is mid-level, what
6 is a weaker topical steroid

7 Second of all, KOHs are not being done.
8 Sometimes, they are not being done because the CLIA
9 regulations get in the way and people don't want to have a
10 lab. Sometimes they are not done because people don't know,
11 or don't want to know, how to do them.

12 If the patient is put on steroids and doesn't
13 respond, then they are put on antifungals. But, by that
14 time, the fungus may have grown down the follicle and
15 produced the folliculitis which the topical antifungal
16 cannot reach and, therefore, you created a significant
17 problem by the way a lot of people take care of the these
18 kinds of lesions.

19 [Slide.]

20 I didn't put up this slide to make fun of
21 nondermatologists. I put up this slide because when I was a
22 nondermatologist, back 40 years ago, I was a general medical
23 officer in the service. At that time, I didn't know
24 anything about dermatology except I didn't want to look too
25 stupid. So I had a cream that I would put onto something.

1 If it worked, then I was a hero. If I wasn't, it got
2 referred to a dermatologist.

3 In those days, the cream of choice was Mycolog.
4 It had in it something that was a steroid and it was
5 antibacterial and it was antiyeast. I didn't know the
6 difference between a yeast and a fungus at that point,
7 anyway. So that was the way I used the product.

8 Indeed, that is the way a lot of people are using
9 the products that we have today. The nondermatologist often
10 does not understand the difference between the potency of
11 different steroids. They rarely understand the difference
12 between the clinical presentations of yeast or fungus
13 infections and often, even though there are specific
14 differences that one can see clinically, they are not taught
15 and they do not remember these differences when they see
16 patients. The nondermatologist may not recognize the
17 difference between eczematous conditions and tinea.

18 So a lot of this is being done by the seat of
19 their pants. So they are using a medication which they
20 think will take care of everything. That is one of the ways
21 that this drug gets used.

22 I am amused at how careful we are in our labeling,
23 but I think a lot of people who are using this drug are not
24 reading the label. They are using the drug because they
25 perceive it as cure all and, therefore, are using it in a

1 lot of situations where we might otherwise not wish them to
2 use it.

3 [Slide.]

4 Steroid antifungal combinations are perceived as a
5 single treatment that will work for everything. Of course,
6 this is not true.

7 [Slide.]

8 In my day, Mycolog, the original Mycolog,
9 contained the things I talked about. Mycolog 2 got rid of
10 the neomycin and the other antibiotics. And Lotrisone today
11 is what gets used a great deal.

12 [Slide.]

13 The other thing to remember is, as was said
14 before, patients don't throw things away. If you want to
15 really frighten yourself, open your medicine cabinet and
16 look in it because there things there from twenty years ago
17 that you are saving just in case you have a really bad pain
18 and you need that codeine, or whatever.

19 It is amazing what is in my medicine cabinet, and
20 I try to be careful. So patients save this stuff and then,
21 when they get the groin inflammation again, they go back and
22 they use it over again. Although prescribed for a limited
23 amount of time, sometimes nondermatologists refill
24 prescriptions that they should not refill and the drugs get
25 used for longer periods and patients often continue to use

1 it.

2 There is an old theory that if a little is good,
3 then a lot must be better and so they continue to do it.

4 [Slide.]

5 I also want to point out that a lot of people
6 don't do KOHs. I always go back to Dick Dobson who gave a
7 wonderful talk that I heard. He said, "There are only two
8 times when you do a KOH. One is when you suspect a fungus
9 and one is when you don't suspect a fungus."

10 I think that is something I have been teaching for
11 a long time. I think it really does make diagnoses, and
12 most of the time it has been extraordinarily helpful to me
13 in thinking about what I am dealing with.

14 [Slide.]

15 So the steroid antifungal combinations; usually
16 the steroid isn't need for the dermatophyte to work. The
17 steroid helps the symptoms of Candidiasis and, indeed, of
18 some of the dermatophyte infections in the early stages.
19 But, after a few days, the steroid is no longer needed and
20 may be harmful.

21 There are other products and, as Ted said, a
22 product such as cycloproxoleamine, and others, have other
23 inflammatory effects which may be as strong as many of the
24 steroids that we use.

25 I think that we make a mistake, often, in medicine

1 where we put things in boxes. "This is an antifungal."
2 That doesn't mean that it can't also be inflammatory or
3 antibacterial or anti-something else. But we think of it as
4 an antifungal and, therefore, we don't think of it as doing
5 anything else. It will do what it darn pleases and we have
6 got to find out what that is. For cycloprox, it is also
7 inflammatory.

8 [Slide.]

9 When you have Majocchi's granuloma, topical
10 measures do not work. You need systemic medications,
11 griseofulvin and terbinafine or, if you are dealing with
12 yeast organisms, itraconazole, fluconazole, would be the
13 drugs of choice.

14 Thank you.

15 DR. DRAKE: Dr. Elgart, thank you for a very
16 precise and informative presentation.

17 Dr. Feldman? If any of you prefer, there is a
18 podium and a mike there, if you prefer to stand at the
19 podium. It is clearly your preference.

20 **Use of Lotrisone in the United States**

21 DR. FELDMAN: Thank you for letting me speak
22 today.

23 [Slide.]

24 I am going to speak on the use of the combination
25 of clotrimazole-betamethasone dipropionate in the United

1 States.

2 [Slide.]

3 Most of what I am going to present today are data
4 that the federal government has collected through the
5 National Ambulatory Medical Care Survey. I am going to talk
6 about the use of the clotrimazole-betamethasone product to
7 treat fungal disease, its use in general and, in particular,
8 use by pediatricians and then, perhaps, a little bit about
9 diaper rash.

10 [Slide.]

11 The National Ambulatory Medical Care Survey is a
12 terrific resource for understanding outpatient practice of
13 medicine in the United States. It is performed by the
14 National Center for Health Statistics. They survey 35,000,
15 give or take, office visits across the United States per
16 year.

17 These are not hospital inpatients or government-
18 employed physicians. So it is a real terrific survey for
19 looking at skin care which is practiced predominantly in the
20 outpatient setting. The survey has information on patient
21 demographics, diagnoses and what medications are used, among
22 other things.

23 [Slide.]

24 Some details about the study are in the handout.

25 I don't think we need to go into them now.

1 [Slide.]

2 Regarding the treatment of common fungal
3 infections, one can use monotherapy or combination therapy.
4 I think there are three real common reasons why combination
5 therapy is used. One is if there is inflammation that needs
6 treatment. That is the one that we have spent a lot of time
7 discussing.

8 But, as Dr. Rosenberg mentioned early, probably a
9 much more important reason is if you are unsure of the
10 diagnosis. Then a third reason that I don't think has been
11 touched on quite so much is if you have no clue what is in
12 the combination and just happen to be using it because
13 someone told you to.

14 [Slide.]

15 There are problems with combination therapy.
16 There is increased cost to the medication. We just heard
17 about reduced efficacy and we have also heard about reduced
18 safety, adding the risk profile of the corticosteroid agent
19 when, perhaps, there is no good reason to.

20 [Slide.]

21 This is just an example from my practice of
22 someone who developed a tinea incognito.

23 [Slide.]

24 The picture on the left is steroid atrophy. The
25 picture on the right is an example of cutaneous atrophy in

1 the groin area. This patient was not treated with the
2 Lotrisone product. This was a patient treated with Elecon,
3 another high-potency topical steroid agent that is probably
4 misperceived by many people.

5 [Slide.]

6 We worry when these products are used in sensitive
7 skin areas like the face or the groin area because the
8 penetration of steroid, as you can see, is much greater in
9 those areas than it would be on the foot.

10 [Slide.]

11 So the combination treatment I am going to talk
12 most about, of course, is the clotrimazole-betamethasone
13 product.

14 [Slide.]

15 This box of samples was in my clinic. One of the
16 things I noticed about it is, on the cover, it says that it
17 is appropriate for use in jock itch without any statement
18 about the length of time for which it could be used safely
19 or the age range, at least not here on the cover of the box,
20 for which it could be used safely.

21 I think having a combination steroid antiinfective
22 product is a great idea for things like seborrheic
23 dermatitis on the face where we know there is inflammation
24 and an infective component for intertriginous eruptions
25 where we think of inflammation and an infective component.

1 But the steroid that was chosen here is really not
2 appropriate, at least for any kind of prolonged use on the
3 face or groin area and, perhaps, there is not enough effort
4 to make people aware of what the potency of that steroid is.

5 [Slide.]

6 We looked at visits in the United States in this
7 National Ambulatory Medical Care Survey for common
8 superficial fungal infections. What we found is that
9 dermatologists rarely prescribe betamethasone-clotrimazole
10 when they make a diagnosis of a fungal infection. So
11 4 percent of visits where a dermatologist has made the
12 diagnosis of a superficial fungal infection, something like
13 Lotrisone is prescribed.

14 In contrast, the internists, over half the time
15 they make the diagnosis of a common fungal infection, they
16 are prescribing the combination of clotrimazole and
17 betamethasone dipropionate. I suspect that rarely, if
18 ever, it is because they are treating the inflammation. I
19 suspect that it is one of the two other reasons that we
20 mentioned earlier, either that they are not sure of the
21 diagnostic ability in making fungal infections or they just
22 don't know what is in the product.

23 Family doctors, of course, less than internists.
24 I think they have a better understanding of skin disease.
25 And pediatricians use the combination even less than the

1 family doctors although, in a pediatric setting, there is
2 probably much less reason to ever use the product.

3 [Slide.]

4 Given those data, we wanted to explore in more
5 detail how the combination clotrimazole-betamethasone
6 dipropionate is used by the different specialties. So we
7 looked, first, here at visits to family physicians. We
8 looked at those visits that were for superficial fungal
9 infections based on ICD-9 coding.

10 We also looked at when they made diagnoses of
11 inflammatory disorders.

12 [Slide.]

13 What we found is that the combination product is
14 used for superficial infections but even more commonly when
15 the family physician is making a diagnosis--I'm sorry; it is
16 used when the infectious conditions are diagnosed as well
17 when inflammatory conditions are diagnosed, much less
18 frequently so by dermatologists.

19 [Slide.]

20 As was mentioned earlier, looking at the diagnoses
21 that are treated, inflammatory dermatosis, or the diagnosis
22 of an inflammatory disorder, is the most common time that
23 this product is used but it is also commonly used for groin
24 infections and for diaper dermatitis.

25 [Slide.]

1 There was frequent use in patients age 0 to 4.
2 40 percent of all the topical steroids used by family
3 physicians, in the 0 to 4 age group, was the clotrimazole-
4 betamethasone dipropionate product. For all ages, you can
5 see that genital, anal area and diaper-dermatitis eruptions
6 were common reasons for Lotrisone to be used.

7 Interestingly, 23 percent of the visits at which a
8 family physician made a diagnosis of seborrheic dermatitis,
9 clotrimazole-betamethasone dipropionate was prescribed.

10 [Slide.]

11 Internists showed similar findings. They don't
12 see that many pediatric patients, but three of their top
13 five diagnoses were genital area eruptions that they were
14 treating with the product.

15 [Slide.]

16 The data on pediatricians, in this National
17 Ambulatory Medical Care Survey, was analyzed for the years
18 1990 through 1994. These data are published.

19 [Slide.]

20 Pediatricians are very unlikely, in general, to
21 use high-potency topical steroids. I think there is a real
22 tendency, among pediatricians, to be very conservative in
23 their use of topical steroids and to say away from the high
24 and super-high potency class 1 and class 2 agents.

25 [Slide.]

1 You can see here, for corticosteroid monotherapy,
2 what pediatricians are using. You can see they really tend
3 to stay away from the high-potency topical steroids. It
4 turns out they use far more Lotrisone than the combination
5 of those two class 1 and class 2 steroid monotherapies.

6 I think that suggests, at least in part, that they
7 don't realize what is in this drug.

8 [Slide.]

9 Of the diagnoses that they were treating, tinea
10 corporis was the most common but dermatitis, I was very
11 surprised to see how frequently well-baby visits were
12 associated with the use of Lotrisone.

13 Let me just go back. You can see the total use of
14 Lotrisone over this time period is 700,000 prescriptions.
15 Going back, the combination of high and super-high is about
16 300,000, so there is much more Lotrisone than they are using
17 highs and super-highs.

18 [Slide.]

19 56 percent of the pediatric use of Lotrisone was
20 in the 0 to 4 age group. In that age group, well-baby visit
21 was the most common diagnosis. Dermatologists, at least in
22 this study period, did not prescribe Lotrisone to any child
23 in the 0 to 4 age group.

24 [Slide.]

25 Of particular concern to me is the use of the

1 product in diaper rash in children. I think there are
2 plenty of other good treatments that are more effective,
3 less expensive and certainly less risky than to use this
4 product in kids.

5 [Slide.]

6 This just summarizes that pediatricians tend to be
7 conservative. Their use of Lotrisone seems excessive
8 compared to the use of other potent topical steroids. I
9 think our take-home message from this was that they may be
10 unaware of the steroid potency of Lotrisone and there could
11 be strong efforts made to make pediatricians aware of the
12 potency.

13 [Slide.]

14 To further analyze this, we left the National
15 Ambulatory Medical Care Survey for a minute. A survey was
16 done at the 1999 American Academy of Pediatrics meeting
17 where 106 pediatricians completed the survey. These were
18 all pediatricians who currently prescribed the product for
19 at least some of their patients.

20 [Slide.]

21 This is the proportion of patients who are treated
22 with Lotrisone in various conditions. You can see diaper
23 dermatitis is treated--23 percent of patients with diaper
24 dermatitis are treated with Lotrisone by these
25 pediatricians.

1 [Slide.]

2 This is interesting. This is the proportion who
3 never prescribe Lotrisone for various conditions. So about
4 40 percent of these pediatricians never prescribe Lotrisone
5 for diaper dermatitis meaning that 60 percent of the
6 pediatricians who prescribe Lotrisone prescribe it at least
7 some of the time for diaper dermatitis.

8 [Slide.]

9 We thought that the primary reason pediatricians
10 use Lotrisone despite the warnings would be that they did
11 not realize what topical steroid was in it. We asked them;
12 "What topical steroid do you think is in Lotrisone?" Only
13 18 percent recognized it to be a high-potency topical
14 steroid.

15 Unfortunately, there was no relationship to
16 whether they knew it was a high-potency topical steroid and
17 how often they prescribed it to their patients for diaper
18 rash.

19 [Slide.]

20 We also asked how often they used it in excess of
21 the recommendations in the PDR. 25 percent of the time,
22 they were using in excess of the two weeks recommended for
23 tinea cruris. We didn't address diaper dermatitis here
24 since Lotrisone is never appropriate for use in diaper
25 dermatitis.

1 [Slide.]

2 We addressed how diaper dermatitis, in general, is
3 treated looking at the National Ambulatory Medical Care
4 Survey data, looking at those visits that had an ICD-9 code
5 of 691.0 which is diaper dermatitis. But we also included
6 balanitis, vulva vaginitis, intertrigo and candidiasis in
7 patients under the age of 4.

8 [Slide.]

9 There were 8 million visits. They were primarily
10 to pediatricians and family physicians, very few to
11 dermatologists.

12 [Slide.]

13 The most common treatments were nystatin and
14 clotrimazole No. 1 and 2, but then the combination products
15 including triamcinolone and betamethasone dipropionate both
16 which, I think, are probably too strong for any kind of
17 prolonged use in the diaper area.

18 [Slide.]

19 So, in summary, the clotrimazole-betamethasone
20 dipropionate product is not the best treatment choice for
21 either inflammation or for tinea infection if you know that
22 that is what you are dealing with. It is most frequently
23 used by non-dermatologists. It is generally not being used
24 to treat highly inflammatory tinea infections. In fact, the
25 use of it appears to be inconsistent with good medical

1 practice.

2 DR. DRAKE: Thank you very much.

3 They say the mark of a good speaker is being able
4 to present the information they want to present in a finite
5 period of time and have it understandable. I want to say
6 that has been true of every single speaker we have had
7 today. I am really very impressed.

8 Right before we go to the sponsor presentation, I
9 would like to ask the panel; we have heard some--

10 DR. SPRAKER: I was just raising my hand. At some
11 point, I would like to make some comments to follow up his
12 discussion but wherever it is appropriate.

13 DR. DRAKE: Please, Mary. I just have a question
14 but please let's hear your comments because you may answer
15 it. I am going to ask the panel if they have any questions
16 for our group of experts before we go to the sponsor for a
17 few minutes.

18 Dr. Spraker, please.

19 DR. SPRAKER: I would just like to give the panel
20 a bit of historical perspective about the Lotrisone problem
21 from the vantage point of the pediatric dermatologist.
22 About ten years ago, at our Pediatric Society board
23 meetings, there were discussions regarding the Lotrisone
24 problem because we were hearing about striae that were
25 induced in the diaper area and we were hearing from

1 pediatricians in our communities when we were asked, "Why
2 are you using Lotrisone for treatment of diaper dermatitis?"
3 that the drug reps were going to the offices and telling
4 them about Lotrisone and implying, or the pediatricians were
5 getting the message, that Lotrisone was appropriate therapy.

6 So we were very concerned about this problem and a
7 number of pediatric dermatologists actually went to Schering
8 to talk about the Lotrisone problem. From those
9 discussions, a number of things were accomplished.

10 The company agreed that it would no longer send
11 the reps to the pediatricians' offices. I don't recall the
12 details, but at least they weren't going to be talking about
13 the use of Lotrisone in pediatric patients.

14 The second thing that came out of these
15 discussions was the company developed an education program
16 for pediatricians regarding potency ranking of topical
17 steroids because all of us recognized that most
18 pediatricians, and I think probably primary-care physicians,
19 as you have said, Steve, really do not know that there is
20 such a thing as a potency-ranking chart.

21 They are aware that there are weak steroids,
22 medium ones and strong ones, but they really don't know
23 anything about the different groups. So there were,
24 actually, I think, quite good educational materials that
25 were prepared and distributed.

1 Many of us are educators for pediatricians and
2 were often speaking at meetings to large groups of
3 pediatricians. So, in that era, many of us, that was one of
4 the things that we would talk about, "What's New," or a
5 whole lecture on potency ranking of topical steroids, pros
6 and cons of topical steroids, when to use what, that type of
7 thing.

8 So we had hoped that the Lotrisone problem had
9 been addressed and maybe solved. So it was quite
10 disturbing, then, to see these papers and this data
11 presented which clearly show that we still have problems out
12 there.

13 I guess I have several recommendations. Some of
14 them have been in your materials already. I strongly feel
15 that the box and the tube should state that the drug is not
16 to be used in the diaper area because I think the mothers,
17 or the parents, will read that. Even if we change the
18 material, what is written in the package insert that is
19 going to be in the PDR, you can't assume that the physician
20 is necessarily going to see that.

21 But parents read those things and they will come
22 back to the pediatrician and ask, "Why did you prescribe
23 this when it clearly says this is not to be used?" So I
24 think that that might be a very effective vehicle for
25 changing habits.

1 I also think that there should be a big black-box
2 warning in the PDR that emphasizes, not just a statement but
3 a big black box, that, "This drug is not to be used for the
4 treatment of diaper dermatitis."

5 The side effects should be enumerated, not just a
6 one word, "Because of cutaneous atrophy," but we should list
7 how many patients have been reported with cutaneous atrophy,
8 how many patients in these young age groups have had
9 problems with striae so physicians are given more
10 information to work with.

11 It is interesting to me that the PDR never states
12 what the potency ranking of a topical steroid is, as far as
13 I know. It hasn't been the custom, I guess. You have to
14 have your little chart. Maybe that is something that, not
15 at this meeting but at another level, but that that could be
16 addressed so that routinely potency rankings are included in
17 topical steroid PDR information.

18 I do agree that it would be a very good idea to
19 send a letter to pediatricians and primary-care physicians
20 just addressing the problem and the things that have been
21 done to address the problem.

22 DR. DRAKE: Thank you, Dr. Spraker.

23 Dr. Epps?

24 DR. EPPS: I just have a few comments. I agree
25 with much of what has been presented today. Certainly as a

1 board-certified pediatrician and dermatologist, I kind of
2 get to see both sides of the coin. As a pediatric
3 dermatologist at a referral center, I tend to see a rather
4 distinct population and those are the ones that are not
5 successful.

6 I would say that, certainly, the number of cases
7 in which the drugs are ineffective or the drug--the response
8 was not good to therapy is vastly underreported. The
9 majority of patients that I do see have had at least a
10 little bit of Lotrisone applied.

11 The reasons for that may numerous. One, the
12 diagnosis was not made or it was incorrectly made. Or it
13 wasn't prescribed properly or it wasn't used properly.
14 There are a lot of factors into why a particular medication
15 didn't work.

16 But, clearly, if patients are being treated for 16
17 weeks or 150 weeks or whatever, it wasn't appropriate and
18 may not be working. Also, as far as making the diagnosis,
19 there are a lot of red, scaly spots, not only the tinea and
20 eczema, but there is pityriasis and psoriasis, whatever, but
21 there are a considerable number of other conditions that
22 were not seen and, certainly, Dr. Elgart elucidated nicely a
23 problem with the class 2 steroid.

24 In fact, yesterday, I had a patient who was using--
25 --the child was two, had a spot on the face. There was an

1 area of vasoconstriction on the cheek and the mother was
2 told that it would "bring out the fungus" so that it could
3 be treated. So there is a lot of misinformation out there.

4 In addition to the vasoconstriction, another side
5 effect--I am not sure the population that was tested on in
6 children, but another side effect that I do see sometimes is
7 hypopigmentation. I don't know whether people of color or
8 what types of skin were included in the study, but I do see
9 hypopigmentation not only on dark but also fair-skinned
10 children, in patients. That can persist long after and it
11 takes a while to resolve.

12 So that may be another side effect which should be
13 considered. Although it is listed in the insert, it wasn't
14 specifically addressed in the side effects. It may have
15 been in the "not otherwise specified," but it wasn't
16 addressed more specifically, and maybe that needs some
17 attention.

18 Additionally, as to the large number of
19 prescriptions which seem to be increasing despite efforts of
20 education and letters, which doesn't really necessarily
21 relate to what our function is, but some of the HMO
22 insurance plan formularies will have two or three
23 antifungal, nystatin, Lotrisone and maybe that is it.

24 Anything else you prescribe is not covered.
25 Sometimes, a pharmacist will also substitute what you

1 prescribe. It is not even appropriate in all instances for
2 what is being treated. So that is a problem, also. Clearly
3 it is probably beyond the panel, but that may be another
4 reason why things are going up, especially with some of the
5 formularies.

6 So I would strongly--if approved, certainly I
7 would support some of the comments about avoiding the diaper
8 area. Some patients are also being treated with Lotrisone
9 for tinea capitis, which is not working. So it is not just
10 the diaper area. People are using it on the face. People
11 are using it on the scalp. Clearly other modalities and
12 medications would be more appropriate.

13 I guess I am from the school of "know what you are
14 treating," and not applying something because, clearly, mom
15 is going to call you every single day until it goes away.
16 But if you apply the proper treatment, it will go away and
17 everyone will be much happier.

18 DR. DRAKE: Thank you. Eduardo, and then Joe.

19 DR. TSCHEN: Since, I guess, we are discussing the
20 proposal for labeling, I will propose that, just like the
21 label has, "not for ophthalmologic use," I would like to see
22 it say something about "not for use under occlusion," or
23 "not for use in the diaper area."

24 I think occlusion also is important because the
25 axillas under the breast, the groin area, I guess just

1 because of the nature of how they are, is an area of
2 occlusion. So I think it should be clear, just like, "not
3 for ophthalmic use," "not for diaper-area use," and, "not
4 for use under occlusion," should be very clearly specified
5 in that.

6 Most of the cases I see of rosacea are due to this
7 product. Again, I don't think this product should be used
8 in the face. So then we keep on adding different things in
9 there, but I think it should be very clear that I don't
10 think this product should be used on the face, either.

11 DR. DRAKE: Joe, please?

12 DR. McGUIRE: Mary, is there an indication in
13 children to use Lotrisone? Is there a pediatric indication?

14 DR. SPRAKER: In my opinion, the only time to use
15 Lotrisone for anybody is in inflammatory tinea pedis and toe
16 web infections. It is very unusual for children to have
17 extremely inflammatory tinea pedis. I don't think I have
18 ever prescribed Lotrisone so I haven't found a need to use
19 it for anything.

20 DR. McGUIRE: I have never used Lotrisone in a
21 child. I wonder if I am too old to learn or I am missing
22 something. I wasn't asking you, Lynn.

23 DR. DRAKE: First I got called somebody's mom and
24 then--John?

25 DR. JORDAN: I have a question for Dr. Epps. You

1 raised a point that I found interesting with respect to
2 hypopigmentation. I wonder if you have, in your experience,
3 seen, in very inflammatory dermatophytes, if there is post-
4 inflammatory hypopigmentation and, in those situations, if
5 you do, do you ever use a steroid to minimize that?

6 DR. EPPS: Generally speaking, I don't. Even in
7 inflammatory tinea, I don't usually--sometimes, you see
8 hyperpigmentation if it is truly inflammatory. I don't see
9 the hypopigmentation. That is usually when they keep using
10 it after the medicine, but I don't really use Lotrisone
11 either for the similar reasons. I don't see much tinea
12 pedis in kids, mainly above there.

13 Sometimes in some of the teenagers or they are a
14 little bit older, but, even still, it is not that
15 inflammatory. As far as the pigmentation is concerned, no;
16 I don't see that hypopigmentation. Usually it is "hyper."

17 DR. DRAKE: Lloyd, we haven't heard from you. Dr.
18 King; I don't know if you have much to say, but you have
19 been awfully quiet down there.

20 DR. KILPATRICK: You oftentimes can't be beat upon
21 if you don't open your mouth and prove that you don't have a
22 great thing to say. My comments; we don't use it in
23 children. To the best of my knowledge, we have a pediatric
24 dermatologist and the only time I can remember seeing it
25 prescribed was inflammatory tinea pedis.

1 We do have a great experience of it inducing
2 steroid acne, and so that would be my only comment. I don't
3 know why, if you are going to ban it in one site which is
4 the groin in children, you would allow it on the face
5 either. So I think that what I would propose is that you
6 increase the black-box labeling and make it very clear what
7 the indications are.

8 If it is not on the tube, mama's won't read it.
9 If mama ain't happy, ain't nobody happy. So they have come
10 back to tell you.

11 DR. DRAKE: Other comments? I have a question in
12 a minute, but anybody else from this expert panel? Do you
13 have other things to add? I would like to ask a question.
14 I was at Emory for a number of years with Earl Jones who is
15 quite a famous mycologist and expert in this arena.

16 Pursuant to the comments that were down here, how
17 difficult it is to identify, sometimes, fungal elements in
18 inflammatory areas, and I hate to quote him because I may be
19 quoting him wrong, but I wanted to give you a gestalt of
20 what he taught us as young faculty. Dr. Spraker, you were
21 there, too, so maybe you can help me out on this.

22 I seem to recall, and I practice this way, that
23 Earl always used to say, "You cannot find hyphal elements in
24 the middle of an inflammatory mess. You have to scrape at
25 the edge." But he would go ahead and say, "You must scrape

1 at the edge because the edge is where the living organisms
2 are where they haven't been gobbled up by the inflammatory
3 process and you will find them at the edge."

4 I tend to use that all the time and I tend to
5 teach my residents that. I usually find them. Mary, I
6 would like you to comment first and then I would like some
7 of our fungal experts here to comment on that particular
8 theory. I don't know that we ever proved it by a study,
9 but--Dr. Spraker?

10 DR. SPRAKER: I learned that somewhere, and I am
11 not sure where. It could very well have been from Earl.
12 Tomorrow, we are going to talking about diaper dermatitis
13 and how difficult it is to diagnose Candida diaper
14 dermatitis because KOHs are often negative. Clinically, you
15 know that the patient has to have Candida diaper dermatitis,
16 and the KOH is only positive 30 percent of the time,
17 approximately, unless you go for a satellite pustule, and
18 then I think it can be more likely to be positive.

19 Again, I think it is because the host mounts such
20 a wonderful inflammatory response by shedding off the
21 stratum corneum. By shedding off the stratum corneum, you
22 get rid of the organisms.

23 Merve?

24 DR. ELGART: My recollection of what Earl did, and
25 he had some beautiful things that he did while he was still

1 in the service, he took people who had not been exposed to
2 fungus infections before, he called them "fungal virgins."
3 A wonderful comment.

4 But he would put a culture of material on in a
5 central spot and watch it. The fungus would grow, but there
6 would be no inflammation for about week until cellular
7 immunity appeared. When cellular immunity appeared, then
8 there was a large inflammatory response, but the scale, by
9 that time, was a little beyond where the inflammation was
10 and so the inflammation sort of had to catch up with it.

11 Eventually, the inflammation would catch up with
12 it and everything would disappear, but there was still live
13 fungus at the edge and that was work that he did, oh,
14 probably in the mid-60's, I suspect.

15 DR. DRAKE: Yes; the work was done. And he did
16 teach you; you scrape at the advancing edge where there is
17 still normal, healthy tissue.

18 Ted?

19 DR. ROSEN: There are several ways to find fungi,
20 too, don't forget. First of all, if it is inflammatory--we
21 are all talking about inflammatory like we have the same
22 things in our mind which may not be true. There is red.
23 There is intense maceration without scale, interdigital.
24 There may be huge blisters, centimeters of blisters on the
25 bottom of the foot.

1 If you have bolus tinea, that is very
2 inflammatory, very uncomfortable, but very easy to find
3 organisms by taking the blister roof and turning it upside
4 down and looking at the edge of the blister.

5 If you have intense maceration between the toes,
6 it is extremely difficult to have a positive KOH in those
7 circumstances even at the edge but you will often have a
8 positive culture. I understand that, in the current milieu,
9 cultures are expensive and many people are loathe to do them
10 or the plan won't reimburse for them, et cetera.

11 But it is not just the bedside, which would be the
12 ideal because it is cheap and it is quick, but culture also
13 can determine when there is a fungus there even if KOH is
14 negative. So there are at least a couple of steps that
15 could be taken.

16 DR. JORDAN: I don't know. We seem to be tippy-
17 toeing around some issues here. I want to ask the panel,
18 and Mary you brought this up, the indication for this
19 product being inflammatory tinea pedis. I will ask this of
20 the panel just to get an impression.

21 If you have a patient and you have got a positive
22 KOH, you have got a positive culture, would you use this
23 product over antifungals we have available to us now or some
24 form of systemic therapy?

25 DR. SPRAKER: I wouldn't use the product because I

1 think the inflammatory response the host mounts might be
2 good and beneficial, getting rid of more of that fungus.
3 That is my personal philosophy on it. It is very difficult
4 to prove any of those things, however.

5 As a mother, I only had one child who had Candida
6 diaper dermatitis. This is different but, in some ways, I
7 think it is the same kind of issue. When my son got a
8 florid case of Candida diaper dermatitis, I said, "Okay; I
9 am not going to add any topical steroids to this and I am
10 just going to use the antifungal."

11 A day later, I just couldn't stand it and I
12 smeared on some kind of topical steroid. So I understand
13 from a personal level the temptation and the need. I think
14 Kligman has shown with Candida that you can take dead
15 Candida organisms and put them on skin and get an
16 inflammatory reaction that induces cytokines, or something.

17 DR. ELGART: It is an irritant contact dermatitis.

18 DR. SPRAKER: Merve is saying an irritant contact
19 dermatitis. So the inflammatory response to these fungal
20 organisms is really quite interesting

21 DR. ROSEN: I am going to answer Bob's question
22 directly because, as you all know, or many of you know, I
23 work in the VA. Everybody has tinea pedis there, virtually,
24 if you look. Many of them are inflammatory, whether you
25 want to talk about interdigital or bolus tinea on the bottom

1 of the foot.

2 I wouldn't use this product, period. If I were
3 going to use a topical antifungal, I would use one of the
4 newer ones, as I mentioned. They have inflammatory effects.
5 They can be used at a much shorter time period and much less
6 frequently. And then I would look at the nails because, in
7 some cases of tinea pedis, if not many of them, the source
8 is really the nail and they require systemic therapy as
9 well.

10 That is an additional point, but I, personally,
11 would not.

12 DR. FELDMAN: I would not, in this situation or in
13 any other situation. I think there is always a more potent
14 antifungal, if I know it is an antifungal. If I know it is
15 inflammatory, I know there is a cheaper antifungal that is
16 of the appropriate potency. Even, as Bill was saying, if it
17 is the situation where it is not sure, then I would pick, as
18 Dr. Stern said, an antifungal of the appropriate type along
19 with an appropriate topical steroid.

20 DR. ELGART: I have to agree with the other
21 members of the antifungal experts, but I think, also, what
22 we are dancing around a little bit is the fact that the
23 indications on the label is not the way the product is used
24 by the people who actually use it. I think that is really
25 where the problem lies is that the use is perceived to be

1 different by those who use it than by those who write
2 regulations.

3 DR. DRAKE: I don't feel a compelling need to use
4 up all of our time, but I do want to make sure that
5 everybody is heard from for this panel with questions. So I
6 am going to poll my panel as well as the FDA. I am just
7 going to go around and ask if there are any further
8 questions you have for our panel of experts.

9 Eduardo?

10 DR. TSCHEN: No.

11 DR. DRAKE: John?

12 DR. DIGIOVANNA: No.

13 DR. DRAKE: Fred?

14 DR. MILLER: No.

15 DR. DRAKE: Bob?

16 DR. JORDAN: No.

17 DR. DRAKE: Wilma?

18 DR. BERGFELD: No.

19 DR. DRAKE: Dr. Chesney? Welcome back.

20 DR. CHESNEY: Thank you. How well has Lotrisone
21 been studied in children? Do we have a lot of data on its
22 use in children? Is it being used without any data?

23 DR. DRAKE: Actually, one of the things we might
24 do--I'm sorry, during the presentation from the FDA--they
25 gave a good one. There is a little bit in there that might

1 efficacy response over its individual components in
2 controlled clinical trials. The Lotrisone Lotion has shown
3 inflammatory, antifungal activity and similar inflammatory
4 potency as the cream in clinical trials. The lotion,
5 therefore, should be labeled the same as a cream.

6 [Slide.]

7 I would like to shift gears a little bit now. I
8 have covered the efficacy. I would like to dwell on the
9 safety of Lotrisone Cream in clinical practice and give you
10 an historical perspective for what the safety has been with
11 this particular product.

12 I think some advantages of coming from the
13 sponsor's point of view, this is obviously a product that we
14 are very interested in and we track it very closely. We
15 follow adverse events. I am notified every time there is an
16 adverse event. I get that report on my desk.

17 [Slide.]

18 This product has been in clinical use for sixteen
19 years. Each year, there are 3.25 million patients treated
20 with Lotrisone, a tremendous number when we talk about
21 dermatology practice.

22 DR. KILPATRICK: Excuse me; where was that number
23 from, for example? How do you know that 3.25 million
24 patients are treated each year?

25 DR. PLOTT: We know from the sales of product that

1 we sell. We know how many tubes go out the door and we
2 know, on an average, how many patients it takes to use a
3 tube. For example, 1.6 prescriptions will be given to one
4 particular patient.

5 DR. KILPATRICK: That is what I was getting at.
6 You don't actually have the--

7 DR. PLOTT: That is the ratio of prescriptions to
8 cases.

9 DR. KILPATRICK: That is an assumption.

10 DR. PLOTT: No, sir. We know how many tubes walk
11 out the door.

12 DR. KILPATRICK: I know you know how many tubes
13 walk out the door, but you don't know--I am a statistician
14 and I am quibbling. I appreciate that, but patients are
15 different from tubes is the point that I am making.

16 DR. PLOTT: I am saying that conversion is 1.6.

17 DR. KILPATRICK: I am saying there is some
18 variation in that.

19 DR. PLOTT: That is an average.

20 DR. KILPATRICK: Exactly. That average may be
21 inaccurate. I am just questioning the apparent specificity
22 of your figures.

23 DR. PLOTT: That is across the sample of
24 62 million prescriptions. So I would say the confidence
25 intervals are narrowing so that would could make a

1 won't call the FDA. In our VA hospital, we can't even get
2 them to pick up the phone and call the pharmacy service.

3 I don't have a number and you don't have a number.
4 Nobody has a number but, just in general, could you make a
5 generic comment about the possibility that there are adverse
6 events including atrophy, failure, worsening, that are not
7 reported at all, and do you have any handle on that or any
8 way to estimate that at all?

9 DR. PLOTT: I think the presentation that was made
10 previously by the postmarketing group mentioned the
11 variation and the weaknesses of this particular type of
12 data. I can't tell you about adverse events that are not
13 reported. I prefer to stand on data, what I know.

14 DR. DRAKE: I am going to take the Chairman's
15 prerogative and say please, let's let Dr. Plott finish his
16 presentation and please save your questions for the end
17 because he deserves an uninterrupted time. I don't want him
18 to feel under attack. I want him to be responsive and have
19 an opportunity to present his case, and then we will ask
20 questions that are pertinent once you are done.

21 Dr. Plott, please continue.

22 DR. PLOTT: Thank you. Let's go to the next slide.
23 I think I have covered what I wish to here.

24 [Slide.]

25 I would like to point out the current trends in

1 clinical practice today as of 1999 for is the last full year
2 that we have data for, which is 82 percent of all Lotrisone
3 Cream prescriptions went to patients that were over 12 year
4 old which is the appropriate age population.

5 For the patients where there were prescriptions
6 written under 12 years of age, which is not labeled, the
7 number of prescriptions is declining. In fact, in 1999,
8 17.9 percent of all Lotrisone prescriptions were for
9 children under the age of 12 years old and 1.4 percent,
10 again, of all Lotrisone prescriptions were for diaper rash.

11 In 1999, the tracking data suggests that there
12 were just over 600,000 cases of diaper rash treated. Of all
13 the diaper rash, 2.9 percent, or 18,000 cases, were treated
14 with Lotrisone.

15 [Slide.]

16 So that you have an idea for the diaper rash
17 picture which I think is the most important disease process
18 that we are really talking about here today, non-antifungal
19 treatments were used in 34 percent of cases. A topical
20 steroid was given in 8 percent of these cases. Mycolog, a
21 product that Dr. Elgart mentioned, a combination product
22 that is only generally available generically, was used in 15
23 percent of cases. Lotrisone was used in 3 percent of cases.

24 I would propose to the committee that the general
25 guidelines that were discussed early this morning are very

1 important not only for this product but for other products
2 as well. In diaper dermatitis, that is important. The use
3 in diaper dermatitis has declined. It has declined between
4 50 and 60 percent over the last ten years or so.

5 [Slide.]

6 Much of this decline has been in the under-1-year-
7 old age group where there has been about a 75 percent
8 decline from 1992.

9 [Slide.]

10 Adverse events in the under-12-year-old age group
11 are certainly a concern for us. Any use in underage
12 patients is inappropriate. It appears that these patients
13 would be more frequently at risk if this product is used in
14 an inappropriate condition like diaper rash, or if it is
15 used for an extended period of time, 16 weeks.

16 The mean treatment period for the patients that
17 were in the briefing book was 16 weeks. That is four
18 months. That is much too long for any patient to be on a
19 product like this and not to be reevaluated by their
20 physician.

21 Physicians recognize the benefit and manage the
22 risk of Lotrisone. That is probably why there is such use
23 in this population. Physicians understand, because they
24 have been educated. They know that they can't use this
25 product for a long duration of time. They know they should

1 not be using it in the underage populations.

2 [Slide.]

3 In fact, I would like to point out some very
4 important information that was not in your briefing packet
5 that probably should have been, and that is the current
6 label for Lotrisone. The package insert and the information
7 that you have is the older label.

8 In May of this year, the label was changed. It is
9 a more prominent label for Lotrisone Cream and we have been
10 proposing the same labeling for the lotion. Let me point
11 out what that label says because, like most physicians, I
12 typically don't read labels.

13 In two places, the Indication and Use Section, and
14 in the Pediatric Use Section, it is bolded and in all
15 capitals, "Not to be used under the age of 12 and not to be
16 used in diaper dermatitis." I don't know how more clear it
17 could be; two places, bolded and all capitals on the label.

18 In fact, if you look at the label, in the Dosage
19 and Administration Section, it says, "The use of Lotrisone
20 Cream for longer than four weeks is not recommended." That
21 should be a clue; right? "The Lotrisone Cream should be
22 discontinued if the condition persists after two weeks in
23 corporis and cruris and after four weeks in tinea pedis.
24 Lotrisone Cream should not be used with occlusive
25 dressings." It says that in the label today.

1 In the Information to Patients, we are trying to
2 communicate to people. "Notify physician if there is no
3 improvement in one week in tinea cruris and tinea corporis
4 and in two weeks for tinea pedis." There is a clue; see
5 your physician if you are not getting any better in short
6 period of time.

7 "In the groin area, use the medicine for two weeks
8 only." No matter what you have got; two weeks only.
9 Schering has an ongoing effort to try to educate all
10 physicians, especially about the appropriate ages where this
11 product should be used and the duration of treatment and
12 what the appropriate conditions should be.

13 We agree with the agency and we support augmenting
14 our educational efforts to the pediatrician where we believe
15 that it is going to do the most good in eliminating diaper
16 dermatitis usage.

17 [Slide.]

18 Schering supports expediting reports of adverse
19 events in patients that are under the age of 12-years-old.
20 That is something we agree with. That is easy enough to do.

21 [Slide.]

22 Lotrisone Lotion should be labeled consistent with
23 the current cream labeling. The product is safe and
24 effective when it is used as it is currently being labeled
25 today. We believe that that current label needs time to

1 take effect and see an impact that was just implemented in
2 May 2000.

3 But Schering will continue to reinforce the
4 appropriate use of this product as it is labeled.

5 Thank you for your listening. I would be glad to
6 answer any questions.

7 DR. DRAKE: I am going to recognize Dr. Wilkin
8 first since "he da boss."

9 Todd, while I am waiting on Jonathan, I just want
10 to thank you for a presentation that, I think, answered some
11 questions before they got fired at you.

12 DR. PLOTT: Good. Thank you.

13 DR. DRAKE: I also want to compliment you because
14 Dr. Spraker, who doesn't use your product on kids, said that
15 you guys were responsive to their initiative to you when
16 they went to see you. So I think that is also very
17 positive. I think this is an example where, if we work
18 together, we can accomplish the goals that we want and that
19 is the benefit of our patients.

20 So I want to thank you for the company's efforts
21 and being responsive to the concerns of the dermatologic and
22 pediatric communities.

23 DR. PLOTT: Thank you.

24 DR. DRAKE: I think that is positive and I think
25 your presentation shows it. One slide you did not have I

1 wanted to make sure the panel--I was impressed with this--
2 maybe I was looking down or something, but the linear
3 decrease in the use of Lotrisone in those under one year old
4 declined 75 percent from 1992. That is pretty impressive.
5 And that was before your new label this May; right?

6 DR. PLOTT: That's right. I don't think that we
7 have seen the impact on the decline in prescriptions in this
8 age group yet because it has only been implemented since
9 May.

10 DR. DRAKE: What I want to do now--Dr. Wilkin?

11 DR. WILKIN: I would just mention that we prepared
12 the document before the time that Dr. Plott indicates that
13 they submitted this material about the cream labeling. So
14 it is true that was not in here.

15 DR. DRAKE: Okay; so that is positive. Now, let's
16 have some questions. Dr. Stern?

17 DR. STERN: I have a number of questions. Let me
18 start with the one that I think is most important. Would
19 the company be amenable, rather than to changing the label,
20 to, in fact, as has been suggested, to put on every box and
21 every tube the same warning about, "Do not use in persons
22 under 12?"

23 If we are trying to effect change, that is the way
24 to effect change and not in the label since we are talking
25 about 600,000 to a million people or tubes a year, depending

1 on whose estimates you use of inappropriate utilization. To
2 me, a lot of it has to do with risk and benefit. I think we
3 have firmly established that this is an agent that does not
4 have huge therapeutic advantages over other agents.

5 It is not treating a life-threatening condition
6 and, therefore, we have to look at less-than-optimal use,
7 perhaps, more critically than we would in an agent that, in
8 fact, has some unique therapeutic properties. So when there
9 are 600,000 to a million inappropriate uses a year and it
10 seems everyone agrees that that inappropriate use is pretty
11 easy to stamp out without much loss of benefit by direct
12 labeling of the product and its container, how does the
13 company feel about being really proactive and not just doing
14 labeling but, in fact, labeling the product, per se, the
15 thing in the mother's or the father's hands?

16 DR. PLOTT: My response to that is that just in
17 May have we revised this labeling, as I have indicated, that
18 we have not see an impact on what that labeling change can
19 do. Second is that, while there is inappropriate usage, as
20 physicians, these physicians feel that they understand the
21 risk and the benefit of this particular product and they are
22 willing to take that risk because they know of the benefit.

23 The jeopardy that we put ourselves into
24 aggressively labeling this product is that we can get
25 between the patient and the physician in that relationship.

1 DR. STERN: I think that there were data presented
2 earlier that, in fact, the learned intermediaries who are
3 principally prescribing this in the under-12 have a very low
4 prevalence of knowledge about the potency of the steroid
5 which is actually the issue here.

6 So there is an information gap. I guess, again,
7 to me, it is risk and benefit. When you have a product that
8 so far I have not heard anything that this is something with
9 a unique therapeutic advantage, as opposed to being
10 effective and safe when used as labeled, and you have a
11 problem that involves hundreds of thousands to a million
12 persons a year, it seems to me that you want to take direct
13 steps and not wait and see what the trends will be in two or
14 three years.

15 The only loss in doing that is really a loss of
16 sales. The gains in doing that is that is how you can
17 substantially--most individuals would believe that that
18 would be the action most likely to change the use of this
19 drug because--for all the reasons we have heard all day
20 today.

21 So if you can really do something, why not do it?
22 I understand it is going to cost you 20 percent of your
23 market. But it is the 20 percent you are committed to
24 getting rid of, according to what your label is. So why not
25 do it?

1 DR. PLOTT: We have really gone further than
2 simply putting a label or something on the box which is to
3 go further to educate the physician. Writing something on
4 the tube may not change the prescribing habits. It is going
5 to be the education of the physician and, in this case,
6 specifically, the pediatrician that is going to be
7 different.

8 DR. STERN: I just had one other comment.

9 DR. DRAKE: Go ahead. Then I am going to go the
10 rest of the panel.

11 DR. STERN: As you know, or as you may know, I
12 have had some interest in spontaneous adverse-drug-reaction
13 reporting over the last 25 years or so, or 20 years or so--

14 DR. ROSENBERG: 30.

15 DR. STERN: No; that's him. I will say 25 for me.
16 But, in all seriousness, the whole focus on spontaneous
17 reporting is basically unusual and serious, usually
18 together. In looking at the numerators you have, I think
19 you can only look at them in context.

20 I guess the context I would ask, because for not
21 very serious reactions, it is product dependent, usage
22 dependent, age-group dependent and company dependent. So I
23 guess I would ask what are the rates per million tubes of
24 reactions of this degree that are reported for the
25 comparable two parts of this agent? How many ADRs did you

1 have for Lotrimin compared to the number of sales you have
2 had? How many have you had for Diprosone over a comparable
3 period--not Diproline but Diprosone--so we can get some idea
4 about what the signal is in your system for agents that are
5 at least both topical and, in fact, are the components?

6 Do you have those data?

7 DR. PLOTT: I do not have that data here. I can
8 point to what we experienced in our clinical trials which
9 was previously presented by a member of the agency. The
10 adverse-event profile in the clinical trials was very
11 comparable and it was low. But, obviously, we didn't have a
12 million patients in the clinical trial.

13 We have looked at, some time ago, adverse events
14 compared to Mycolog which is a similar combination. Our
15 adverse-event rate was approximately half of what has been
16 reported in the early 1990s for Mycolog. We were not able
17 to get that data updated, however.

18 DR. DRAKE: I am going to go to John and then
19 Wilma.

20 DR. DiGIOVANNA: Actually, I have two comments. I
21 think they are on the opposite side of the coin. The first
22 is that I just wanted a point of clarification. By
23 labeling, we are really talking about what is in that little
24 piece of paper in the box that the patients don't get. We
25 are not talking about labeling the box or labeling the tube.

1 I think Fred and I were a little unclear about
2 that. It would be of interest, I think, for me to see
3 exactly what the tube and the box, the new version of it,
4 looks like and whether or not there--and I guess this is
5 really Rob's issue again--whether or not there is something
6 that can be placed on the label to indicate to the parent.

7 DR. PLOTT: I have a copy of the new labeling.

8 DR. DiGIOVANNA: My second point was--

9 DR. DRAKE: Excuse me. Can I have the committee's
10 attention all in one area? Dr. Stern?

11 DR. KILPATRICK: We are being chastised.

12 DR. STERN: We are number crunchers. I'm sorry.

13 DR. DRAKE: That's all right. I know you number
14 crunchers. I am not going to let you sit together again.

15 DR. DiGIOVANNA: So all of this really is the
16 package insert. The box and the tube have none of this.

17 The second is that the first point that Todd made,
18 I think, really struck a cord because it recognized, to me,
19 that I am extremely biased in this area. One of the points
20 I made over lunch is that I probably think that the chair of
21 my dermatology department would want to take back my residency
22 certification for board certification if I actually
23 prescribed this compound because he was one of the people
24 who was extremely adamant against the use of combination
25 products.

1 I think most dermatologists tend to feel that we
2 treat specifically because we are trained to do that. I
3 really would be interested in hearing the opinions of
4 people, if there are such people, who really do favor this
5 product because I don't think we have heard that.

6 DR. DRAKE: I think that was Dr. Plott's initial
7 comment to us, that there is a whole body of experience out
8 there that do use this product and like it. Perhaps, we are
9 missing something.

10 DR. ROSENBERG: If we are going to have a general
11 discussion, I would like to take that point of view.

12 DR. DRAKE: Take it. And then I am going to call
13 on Wilma.

14 DR. ROSENBERG: I think one of the things that we
15 just have to recognize, that caught me by surprise but I
16 have thought about it, is the number of tubes of Lotrisone
17 which are coded on well-baby visit. Let's stop and think
18 what has happened. That baby has been there for a checkup.
19 That baby has had a visit scheduled. It has been weighed.
20 It has been looked at. It has been put on the growth curve.
21 The mother has talked about how it is growing.

22 Her own concerns have been answered. And, on the
23 way out, "How about this redness on Ginger's bottom?" This
24 does not call for a referral to a dermatologist. It does
25 not call for a KOH. It does not call for a new code or an

1 upcode. It calls for something that can be given that is
2 going to be useful.

3 The sad thing is there is nothing--the combination
4 rules that FDA is constrained by, have kept off the market
5 what this pediatrician ought to be able to write for, or to
6 suggest, something like Lotrisone/Baby, which would be the
7 clotrimazole with half percent hydrocortisone.

8 It would meet that need. I have had, personally,
9 a lot of experience at the Rx to OTC interface. It used to
10 be one was one and one was the other, like night and day.
11 But, you know, there is night and day but there is dawn and
12 dusk and there is a lot of blurring.

13 When it come to patients, there are diagnoses, but
14 then there is, "No, we don't need a diagnosis. It will
15 straighten itself out, but in the meantime." I think we
16 have got to rethink, as the practice of medicine is
17 changing, so that more conditions are being treated by
18 people themselves and more conditions are being treated at
19 the primary-care level.

20 We have to rethink the kinds of--what the
21 diagnoses are and move some medical diagnoses towards
22 symptomatic kinds of things and allow the kind of product
23 that we would have--I would write that prescription a lot if
24 it were available and I imagine a lot of people would, too.

25 DR. DRAKE: I am to do Wilma, and then I have Joe.

1 I haven't forgotten your question. When I come to you--
2 well, in a minute, we are going to really discuss it. I
3 haven't forgotten you.

4 DR. BERGFELD: Bill, I have to agree with you. I
5 think you have said this before this morning and early this
6 afternoon. But I think that our responsibility here today
7 is to look at a labeling issue on a prescription item that
8 is already out there with a request for another vehicle.

9 So I am going to direct my questions to that, if
10 you don't mind. I think, as I understand it, the lotion has
11 a better effect on the side of health vasoconstrictive rate;
12 is that correct? It is not as vasoconstrictive as the
13 cream?

14 DR. PLOTT: In the vasoconstrictor, it was
15 comparable.

16 DR. BERGFELD: Comparable? I thought the numbers
17 were slightly lower.

18 DR. PLOTT: I believe the numbers that were shown
19 earlier were maybe very minimally, but probably, I think,
20 not statistically significantly different.

21 DR. BERGFELD: Thank you for clearing that up. So
22 the studies that you presented on the cream and then you
23 allowed to actually lap over to the lotion demonstrate that
24 we don't have to repeat all the testing with the lotion as
25 long as it has some efficacy that is equal as against

1 placebo. You did a little bit of an abbreviation of your
2 study.

3 That is the first question. What we are doing is
4 looking at another vehicle which has, perhaps, a little
5 different elegance to it and, perhaps, a little different
6 patient satisfaction for uses as you described.

7 The second question, and it is a brief question,
8 is I am not understanding why 12 years old was chosen if we
9 are talking about children.

10 DR. PLOTT: That is what our label limits--

11 DR. BERGFELD: I know what your label limits--but
12 why did you chose 12? Why not 14 or 15? If we are talking
13 skin and we are talking bones, the epiphyses are not closed
14 until they are out a little older than that, 12. What is
15 the basis of that?

16 DR. PLOTT: Unfortunately, that decision was
17 probably made sometime before my time. However, I think
18 that 12-year-old was probably not selected based on
19 physiology but rather more classical age limitations.

20 DR. BERGFELD: It looks like it just was chosen.
21 The last thing I would like to say is that I think that you
22 have heard from all of us that we would like, in dealing
23 with the label, that the label not only appear on the tube
24 but there be some patient inserts that would be
25 automatically given by the pharmacist to the purchaser;--

1 namely, probably the mother--so that they may have that
2 information at hand about the adverse events.

3 DR. DRAKE: What I am going to do here in a
4 minute, Todd, is let you sit down. I don't want you
5 standing while we are getting into the discussion phase and
6 we are tottering on that.

7 Joe, do you have a question for Dr. Plott, or is
8 it a comment?

9 DR. McGUIRE: It is a comment that I would like
10 for you to address. There are linked assumptions in this
11 business. The first assumption is that if you print it in
12 the PI, they will read. The second assumption is that, if
13 they read, they will comprehend. The third assumption is if
14 they comprehend, they will change behavior.

15 DR. DRAKE: You have been sitting next to Bill
16 Rosenberg.

17 DR. McGUIRE: That was not my funny line. If I
18 can get the panel serious again. It seems to me that all of
19 these assumptions are flawed. The question of putting
20 something in bold on the label and on the tube I think is an
21 important one because very few of my families ever report to
22 me anything about disturbing information in the PI.

23 So I think information--what is the point? The
24 point is that a lot of information is lost in the package
25 insert. I think it is paramount to put it on the tube and

1 on the box.

2 The second comment, and I will stop, is that I am
3 very uncomfortable about using Diprosone or Lotrisone on
4 genital or inguinal skin. I simply don't use those products
5 in that location. I would hope that you would rethink the
6 application of the product in whatever age group in genital
7 or inguinal skin.

8 That's it. Thank you.

9 DR. DRAKE: I want to make sure that anything
10 else, now, is a question for Dr. Plott and then we will get
11 into the discussion phase. Dr. Chesney and then Ted.

12 DR. CHESNEY: Have you studied Lotrisone in
13 children under 12 at all? Have you done any trials using it
14 in children?

15 DR. PLOTT: The answer is that no; we have not
16 conducted trials in children under 12. Our clinical trials
17 included children up to age 12. There is, however,
18 information in the literature on children younger than 12
19 and use in children younger than that.

20 We have summarized that information and that
21 information has been discussed with the agency.

22 DR. CHESNEY: I would certainly urge you to do
23 those studies. I think it would put you way ahead. But
24 just one other comment--

25 DR. PLOTT: Let me comment there because I think

1 if you do studies in children under 12 but then you say that
2 you should not use the product in that area, you are really
3 in a Catch 22. How do you say, "Don't use it, but these are
4 the studies." It is a difficult position for a sponsor to
5 be in.

6 DR. CHESNEY: That was my next suggestion.
7 Parents are extremely sophisticated these days. They get on
8 the web. They come in office. They already know
9 everything. In most cases, they know more than we do. I
10 would urge not only labeling on the box and on the tube
11 because, ultimately, they are the best advocate, but I would
12 also urge that you say, number one, this has not been
13 studied in children under 12 and that is why it is not
14 recommended--

15 DR. PLOTT: It says that.

16 DR. DRAKE: It says that.

17 DR. CHESNEY: And, number two, what are side
18 effects, not on the label but, again, a special insert for
19 parents or on the box. What are the side effects? Just to
20 say there are side effects is a very general term, but if
21 they knew specifically what it might do, I think they would
22 be very--

23 DR. PLOTT: The side effects are mentioned, and
24 mentioned in the label. I would say that that labeling is
25 in every box that goes out and is available to be read. I

1 don't have data on how many patients are going to read tubes
2 more than they read labels.

3 DR. CHESNEY: Again, I would just like to echo
4 what I have heard from so many people. None of us reads
5 labels.

6 DR. PLOTT: Do we read tubes?

7 DR. CHESNEY: They are too long. They are too
8 detailed. I am sure parents don't read the labels.

9 DR. DRAKE: Ted and then--just questions. And
10 then Mary.

11 DR. ROSEN: I would like to get back to mycology
12 for just one second. Not seeing many children in the VA
13 hospital--in our wisdom, they don't serve in the armed
14 forces, yet--what I have published and what I have seen
15 personally in adults have been failures not in the common
16 organisms for pedis, cruris or corporis, which would be T.
17 rubrum, then T. mentag next, but in the animal-acquired
18 predominantly Microsporum canis. That is what I wrote about
19 and that is what I have seen.

20 My suspicion is that the initial indication, the
21 initial labeling, was based upon in vitro data showing the
22 clotrimazole by itself is sufficient, in vitro, to handle
23 Microsporum canis. But it may be that this combination, in
24 practice, may be less than optimal.

25 My suspicion is that, in your initial clinical

1 trials, the overwhelming number of patients were T. rubrum,
2 maybe some T. mentag and very few Microsporum canis which is
3 where I have seen failure.

4 Do you have any plans to address that or can you
5 address that or can you tell me if the company is at all
6 concerned about that?

7 DR. PLOTT: Specifically regarding Microsporum
8 canis, the agency correctly points out in our lotion
9 clinical trials, there were no patients treated with the
10 lotion active ingredient with Microsporum canis. It was
11 unfortunate, however, there were two patients in the lotion
12 clinical trials that did have that organism.

13 They happened to be randomized to placebo, of
14 course. So there is no experience with Microsporum canis.
15 However, it is something that is more common in tinea
16 corporis than tinea pedis which was studied and tinea cruris
17 which was studied. So we didn't study the disease entity.
18 We were not asked to do that where it might be more commonly
19 found.

20 That is the data that exists. I think that we are
21 in discussions about the remainder of the labeling and other
22 issues regarding the lotion. That is likely to be one of
23 the discussions that we have with the agency.

24 DR. DRAKE: Mary?

25 DR. SPRAKER: Todd, I think we all here in this

1 room in agreement that we would like to see the drug used as
2 it is labeled. You indicated, in your presentation, that
3 your company would like to reinforce among physicians the
4 use as labeled. What concrete suggestions do you have of
5 reinforcing that, or educating primary-care physicians
6 further?

7 DR. PLOTT: We believe that the type of usage that
8 we are most concerned about is in the area of the
9 pediatrician where there are several things. It is under
10 age of 12 where we are not labeled. It is diaper dermatitis
11 or diaper rash where we clearly state, "Do not use this."

12 The pediatrician is the most likely person to see
13 that patient. For example, the well-baby visit kind of
14 thing. We think that education of the pediatrician probably
15 needs to be augmented. Ways that we can do that are in our
16 ongoing efforts where we are trying to educate physicians,
17 all physicians, not to use it, but specifically target
18 pediatricians, by our sales reps by also in direct-mail
19 campaigns, focusing on physicians that are writing this
20 prescription and communicating with them.

21 DR. DRAKE: That is really good. I am glad you
22 asked that because I think it helps us get our attention
23 back on--it helps us when we address the labeling. But our
24 duty, our job, here today is to talk about labeling and get
25 to the questions which I am going to do as soon as Bill

1 Rosenberg asks his last question.

2 DR. ROSENBERG: I want to ask about labeling.
3 Have you had an opportunity to look at the computer-
4 generated printed little story that is being generated at
5 the various major drug stores when this is now being
6 dispensed. My experience is pharmacists tell patients more
7 than I want them to know about the side effects of the
8 prescriptions I write.

9 Have they picked up on it? Is that coming out
10 now, and so forth?

11 DR. PLOTT: That type of information that is
12 handed out should be picked up out of the current labeling
13 with the bolded print as we have indicated here.
14 Unfortunately, that is variable depending on the pharmacy
15 where you get your prescription filled. But we would expect
16 that that is becoming more common where that is done.

17 DR. ROSENBERG: It's getting to be that there are
18 not that many drugstores. They are all big chains.

19 DR. DRAKE: I am going to ask if there are
20 questions from people who have not spoken.

21 Eduardo?

22 DR. TSCHEN: No.

23 DR. DRAKE: John?

24 DR. DiGIOVANNA: No.

25 DR. DRAKE: Fred?

1 DR. MILLER: No.

2 DR. DRAKE: Bob?

3 DR. JORDAN: No.

4 DR. DRAKE: Wilma?

5 DR. BERGFELD: No. Any more? Rob?

6 DR. STERN: No.

7 DR. DRAKE: Jim?

8 DR. KILPATRICK: I simply want to compliment you

9 in keeping your cool under all of this barrage.

10 DR. PLOTT: Thank you.

11 DR. DRAKE: That was very nice. I agree.

12 Bill?

13 DR. ROSENBERG: Nothing.

14 DR. DRAKE: Joe?

15 DR. MCGUIRE: Nothing.

16 DR. DRAKE: Joel.

17 DR. MINDEL: No.

18 DR. DRAKE: Jon? No questions?

19 I would invite you to stay because we may call

20 upon you as we get into the discussion. So thank you, Dr.

21 Plott. You did a great job. We appreciate it. I agree

22 with your comment about being a little skewered, but we like

23 you anyway and we appreciate all Schering does for us for

24 our patients. So we are just trying to help you here to

25 make the labeling a little better, or maybe reign it in just

1 a touch, as they would say in the South; "Let's just reign
2 it in just a touch."

3 **Questions for the Committee**

4 DR. DRAKE: We are going to go to the questions
5 right now. We have six questions to answer and we have
6 about forty-five minutes to do it in. So I think, with your
7 permission, we could start unless somebody feels the need to
8 discuss something.

9 Joe, I haven't forgotten you. Before we start the
10 questions, I am going to bring up your question you asked a
11 long time ago because we are on the discussion phase. I
12 think, before we hit Question 1, I would like Joe to restate
13 his question because he wanted a sense from this committee.
14 What was that again, Joe?

15 DR. McGUIRE: I just wanted to get some idea from
16 the committee, realizing that it is a very special group of
17 physicians and does not represent other specialties and
18 generalists. But I would like to know if any of the
19 committee use the product.

20 DR. STERN: I hate to say it, but I do; usually
21 just the samples but, for example, when someone has got tons
22 of lichenification in an intertriginous area, I will give
23 them enough for three or five days if I have some samples.
24 If someone has LSC right around the ankle and has tinea
25 pedis, I will use it down there. I will give them a choice

1 between using two things or this, usually as a starter.

2 I often do combinations empirically by writing two
3 scripts, but if I have some Lotrisone samples, I admit, I am
4 a sinner.

5 DR. MCGUIRE: I wasn't putting that litmus test on
6 it. I just wanted to get some idea of what the frequency of
7 use is.

8 DR. DRAKE: Does anybody use it very often? I
9 think we are not the primary users.

10 DR. ROSENBERG: I ought to be pointed out that I
11 think at least a couple of years ago, Lotrisone outsold all
12 other topical antifungals combined--all other topical
13 antifungals combined, a few years. Todd is nodding. So
14 there really is a need for a product like this.

15 DR. DRAKE: Todd, what did you say?

16 DR. PLOTT: That is still true that it outsells
17 all other single-agent antifungals combined.

18 DR. DRAKE: So people like it. I am going to say
19 this, too. I am a big believer in treating what you know
20 you are treating. I think you ought to have a diagnosis
21 before you treat. I can just see my old chief. I see my
22 chief shaking his head at me a little bit. But, in most
23 instances, I like that.

24 On the other hand, there is something else you
25 taught me and that is that patients are empirically smart

1 and if something works, they use it. And, if they don't
2 like it, they let their doctors know and it doesn't get used
3 again. So the fact that so many people are using this tells
4 us something, I think, in one form or another.

5 I don't have any magic answers. I would ask you
6 to keep that balance as we go through the discussion. There
7 is a balance. There are a lot of people who like this drug
8 and I don't think all the doctors and all the patients who
9 use it are dummies. There are a lot of people using it for
10 some reason.

11 On the other hand, we have a set of different
12 concerns so I want to keep a balance as we move through the
13 questions. And our task here is not to approve or
14 disapprove this product. Our task here is to look
15 specifically at the labeling to see if there are ways we can
16 refine it and help to address our concerns, or the concerns
17 that I have heard raised by this committee that, frankly, as
18 I gather, shared by the company, also.

19 So, with that, I think what we will do is we will
20 start the questions and try to go through them. Question 1
21 is what would be appropriate indications for Lotrisone
22 Lotion.

23 Jon, may I ask you a question? Does the committee
24 have an option of saying the same as the Lotrisone Cream or
25 the same as the Lotrisone Cream with these changes? Would

1 you give us some guidance on this?

2 DR. WILKIN: Sure. At the end, we are going to
3 ask the question, everything that you recommend for the
4 lotion, does that also apply to the cream.

5 DR. DRAKE: That is what I wanted to ask you.

6 DR. WILKIN: What would be the appropriate
7 indication, in part, is to address the aspect of, I think,
8 how you have described it as symptomatic inflammatory tinea.
9 I think you have addressed this in part, but, of course, you
10 can rethink that now that you are thinking about a--

11 DR. DRAKE: Do we want symptomatic inflammatory
12 tinea.

13 DR. WILKIN: Do you want those sorts of things.
14 Currently, you will find that the labeling is for tinea and
15 then it describes the different areas, pedis cruris, pedis
16 corporis. The question is is there a need to modify that.
17 In this case, it would be somewhat restrictive, restricting
18 it to symptomatic inflammatory tinea, or any other phrase.

19 But the question is not meant to be limited to
20 that. It is based on all that you have heard, you have
21 recommendations, in general, related to the indication.

22 DR. DRAKE: So why don't we start with some
23 generic comments then. I think, from our previous
24 discussion on the first question, what would be appropriate
25 indications for Lotrisone Lotion, clearly inflammatory

1 symptomatic tinea.

2 DR. STERN: But the other thing that came up this
3 morning is that in virtually all of the studies that have
4 been done of these combinations agents, it is in first five
5 or eight days. So the question is should the indication be
6 for symptomatic inflammatory tinea for up to eight days use
7 with the recommendation that, for continued usage, just a
8 monotherapy be continued.

9 Like I say, I use this agent actually often for
10 three or four or five days in exactly that setting and am
11 very comfortable with it. But I don't use it for 30 days
12 because there is no advantage for the last 22 of them.

13 DR. DRAKE: What I am going to do, then--I have
14 the same trouble with Question No. 1 that I did last time.
15 It is very broad. I think if we go through some of the
16 other questions and then come back to Question 1, we will
17 have about answered it.

18 So I would like to go to Question 2 for the
19 moment. If you look at Question 2, it is--possible wording
20 for including in labeling may be--let's get the committee to
21 respond to this--"minimally inflamed tinea pedis, corporis
22 and cruris not requiring a corticosteroid component may be
23 effectively treated with a topical antifungal drug product
24 not containing corticosteroid."

25 DR. WILKIN: Dr. Drake, actually that was

1 misnumbered. It should have been 1a because it is really a
2 subset of the first one.

3 DR. DRAKE: That is what I was thinking, it is a
4 subset. This is not Question 2. This is 1a.

5 DR. STERN: That is an awful long way to say,
6 "Don't use it in minimally inflamed." I think short,
7 positive statements; "Use it here." "Don't use it there."

8 DR. WILKIN: Again, the wording that you looked at
9 earlier and suggested symptomatic inflammatory. We are
10 revisiting the same issue in a different--

11 DR. DRAKE: I think the answer here should be
12 symptomatic inflammatory. That is what we spent a lot of
13 time getting a general policy for and the committee was
14 unanimous on that. Do I hear any dissension from the
15 committee, clearly for 1 and 1a, at least in part, to just
16 have us say symptomatic inflammatory tinea.

17 DR. DiGIOVANNA: It is not dissent. It is maybe
18 clarification.

19 DR. DRAKE: Fine. Clarify.

20 DR. DiGIOVANNA: We had a discussion earlier as to
21 whether the symptoms were from the tinea, whether the
22 symptoms were from the inflammation because lots of times
23 there is some itching or what not.

24 Do we want to say, "In tinea with inflammation
25 sufficient to cause symptoms?"

1 DR. DRAKE: I would like to have you take that
2 under advisement, Jon, you and your group because that is
3 wordsmithing. Would you just take that under advisement as
4 to how you think it is the most clearly presented. The
5 concept is the same. It is just wordsmithing.

6 Does somebody else have anything? Wilma?

7 DR. BERGFELD: I think it might be appropriate to
8 consider, in addition to this, and that is what Rob had
9 suggested, is a question of limiting it in time of therapy,
10 as to one week, two weeks or whatever would be decided upon.

11 The second part of that is what we speak of for
12 the lotion should be true of the cream because they are so
13 similar in their biological activity.

14 DR. WILKIN: If I could respond to that. The
15 database we have is for the time period as listed in the
16 dosage and administration section of the labeling. If we
17 somehow cut that shorter, we really would not have a
18 database to know what the antifungal efficacy would be in
19 that circumstance.

20 DR. DRAKE: You have already got your timelines in
21 there, basically.

22 DR. WILKIN: Yes.

23 DR. DRAKE: With those represented to us and,
24 unless we see a need for change, then we probably should not
25 mess with that because that means we have to go back and

1 redress the whole issue.

2 DR. MINDEL: With regard to the cream and the
3 lotion having the same labeling or the argument for it, if
4 you look in the PDR for different manufacturers' products
5 containing the same ingredients, the labeling, especially
6 the older labeling, may be quite dissimilar. So you don't
7 have to have the same--that argument has limited merit.

8 I think, in this case, I want to emphasize that.
9 The other is that we can all learn from our mistakes. So it
10 doesn't have to be a very cogent argument that the lotion
11 and the cream have to be labeled the same way. I would like
12 to argue against--who is going to know? The patients? Is
13 the patient going to pick up the cream labeling and the
14 lotion labeling and say--

15 DR. DRAKE: Jon, I would like you to address that.

16 DR. WILKIN: I think maybe a more specific version
17 or question would be actually two parts; give us what you
18 think would be really good labeling for the lotion which is
19 the product under consideration and then we would like your
20 sense as to whether you think those would also be useful
21 items to incorporate into the labeling for the cream.

22 Now, in this particular circumstance, we are
23 talking about what we think of as a line extension. A line
24 extension is a different vehicle for the same active
25 ingredient or ingredients, the same concentration, the same

1 population, the same duration of usage, so are many relevant
2 similarities between the two products and what they are
3 intended for. Labeling probably ought to have some relevant
4 similarities also.

5 DR. DRAKE: Would the audiovisual folks turn up
6 these lights? Every time I look to my left, it is dreary.
7 That sort of signifies nap time. Maybe I am just getting
8 old and not seeing good, but I would like some light on the
9 subject. I would like to see Dr. Epps, for example. I
10 would like to see Ted.

11 Thank you. I feel like I have gone out in the
12 sunshine. Sorry to digress with such a mundane thing.
13 John, question?

14 DR. FELDMAN: I have a question for Dr. Wilkin.
15 If we would recommend specific labeling for the lotion,
16 would you then ask that the cream labeling be changed to
17 meet that? Is that my understanding of what you were
18 saying?

19 DR. WILKIN: Yes.

20 DR. DRAKE: Basically, for everybody, so you will
21 know, we are sort of answering--at this moment, we are sort
22 of beginning to answer Question 5. So we have kind of
23 wandered into Question 5 here. But that is okay because if
24 we answer it now, we don't have to do it later.

25 DR. WILKIN: The answer was yes.

1 DR. DRAKE: The answer was yes. So let's go ahead
2 and answer Question 5. Or do you want to get the labeling
3 for the lotion first and then decide if that is appropriate
4 for the cream.

5 DR. DiGIOVANNA: I have a suggestion on the
6 labeling. I think the labeling is great. It just needs to
7 be moved to the outside. I think we have all agreed to
8 that, outside the package.

9 DR. ELGART: We are taught early in our
10 dermatology careers that the base makes a difference, that
11 steroid ointments are more potent than steroid creams.
12 Steroid creams are more potent than steroid lotions. We
13 know here that the vasoconstrictor assay shows that this
14 product is not as significant a steroid in a lotion form as
15 it is in a cream form.

16 DR. DRAKE: No, wait. They are equivalent.

17 DR. ELGART: They are equivalent, but the numbers
18 are a little less--not statistically equivalent, I know.

19 DR. DRAKE: They were basically equivalent.

20 DR. ELGART: So I think that we may want to make
21 some change for the vehicle.

22 DR. DRAKE: I think the only way we would want to
23 make--I appreciate your concern but, in fact, the major
24 issue there would be the vasoconstriction and it is the
25 same. So I think we would have to have some justification

1 for making them totally different. I don't mind considering
2 that but I would like to have a justification that would
3 make sense.

4 Wilma?

5 DR. BERGFELD: I would like to put on the table
6 the consideration of a restriction in the labeling for use
7 in the groin and, possibly, the face.

8 DR. DRAKE: Keep that thought because I don't want
9 to get on the restrictions just yet. I want to look at--

10 DR. BERGFELD: No; it would be in the labeling.

11 DR. DRAKE: So you want it in all the labeling.
12 Okay; I'm with you. Sorry. I got it.

13 I made whole list as you guys were talking about
14 restrictions. I can tell you here is what came down the
15 list was diaper dermatitis, groin dermatitis, breast, belly,
16 face and underocclusion. So, I made a list in the earlier
17 questions and comments. So it would quite a list of
18 exclusions if you want to get into exclusions.

19 Jon, how do you feel about exclusions versus
20 inclusions?

21 DR. WILKIN: Of course, one of the exclusions that
22 you have mentioned, the groin, seems incompatible with a
23 tinea cruris.

24 DR. DRAKE: That's right. But I am just saying
25 this is a list that had fallen out on the table. Isn't it

1 true that if people would use these products according to
2 the defined indications, which is five days--two weeks;
3 right? Two weeks in the groin? Does that give anybody
4 indigestion? If people would live with the labeling as it
5 is, could you use this product for two weeks in the groin
6 without getting into trouble? Why don't I just get a straw
7 poll on that?

8 DR. MCGUIRE: It is presumptuous for us to think
9 that we can limit the duration of treatment. I don't know
10 where patients get the drug but, if they start with a potent
11 steroid and they like it, they get it. And they get it from
12 me once.

13 DR. DRAKE: That is true with every drug, Joe. I
14 don't know a single drug out here that is not abused. I
15 can't tell you one single dermatologic drug that is not
16 abused by people, by doctors particularly and by patients
17 secondarily. Joe, Merve Elgart said it very nicely, what is
18 in the medicine cabinet.

19 As a matter of fact, half the stuff is used for
20 people that it wasn't even given to. It was given to your
21 cousin or your brother or your next-door-neighbor or your
22 brother-in-law. We can't control behavior totally.

23 What we are dealing with today is what is in the
24 label. The label says two weeks in the groin. If that is
25 acceptable, we have covered that issue. Is there anybody

1 who doesn't think that two weeks is acceptable in the groin?

2 Let's get a straw poll on that. I would like a
3 straw poll because the labeling right now for groin
4 restricts it to two weeks, no longer.

5 DR. DiGIOVANNA: May I ask a question?

6 DR. DRAKE: John.

7 DR. DiGIOVANNA: Were there not studies done that
8 documented it was safe and effective and that has been
9 declared.

10 DR. DRAKE: It has already been done. It has
11 already been documented that it is safe and effective.

12 DR. DiGIOVANNA: So you are asking us if our
13 subjective feelings are different than that.

14 DR. DRAKE: No; I guess I am asking if anybody
15 feels strongly enough to ask the agency to look for a change
16 in labeling for something that has already been proven safe
17 and effective for that time period. In other words, we are
18 trying to make a labeling better. We can't enforce human
19 behavior.

20 What I am asking the committee to be is pragmatic.
21 Please step away from your gestalt and your own personal
22 prejudices and look at this in an objective manner. Is
23 there anybody at this table who says a patient is going to
24 be harmed by using this, is it safe and effective in the
25 groin for two weeks? If there is, then you need to tell me

1 and you need to defend it, but the data suggest that it is
2 for that time period.

3 Joel?

4 DR. MINDEL: The labeling, as it now exists, has a
5 lot of messages in it. One of them says, "Clinical
6 improvement with relief of erythema and pruritus usually
7 occurs within three to five days of treatment." You could
8 pick and choose the four weeks, the eight weeks here, the
9 this and that, but this is a combination drug. One of the
10 ingredients is only--I haven't heard an argument that it
11 really is needed or useful or helpful beyond, say, a period
12 of eight days, probably five days is maximum.

13 That, I think, bothers a lot of people sitting
14 here at the table. I would like to see the labeling
15 improved so that it restricts the length of the use of the
16 drug.

17 DR. DRAKE: So you could stretch the length of the
18 use of the drug?

19 DR. MINDEL: Limit.

20 DR. DRAKE: But it is limited to two weeks. So,
21 Joel, what I want us to keep in mind is the labeling already
22 says it is limited to two weeks. I think modifying the
23 labeling is one thing. Changing the parameters for efficacy
24 is another. This drug has already been proved safe and
25 efficacious for that period of time.

1 I think the stronger issue here is defining what
2 it is used for, perhaps. But I hate for us to go into this
3 trying to change the safety and efficacy things because I
4 think Dr. Wilkin, in his opening statement, says the company
5 already has a letter that it is proven safe and effective
6 for the time parameters as outlined in the previous studies.

7 That is not our charge today. We may feel that
8 way, but that is not our charge.

9 DR. CHESNEY: I was just going to add to that that
10 I don't think you can change that without doing the studies
11 that demonstrate it.

12 DR. DRAKE: That's right. And that is not our
13 task today.

14 Bob?

15 DR. JORDAN: it took me a while to find the two
16 weeks but it is in here. I just wonder if, as a precaution,
17 this could be bolded.

18 DR. DRAKE: Dr. Plott, just a suggestion for
19 future reference; it might have been very helpful for this
20 committee if you had had a blown-up diagram of the current
21 labeling for every committee member so we would know how the
22 drug is currently labeled. You can see we are grappling
23 with trying to find it. Just a suggestion, if this comes up
24 again. I may not be here but please--

25 DR. STERN: I think there are two issues. One is

1 what is the right labeling and the second is what is the
2 right way to get the message across so that, in fact, there
3 will be a substantially higher compliance with the labeling
4 between information to the learned intermediaries and to the
5 consumers.

6 So I think the labeling is not so much the issue
7 as how should those rules be made apparent and operational
8 in terms of the entire gestalt of education, packaging, et
9 cetera, et cetera.

10 DR. DRAKE: I have a suggestion how I am going to
11 proceed, then, as chairman because we could get bogged down
12 in this forever. The opinions are all over the place. I
13 want to have us vote on each question as defined to us by
14 the agency because these are the questions they wanted
15 answered.

16 And then, following that, if we have additional
17 suggestions and comments or guidance things that we could
18 propose to them, I would like us to do that also. Would
19 that be satisfactory, Dr. Wilkin, to you guys, because you
20 always take into account what we say.

21 So let us address your questions as requested and
22 then we shall add any additional suggestions and
23 recommendations that haven't already been offered.
24 Reasonable? Okay.

25 I think Question 1 has been answered by the policy

1 discussion we had early on. Question 2, I am going to ask
2 you--1a: thank you, Wilma--remember Question 2 has been
3 changed to 1a. The thing I am going to ask you to vote on
4 is this wording acceptable to you. Forget the second
5 paragraph there. I want to talk about just, "Minimally
6 inflamed tinea pedis, corporis and cruris not requiring a
7 corticosteroid component may be effectively treated with a
8 topical antifungal drug product not containing
9 corticosteroid."

10 Yes; question, Joe?

11 DR. MCGUIRE: I think the thought is good. The
12 sentence needs a lot of surgery. It just sort of tumbles
13 over itself.

14 DR. DRAKE: It is awkward, isn't it? It is an
15 awkward sentence; yes.

16 DR. WILKIN: Actually, I thought you had just
17 addressed this. This was where we got the response of
18 symptomatic inflammatory and then Dr. DiGiovanna gave a
19 rearrangement of those words--

20 DR. DRAKE: And I said we were going to let you
21 wordsmith it.

22 DR. WILKIN: And we are going to--

23 DR. DRAKE: Thank you, because I already said does
24 the committee approve it and everybody did. Thank you, Jon.
25 Let's go to Question 3. Question 3 is, "Should Microsporium

1 canis be deleted from the list of dermatophytes for which
2 Lotrisone Lotion is indicated pending phase IV studies to
3 demonstrate clinical efficacy in the treatment of M. canis?"

4 Comments? Let me just ask a question. How many
5 of you say yes. The vote would be yes versus no. How many
6 of you want to vote yes on this?

7 DR. BERGFELD: Is it in the list of the--is it
8 there?

9 DR. JORDAN: It is here. I can see Microsporum
10 canis.

11 DR. DRAKE: It is already in there. Bob, thank
12 you for having that in front of us. That is very helpful.

13 DR. JORDAN: I have really got to magnify to see
14 it.

15 DR. DRAKE: That is why I suggested to Todd that
16 the next time you give us a big thing. But it is there. It
17 is already in there as an inclusion; right--that is
18 indicated for the treatment of M. canis. Our job here,
19 today, is do we want to keep it in there or do we want to
20 delete it.

21 The question from the agency is do we want to
22 delete it from the list of dermatophytes for which Lotrisone
23 Lotion is indicated, pending phase IV studies. Other
24 comments or questions?

25 All in favor? Anybody who wants to vote yes to

1 delete M. canis, pending phase IV, please raise your hand.

2 [Show of hands.]

3 DR. DRAKE: Eight. It passes.

4 DR. ROSEN: Lynn, can I make a comment about that?

5 DR. DRAKE: Yes.

6 DR. ROSEN: Remember that you are already
7 concerned about the labeling and the assumptions that
8 physicians will read the label, understand the label and act
9 appropriately. I would suggest to the voting panel that you
10 consider something about labeling, "Caution should be used
11 in those dermatophyte infections suspected to be acquired
12 from animals," because I have a feeling that some of the
13 prescribing physicians wouldn't know the difference between
14 Microsporium canis and Superman.

15 This may not mean much to them. They must
16 understand that this is animal-acquired fungus somewhere in
17 common language, somewhere in the labeling, not "Don't do
18 it," but, "Caution."

19 DR. DRAKE: That is a suggestion for the agency to
20 take under advisement please.

21 I am going to move to Question 4. "Is the
22 labeling for pediatric use of Lotrisone Lotion as proposed
23 by the sponsor sufficient?" That, and correct me if I am
24 wrong, says basically it should not be used for dermatitis
25 and it should not be used in those under age 12. That is

1 the current labeling; is that correct? It says, "Should
2 not be used for diaper dermatitis or under age 12."

3 Is that sufficient? Let's ask that. Or, the next
4 part of the question, "Should the label be further
5 strengthened; i.e., via alternative language, additional
6 statements in the Warnings or Contraindication Section and
7 placement of warnings on the product tube, itself?"

8 That is a big one. Fred?

9 DR. JORDAN: Actually, I am just going to be
10 reiterating, I think, what has already been said but when we
11 talk about an educational process and you are going to reach
12 physicians, so many people slip through the cracks. It is
13 impossible to have an ongoing campaign where you truly get
14 everyone.

15 New physicians come on board. People are not
16 there when the process takes place. People don't read the
17 literature that comes through. The one thing that we have
18 gotten from this is that this is not approved for pediatric
19 patients and it should not be used in children under the age
20 of 12. We have heard that plea, I think, from the expert
21 panel.

22 The only place it is really going to have any
23 effect is on the tube, itself, "Not to be used in children."
24 And then parents will see it and they will certainly bring
25 it to the attention of the physician.

1 DR. DRAKE: We have certainly heard that around
2 the table today. other comments?

3 DR. McGUIRE: I would include box.

4 DR. DRAKE: A black box?

5 DR. McGUIRE: No. On the box and the tube.

6 DR. DRAKE: Oh; on the box and on the tube. I am
7 certainly hearing a consensus. Maybe I could kind of take
8 this backwards. Can we take the last part of this question
9 first?

10 DR. STERN: Why don't we have a motion.

11 DR. DRAKE: Please.

12 DR. STERN: I move that we recommend to the FDA
13 that it be clearly indicated on the container and the tube
14 or bottle that use under age 12 and for diaper dermatitis
15 are contraindicated in lay terms.

16 DR. DRAKE: Is there a second to that motion?

17 [Second.]

18 DR. DRAKE: Is there discussion on that motion?

19 Bill?

20 DR. ROSENBERG: Perhaps an amendment that could
21 say, "See Lotrisone/Baby for such use."

22 DR. DRAKE: Is there a second to that amendment?

23 No?

24 DR. KING: Part of why you don't speak up is you
25 try to get the sense of it. My sense is, having worked with

1 the federal government for a long time, that regulations
2 have the force of law. When you deal with law, you deal
3 with lawyers, having recent experience.

4 So what you are really talking about is if a
5 pediatrician writes a prescription for this, inadvertently
6 or whatever, then they are going to be held to standard
7 practice and sued. So, in a contrary observation, I have
8 noticed that when we deal with research people from China
9 and all around the world, in our laboratory, when we have
10 skill and crossbones on there, they don't read, but they can
11 see that and they know exactly that is poison.

12 So I guess my question is how can you get across
13 the information in a visual way not depending upon English
14 as a primary language. If you have an X through there--I
15 was trying to draw a diagram of what a diaper would look
16 like and put an X through it but I couldn't quite get there.

17 It seems to me that the question is how are you
18 going to get that because, in our experience, creams get
19 handed around from mother, father, across the back door,
20 shared. So I think if it is not on the tube, itself,
21 without a skull and crossbones on the chemical thing, you
22 are going to just pass it around anyway. Nobody is going to
23 read that label. That is just wasted.

24 DR. DRAKE: Lloyd, you have brought up two
25 separate points, both which are important. One is that

1 words are inadequate; you need a picture.

2 DR. KING: Absolutely; highway signs.

3 DR. DRAKE: The second thing you brought up that
4 is equally important is on our zeal here today to try to
5 make this language sterner, even Mary Spraker, our pediatric
6 dermatologist--what is the matter?

7 DR. STERN: Sterner?

8 DR. DRAKE: Excuse me; no pun intended. My
9 meetings are never boring, Rob. You have to say that. You
10 guys haven't been taking a nap down there today.

11 I want to go back to Mary Spraker's comment. Even
12 in the best of times, knowing the best of information, when
13 it is your eight-month-old son screaming because he is
14 miserable, you may, in fact, decide that, in this particular
15 instance, for a day or two, it is appropriate and useful in
16 this particular situation.

17 We, as physicians, have always had the ability to
18 use something if, in our best judgment, we thought it was
19 appropriate. What my question is is do we want to be
20 absolute in this and fix it so that nobody can ever use this
21 for any reason and take that decision-making power away from
22 the physician or do we want to try to word this in a softer
23 manner.

24 When I was writing guidelines of care for
25 dermatology, we tried to write it so that we gave the best

1 recommendations and advice we could give, but we tried never
2 to restrict the physician's ability to make an independent
3 decision about what is best for their patient based upon a
4 government regulation.

5 Now, if somebody's life is at stake, then we may
6 want to be that absolute. On the other hand, I think we
7 want to be very careful, as we set this policy today, that
8 we don't restrict a physician's ability to make an
9 independent decision regarding their patient and what is in
10 their patient's best interest.

11 There is a difference between education and
12 mandated forbiddance.

13 DR. KILPATRICK: Madame Chair, we are not setting
14 policy.

15 DR. DRAKE: We are if we vote this.

16 DR. STERN: The company has already, in the
17 Lotrisone Cream, actually said it better and, in fact, it
18 was on the slides as well, "Not to be used under the age of
19 12 and not to be used in diaper dermatitis." Plain English.
20 Bold type.

21 In fact, my original question is--

22 DR. DRAKE: I hate it when you are right.

23 DR. STERN: So, therefore, all of the legal
24 implications are already here because this is the official
25 document. Everything else is window dressing, as I

1 understand it with the FDA.

2 DR. DRAKE: But, does this mean anybody who uses
3 it goes to jail?

4 DR. KILPATRICK: Madame chair, Rob is not only
5 right but he is also stern.

6 DR. CHESNEY: I think there is a difference
7 between contraindicated and should not be used.
8 Contraindicated suggests fear and damage. Although we have
9 evidence for that, I think the vast majority of the time,
10 there is no side effect of this. So I think "Should not be
11 used" is good, but I still would think about the caveat,
12 "Because it has not been studied in children."

13 We use a lot of drugs in pediatrics, as you all
14 know. We have to talk to the parents and say, "This is not
15 indicated but it is only because it hasn't been studied.
16 And these are my reasons for thinking that this is a drug
17 that we should use anyway."

18 DR. DRAKE: That is a very nice way of softening
19 it so that it still leaves that independent choice to the
20 physician and yet tells them not to do it. I would like to
21 ask, from the other pediatricians in the room, what is your
22 reaction to that?

23 Dr. Epps?

24 DR. EPPS: I agree. Most of the drugs in the PDR
25 haven't been tested, necessarily, in kids under 12. But we

1 use them, and it is my understanding from the rules, that if
2 it is approved and, of course, there is compassionate use
3 and reasons and indications to use it in children and in
4 other situations. So those are instances when it occurs.

5 DR. DRAKE: Dr. Spraker?

6 DR. SPRAKER: I have been sitting here thinking
7 about the pediatrician who induces striae and then goes to
8 court. I was thinking about that actually before Lloyd
9 mentioned it. It seems to me that we don't want that kind
10 of difficulty. That is what Schering is talking about.
11 They don't want to be in the middle between the physician
12 and the indication.

13 But I was wondering if we could say, "Not intended
14 for use in diaper dermatitis."

15 DR. EPPS: "Not recommended."

16 DR. SPRAKER: And because of those reasons. It
17 already says, "Do not." I understand that.

18 DR. STERN: This label without the actions to
19 protect it is exactly what puts the physician in danger.
20 Here we have all agreed that here is a piece of information
21 that the members of the committee were not aware of, let
22 alone the practicing pediatrician, that protects Schering
23 from suit.

24 They have told us, as a learned intermediaries,
25 not to do it. So the way to protect the physicians, once

1 something like this is in the label, is to make sure there
2 is every level of protection so that an unintended use is
3 less likely to occur.

4 As long as this is here, anything that we don't do
5 to get information to every level along the use chain is, in
6 fact, putting every pediatrician with greater risk. This is
7 basically liability proof from a company's point of view and
8 a slam dunk from a lawyer's point of view with the kind of
9 information system as has been pointed out by my much older
10 colleague that is unlikely to be translated into changes in
11 behavior.

12 DR. DRAKE: Can I do something for just a moment
13 because we have drifted from the motion. We can come back
14 to discuss the changes in the wording but the motion, as I
15 understand it, is to take whatever wording we end up with
16 and add it to the tube and the box.

17 Can we vote on that and get that out of the way
18 and then go back to wordsmithing again? Is everybody in
19 this room in favor of putting whatever wording is finalized
20 by the agency on the box and the tube. I would like you to
21 vote yea or nay on that.

22 How many are in favor of putting said language on
23 the box and tube?

24 [Show of hands.]

25 DR. KILPATRICK: Madame Chair, I am a literalist.

1 We would have to have a very large tube to put all this
2 wording on. So, amended, I agree with the sense of this
3 wording, but not this wording.

4 DR. DRAKE: I think you have got a valid point. I
5 can't believe where I am on this. I called for the vote.
6 It is already voted and passed, so your comments--

7 DR. KILPATRICK: Will be considered.

8 DR. STERN: We just said appropriate warning.

9 DR. DRAKE: So it still can be done. Jim, the
10 motion has passed. The motion was appropriate wording,
11 whatever we end up with, so the agency can then finesse that
12 down so that it does fit on the box. The sense of the
13 committee, and the motion of the committee, is that a
14 warning be put in the box and on the tube of some sort.

15 Does that satisfy the agency? Are you guys okay
16 with that?

17 DR. WILKIN: Yes.

18 DR. DRAKE: I am sorry we were not more specific.
19 Your comment is good. It should have just come in a little
20 earlier because it is valid. I am certain Todd is over
21 there dying, thinking, "Oh; my gosh, I have got to put
22 twenty-five things on the tube." And you can't. But I
23 think you can work with that if that is where the agency
24 goes.

25 Remember, we are only advisory to the agency.

1 They may make a different decision altogether.

2 DR. PLOTT: I was just going to say, we don't mind
3 making a bigger tube.

4 DR. DRAKE: True marketing over there.

5 I want to go back--I guess it is sufficient, since
6 some of us want to weaken it--I didn't really want to weaken
7 it. I just wanted to add a softening such as Dr. Chesney's
8 suggestion.

9 DR. EPPS: The other issue is it is a class 2
10 steroid. So if you want to use a steroid, use something
11 else. Don't use it. I think that is what the company is
12 saying, "Not to be used under the age of 12 and not in
13 diaper dermatitis." If you want to use a steroid, don't use
14 a class 2 steroid there. Use something else.

15 DR. DRAKE: That is a positive suggestion, but
16 that doesn't really address the label. You can't say on the
17 label, "Use something else." No company is going to go for
18 that. "We want you to use another product."

19 DR. BERGFELD: Hearing the concerns of everyone,
20 in the PDR information, package information, under the
21 discussion of the corticosteroid, is it appropriate, or is
22 it possible, to place there that it is a class 2 steroid?

23 DR. WILKIN: The idea of describing it as a
24 class 2 steroid implies that we have some evidence from a
25 vasoconstrictor study in which we have bracketed the

1 vasoconstrictor response by that of known potency
2 preparations. It hasn't been bracketed, is the key.

3 DR. BERGFELD: I would suggest that, if it was
4 possible to do and, again, something to take back to the
5 agency, that, under the discussion that is paragraphed on
6 the second side of this first page, it might be helpful to
7 those who would read it to know what class of steroid they
8 actually have.

9 DR. ROSENBERG: I have a lot of patients if they
10 read that would say they want a class 1 steroid.

11 DR. DRAKE: Dr. Wilkin, I want to go back to Dr.
12 Chesney's comment. I tell you, I am still concerned. I
13 know the company is very happy with saying this is
14 absolutely don't use it because you will die, but there are
15 a lot of physicians out there using it and, in some
16 instances, it is in their best judgement for the benefit of
17 their patient.

18 Is there anybody on this committee besides me and
19 Dr. Chesney who would like to add this language here that
20 was actually in our packet where it says the reason we are
21 adding this language is because it has not been established
22 in well-controlled clinical studies in the pediatric
23 patients under 12.

24 Would anybody besides the two of us like to see
25 that language added?

1 DR. DiGIOVANNA: I see two issues. I see that
2 addresses the fact that it hasn't been studied and it
3 softens it, which I feel fine about. It doesn't really
4 address the side-effects issue as much.

5 Part of the reason that I feel you are making an
6 appropriate point is because we are not hearing, as Todd
7 mentioned, from the people who like this drug.

8 DR. DRAKE: That's right.

9 DR. DiGIOVANNA: I clearly have been biased
10 against it. So I am wondering if we are not missing
11 information from people who do use it and use it
12 appropriately. I think what you are suggesting is that, by
13 us coming out and recommending a blanket condemnation of it
14 in that age group, we are really condemning it and not
15 hearing from the people who appear to think it is effective.

16 DR. McGUIRE: John, the other side of what you
17 just said is that many of us sitting around the table have
18 seen the children who have been treated inappropriately and
19 who have atrophy, who have ecchymoses. We see them after
20 they are three months, six months, twelve months into
21 treatment.

22 They got their drug somehow. We don't know how.
23 But we are the ones who have seen the bad effects.

24 DR. DiGIOVANNA: I have also seen those, and that
25 was the first part of my question, that saying that it

1 hasn't been studied doesn't address the side effects.

2 DR. DRAKE: Those people are already in violation
3 of the label. If you used it for two weeks, like it said,
4 it might not happen.

5 DR. McGUIRE: No. I was addressing John's issue
6 with the bias of this group. I think we are biased for a
7 reason.

8 DR. DiGIOVANNA: I agree. I am biased for the
9 same reason.

10 DR. McGUIRE: We are the ones to whom those
11 children come.

12 DR. DiGIOVANNA: But that doesn't change the fact
13 that we are not hearing from the people who apparently have
14 a different bias and we are apparently recommending labeling
15 that prevents them from doing what they apparently consider
16 effective. And I don't know how many of those 62 million
17 prescriptions were used by pediatricians who felt that it
18 was very, very effective and were basically coming up with
19 an imprimatur that says, "This can't be used in that age
20 group."

21 And we are not saying because it hasn't been
22 studied. We are merely saying it can't be done.

23 DR. DRAKE: It can't be used. Dr. Chesney? And I
24 am going to ask Lloyd to comment.

25 DR. CHESNEY: I had wanted to make this point

1 before that if there were any way to put an insert, and
2 somebody else had mentioned that, in the box for the parents
3 explaining what the side effects are--one thing that I
4 haven't heard any of you mention but that I have seen
5 personally, being in infectious disease, is babies, when
6 they have severe Herpes simplex infections of the diaper
7 area can look like a diaper dermatitis.

8 This is obviously strongly contraindicated. So,
9 as an advocate for infants, I would like to put as much
10 information out there as possible for pediatricians who I
11 think you are all quite correct, don't know what category of
12 steroid this is and don't always know about these side
13 effects, although most of us understand the scarring.

14 But if there were something, again, to put in the
15 box or on the label, "Hasn't been studied; side effects that
16 should be considered are the following." I also appreciate
17 your comment about the physician who has to go to court
18 because of striae, but something to say that infant skin is
19 different from adult skin and we don't know all the side
20 effects. Some other wording that also explains what the
21 side effects are is going to be educational for everyone.

22 DR. KILPATRICK: Lynn, may I add to that?

23 DR. DRAKE: Yes, Jim.

24 DR. KILPATRICK: Coming back to the label as
25 distributed, information for patients, unfortunately, comes

1 at a break of a page, but there is a list of seven items
2 there that I think are very important. I would like to see
3 those incorporated with Dr. Chesney's suggestion and put in
4 quite separately because, at the moment now, they disappear.

5 I know I am off the question.

6 DR. DRAKE: No; that's fine. That is an important
7 comment. Lloyd, and then Dr. Epps. Fred, you have been
8 awful quiet. And Bob. You guys have been quiet down at
9 this end. Maybe I am not looking this way. Holler at me,
10 would you?

11 DR. KING: My comment is quite simple. Having sat
12 on the academic side and also on the VA side, it becomes
13 clear to me that, in the land of the rare, the rare is
14 common. What we are not hearing is what the pediatricians
15 and other people, internists, are doing. So if you have a
16 product that is beneficial to a lot of people and then, all
17 of a sudden, you are restricted to that one population which
18 is likely to see you, it then gets to be population
19 genetics.

20 My mother almost died twice in Vanderbilt Hospital
21 because she was allergic to aspirin. One could conclude
22 that aspirin should be banned because it causes reaction.
23 We have people allergic to water. You can take this ad
24 nauseam but it seems to me the fundamental problem, as I see
25 it, is what is the greater good and what is a physician to

1 do when facing this kind of process.

2 If we are going to make a decision on the group of
3 physicians who use this a lot more than dermatologists, I
4 think we have to have some input. And then, the reverse of
5 that is that if we are going to make these kinds of things,
6 they really do have the force of law, whether you say so or
7 not, because, in the State of Tennessee, whatever it says on
8 the PDR and the insert is actually what the lawyers look up,
9 and they sue you for injecting a triamcinolone, et cetera,
10 et cetera, because it is written there.

11 So I think putting it on the label and let the
12 mothers and fathers decide even if they can't read English
13 would be a best solution. Short of that, putting something
14 on the tube where you don't have to read English at all and
15 have somebody else look at it is the best solution as far as
16 I can see.

17 DR. DRAKE: Dr. Epps?

18 DR. EPPS: Briefly. Certainly, we are not hearing
19 both sides, necessarily. I do see some of the ineffective
20 cases. I guess it is hard to do clinical trials and
21 controlled studies when people are using it on something
22 that is not diagnosed. There are a lot of people who use it
23 and they don't necessarily have a diagnosis so it is kind of
24 difficult to sort that out when another drug or something
25 else would take care of it just as easily, I guess, whether