

1 | prescribing and dispensing restrictions mentioned above  
2 | cannot be shown in a postmarketing study to meet the  
3 | agency's no-pregnancy goals after one year, the drug should  
4 | be withdrawn from the market.

5 |           Continuing medical education programs,  
6 | professional product labeling changes, and optional patient  
7 | information brochures have been the Pavlovian response to  
8 | drug safety issues by manufacturers and the FDA for years.  
9 | It is time to admit that this is a failed paradigm and  
10 | recognize that rigorously enforced regulation may be the  
11 | only way to ensure that patients are informed and that  
12 | drugs are prescribed appropriately.

13 |           I was very pleased to listen to the comments by  
14 | Dr. Peter Honig from the Office of Postmarketing Drug Risk  
15 | Assessment. I think this is the first time that I have so  
16 | clearly heard anyone from the Food and Drug Administration  
17 | say that labeling changes and the like have failed.

18 |           Where industry interests have been at stake,  
19 | the FDA has been innovative in interpreting the Food, Drug  
20 | and Cosmetic Act to get drugs such as clozapine,  
21 | thalidomide, and dofetilide on the market. It's time for  
22 | the agency to use the same creativity to protect the  
23 | public's safety.

24 |           We hope that it will not be necessary to return  
25 | to this committee in the future to discuss how to reduce

1 Accutane's risks to patients.

2 Thank you very much for your attention.

3 DR. BERGFELD: Thank you.

4 Our eighth presenter, Dr. Nancy Green,  
5 Associate Medical Director, March of Dimes.

6 DR. GREEN: Thank you. Thank you for the  
7 opportunity to address this committee today. As you just  
8 stated, my name is Nancy Green. I'm the Associate Medical  
9 Director at the March of Dimes. I'm also on the faculty of  
10 Albert Einstein College of Medicine where I'm an assistant  
11 professor of pediatrics and cell biology.

12 I'm going to just tell you that neither I nor  
13 the March of Dimes has any conflict of interest here.

14 I'm going to give you the bottom line of my  
15 comments and that is that despite current voluntary safety  
16 measures taken by the manufacturer of Accutane, many  
17 pregnant women and their developing fetuses are  
18 unnecessarily exposed to this drug and major birth defects  
19 have developed in these babies. We recommend a more  
20 stringently monitored and restricted mandatory system for  
21 clinical use of Accutane such as the system currently in  
22 place for thalidomide.

23 So, just to remind you, the mission of the  
24 March of Dimes is to improve the health of babies by  
25 preventing infant mortality and birth defects.

1           You've heard several descriptions of the  
2           teratogenic effects of Accutane, and I'm just going remind  
3           you then briefly of those. This is a syndrome coined  
4           "Accutane syndrome" described by Dr. Lammer about 15 years  
5           ago, and we're talking about major defects, as Dr. Lammer  
6           very nicely described, in the central nervous system,  
7           hydrocephaly, microcephaly, and mental retardation. And as  
8           Dr. Adams suggested, I think that the extent of mental  
9           retardation, sometimes more subtle than is apparent at  
10          birth, is just being appreciated.

11                 There's also craniofacial defects, most  
12          commonly cleft lip and palate, and as you saw, also ocular  
13          defects. There are also cardiovascular anomalies. Some of  
14          these have been reported as being very serious and proving  
15          fatal in the newborn period. There are additional birth  
16          defects as well associated with limb defects, eye defects,  
17          and thymic development. As you've heard as well today,  
18          there's a substantial increased risk of miscarriages in  
19          women who take Accutane early in pregnancy. Again, I  
20          remind you, as Dr. Lammer said, many of the women taking  
21          this drug do not know they're pregnant because the effects  
22          of Accutane are most profound in fetuses early on in  
23          pregnancy, certainly well within the first trimester.

24                 This is our position on Accutane. As you've  
25          heard, Accutane is potent teratogen. I think that's an

1 indisputable fact. This is not a minor problem. It's been  
2 estimated in various studies that you've heard today -- and  
3 I will not recite them again -- that anywhere from 25 to 35  
4 percent of fetuses exposed to Accutane early in pregnancy  
5 are affected by major defects. In fact, as Dr. Lammer  
6 suggested, this is analogous best to thalidomide, and I  
7 don't think I have to describe the thalidomide effects to  
8 this group, but just to say that before the effects of  
9 thalidomide were appreciated, 10,000 children were affected  
10 by thalidomide. 10,000. So, we would hope that this would  
11 not happen with Accutane.

12 Again, as you've heard, many fetuses are at  
13 risk. Dr. Mitchell described his extensive survey of half  
14 a million women who are prescribed Accutane, who take  
15 Accutane, and again as you have heard, that only represents  
16 approximately 40 percent of total women. So, if you do the  
17 math, it comes out to about a million women in the U.S. are  
18 exposed to Accutane.

19 In fact, again as Dr. Mitchell has said, about  
20 1,000 pregnancies have occurred in women taking Accutane,  
21 and again that represents only 40 percent of the total.

22 Again, as you've heard, birth defects have  
23 occurred. This is not a theoretical concern. This is a  
24 major public health issue when you consider the number of  
25 women taking Accutane in childbearing age.

1           The March of Dimes advocates a strict  
2 monitoring system for oral Accutane similar to the program  
3 that is used for thalidomide. Again, you've heard that,  
4 but I'm going to just reiterate some of the points about  
5 the STEPS program administered by Dr. Mitchell for  
6 thalidomide, and that is mandatory physician registration,  
7 mandatory pharmacist registration, and mandatory patient  
8 registration, monthly pregnancy test, and frequent follow-  
9 up and education.

10           So, I echo the concerns that have been  
11 described earlier of the limited registration in the Slone  
12 study, and we would like very much for the FDA to approve  
13 mandatory registration like the STEPS program. I would  
14 like to say, by the way, that I don't have the most recent  
15 numbers and I hope that Dr. Mitchell could supply them.  
16 But the thalidomide STEPS program started in July of 1998,  
17 and as of August of 1999, there were several thousand  
18 people registered to use thalidomide in this program, of  
19 which about a third of those were women in childbearing  
20 age. And to date, according to the Thalidomide Advisory  
21 Committee, there have been no breakthroughs with  
22 thalidomide. So, that stands very much in contrast with  
23 the Accutane experience.

24           Thank you.

25           DR. BERGFELD: Thank you very much.

1 We have two remaining statements. They are  
2 both written. The first is from Randall Warren, the CEO of  
3 the Thalidomide Victims Association, and his statement will  
4 be read by the Executive Secretary.

5 MS. TOPPER: "To the Attention of: the  
6 Dermatologic and Ophthalmic Drugs Advisory Committee.

7 "Reference: Meeting of September 18-19, 2000  
8 to consider NDA for Accutane."

9 "Thank you for the opportunity to submit a  
10 written statement regarding the NDA for Accutane.

11 "The Thalidomide Victims Association of Canada  
12 (TVAC) was created in 1988 to "empower and enhance the  
13 quality of life of Canadian thalidomiders." Since 1995,  
14 the Association has been forced to undertake a second  
15 mandate, "to ensure that a tragedy as occurred with  
16 thalidomide in the late 1950's and early 1960's will never  
17 happen again."

18 "Thalidomide is arguably the most notorious  
19 pharmaceutical disaster in world history, thankfully  
20 avoided (for the most part) in the United States. 10,000  
21 to 12,000 babies were born worldwide with severe birth  
22 defects, of which 5,000 survive today. No one will ever  
23 know how many babies were never born or were stillborn.

24 "The world believed Thalidomide was banned.  
25 The world was wrong. The drug continues to be used. In

1 | 1998, thalidomide was licensed in the United States. It  
2 | became the strictest regulated drug in US history under a  
3 | program developed by a drug company to prevent foetal  
4 | exposure. This was a new system, called STEPS.

5 | "Why was a system developed? The answer is  
6 | simple, because none of the other systems designed to  
7 | prevent foetal exposure to teratogenic drugs were  
8 | successful, including the voluntary compliance system of  
9 | Roche for Accutane. To this date, no foetal exposures have  
10 | occurred with thalidomide (in two years) under the  
11 | mandatory compliance system called STEPS.

12 | "In a presentation to this very committee,  
13 | three years ago, I stated the position of the Thalidomide  
14 | Victims Association of Canada. Although appalled that  
15 | licensing of thalidomide was even being considered,  
16 | thalidomide victims were forced to prefer licensing as the  
17 | most secure way to ensure no more babies would grow up  
18 | seriously disabled. Thalidomide victims also felt that  
19 | they could not deny the drug to those suffering and dying  
20 | from horrible conditions. The major request of the victims  
21 | of thalidomide was that if thalidomide was to be licensed,  
22 | it had to be done under a mandatory compliance system.  
23 | This was not an easy position to take as can well be  
24 | imagined.

25 | "Although isolated for over 35 years from

1 society, the Association's entrance into the issue of  
2 thalidomide licensing thrust us into the world of all  
3 teratogenic drugs and their management.

4 "Have any lessons been learned from the tragedy  
5 of thalidomide yesterday and the management of thalidomide  
6 today?

7 "We believe the answer must be yes, or our very  
8 presence in very difficult circumstances would be for  
9 naught. We believe that the mandatory compliance system  
10 demanded by the FDA for thalidomide licensing was the  
11 herald of licensing requirements for all teratogenic drugs.  
12 Although no system is foolproof and there will be incidents  
13 and suffering, voluntary compliance systems are even more  
14 dangerous and certainly less consistent.

15 "It is not the business of the Thalidomide  
16 Victims Association of Canada to determine whether the  
17 risks of the drug are outweighed by the benefits. That  
18 determination must be left in the hands of scientists and  
19 professionals such as this committee. It is the business  
20 of the Thalidomide Victims Association of Canada to remind  
21 those making these decisions that the risks can always be  
22 lessened by responsible thinking.

23 "The very population that Accutane is designed  
24 for, and the off label availability of the drug when  
25 licensed, necessitate a mandatory compliance system. We



1 | used Accutane as the example of our argument for mandatory  
2 | compliance for thalidomide use. While a mandatory  
3 | compliance system will not totally eliminate the risks, it  
4 | will lessen them, and offer consistent warnings and  
5 | education to vulnerable patients. If mandatory compliance  
6 | lessens pain for just one family, creating one less victim,  
7 | it is worth it.

8 | "No amount of compensation can compare to a  
9 | healthy able bodied body.

10 | "Once again, we trust the wisdom of this  
11 | Committee to do the right thing and remember victims of the  
12 | pharmaceutical disasters everywhere. You can make a  
13 | difference, not only for those who may be given Accutane,  
14 | but for all future teratogenic drug licensing applications.

15 | "With Respect, Thalidomide Victims Association  
16 | of Canada, Randolph Warren."

17 | DR. BERGFELD: Thank you. Our last  
18 | presentation in this public forum is from Dr. Steve  
19 | Webster, former President of the American Academy of  
20 | Dermatology. This is also written and will be read by the  
21 | Executive Secretary.

22 | MS. TOPPER: Dr. Webster had intended to attend  
23 | but, unfortunately, had a family emergency. So, his talk  
24 | is like him reading it.

25 | "My name is Stephen Webster. I am a past

1 secretary-treasurer and past president of the American  
2 Academy of Dermatology and a clinical professor of  
3 dermatology at the University of Minnesota Medical School.  
4 More importantly, I am in the clinical practice of  
5 dermatology at the Gundersen Lutheran Medical Center in La  
6 Crosse, Wisconsin. In my clinical practice, I take care of  
7 many acne patients and particularly young adults in their  
8 20s and 30s who have severe cystic acne, a condition which  
9 is difficult to control and which occurs at a time in their  
10 lives when cystic scarring acne can significantly affect  
11 their careers and future. The only medication to safely  
12 and effectively control their disease is Accutane. Without  
13 easy accessibility to Accutane, these patients would  
14 require high doses of antibiotics which less effectively  
15 control the acne and have the potential for significant  
16 side effects. May I give two examples.

17 "A 22-year-old female graduate from college  
18 with a marketing degree has active cystic acne. She is  
19 facing several job interviews with marketing firms.  
20 However, her cystic acne is quite prominent, and in the  
21 marketing world, this severely hampers her chance at a  
22 position. This acne scars more than her skin, it also  
23 scars her self-image. She is willing to follow all  
24 precautions to prevent pregnancy while on the medication.  
25 She requires ready access to Accutane through a physician.

1                   "Similarly, a 24-year-old investment banker  
2 with severe cystic acne. Confidence in an investment  
3 banker by his/her clients is essential. The marked facial  
4 acne cysts with a potential to scar make it difficult for  
5 him to establish his credentials. It is not a laughing  
6 matter, but will people invest their money with someone  
7 with an 'adolescent' disease like acne. Again, his life is  
8 significantly affected.

9                   "Both these patients require Accutane for safe  
10 efficient control of their disease. An informed motivated  
11 patient with instruction, direction, and proper laboratory  
12 and dosage control by a physician can be successfully  
13 treated with Accutane. In a smaller town, such as La  
14 Crosse, restrictions on physician prescribing and pharmacy  
15 dispensing of Accutane would be unfair to this group of  
16 patients. The effects of cystic scarring acne in any  
17 patient, but especially in young adults starting their  
18 careers, can be extensive and go beyond the skin by  
19 affecting their lives. We need access to this medication.  
20 Dermatologists have proven they can safely provide this  
21 important medication to our patients.

22                   "On behalf of our patients, I thank you for  
23 your consideration.

24                   "Stephen B. Webster, M.D."

25                   DR. BERGFELD: We have had no additional

1 requests for a formal presentation, a public presentation,  
2 so we'll go on with our meeting.

3 Because we had to cut the questions a little  
4 bit short after the Roche presentation, I would like to ask  
5 the committee members if there are any questions for  
6 clarification that could be asked at this time. Dr.  
7 Miller?

8 DR. MILLER: I wanted to ask Mrs. Leach, when  
9 you gave the presentation this morning, if the physicians  
10 whom you contact who have prescribed Accutane don't respond  
11 to you, what course might you take? Or how do you handle  
12 that specifically non-dermatologists?

13 MS. LEACH: Oh, the non-dermatologists. We  
14 invite them to call us, and I have to tell you that I don't  
15 have the figures at hand, but of the physicians that we  
16 send the message to, 90 percent of them do call. I don't  
17 have a slide to back that up. It's anecdotal, but I check  
18 them off my list.

19 DR. MILLER: One other question. With the  
20 prescribing on a month-to-month basis, is there any problem  
21 with any of the prescription plans where only 90 days are  
22 administered? Or can arrangements be made, or is that a  
23 problem?

24 MS. LEACH: Actually it isn't a problem because  
25 an exemption is made for Accutane. We've already worked

1 that out.

2 DR. BERGFELD: I have one other request.

3 DR. CRAGAN: Jan Cragan. I had a question  
4 probably for Dr. Webster.

5 I wanted to know if there is any information  
6 about the proportion of patients who require more than one  
7 or undergo more than one course of treatment with Accutane,  
8 how long they would roughly go in between courses, and if  
9 there's information about the pregnancy rates with  
10 subsequent courses compared to the first course.

11 DR. WEBSTER: Taken in reverse order, there's  
12 no information about pregnancy rates with retreatment that  
13 I know of.

14 The time between initial treatment and  
15 retreatment all depends on what the patient does when he's  
16 taken off the medicine. If there's a rapid nodular flare  
17 of acne, the prudent thing would be to pop the patient  
18 right back on Accutane.

19 The percentage of retreatment depends roughly  
20 on the dosage of drug given and the duration. If you give  
21 a milligram per kilogram per day for 4 to 6 months, roughly  
22 80 percent of patients need no retreatment again and have  
23 no significant acne again.

24 DR. BERGFELD: Dr. Woodcock?

25 DR. WOODCOCK: Yes. I had a couple questions

1 | about the educational program that's currently going on  
2 | with Accutane for Roche, if I may. They're just  
3 | clarification questions.

4 |           Does it involve physically visiting the  
5 | prescribers?

6 |           MS. LEACH: Yes. Actually the Roche  
7 | professional representatives actually go out. During the  
8 | next couple of months, we're going to be going out to  
9 | implement the office implementation strategy.

10 |           DR. WOODCOCK: Yes, that's what I gathered.

11 |           Do you visit prescribers in small towns?

12 |           MS. LEACH: Yes. In fact, the representatives  
13 | cover about 90 percent of the prescribing dermatologists.

14 |           DR. WOODCOCK: So, Roche would have physical  
15 | contact basically with prescribers.

16 |           MS. LEACH: Yes.

17 |           DR. WOODCOCK: And then you said you intended  
18 | to have the same interaction with non-dermatologist  
19 | prescribers. Would that also include physically visiting  
20 | the offices?

21 |           MS. LEACH: We're still trying to work out how  
22 | that would be accomplished because some of them are in very  
23 | remote areas, and we're hoping to do either video  
24 | conferencing or teleconferencing.

25 |           DR. WOODCOCK: Thank you.

1 DR. BERGFELD: Dr. King?

2 DR. KING: My question is really more the  
3 extent of data. Since the thalidomide problem was  
4 identified in Europe, I wonder if there are data from the  
5 European and other countries where Accutane is prescribed.  
6 If they don't have this same kind of pregnancy prevention,  
7 one would like to know what happens in those places. There  
8 must be lots of that prescribed, and if you're worried  
9 about offshore prescribing and other alternative sources,  
10 it seems to me that may be part of the problem.

11 DR. ELLISON: I think there are two parts to  
12 the question. The first is what's the experience in  
13 pregnancy rates and pregnancy reports in Europe. The  
14 problem is it's very noncomparable. All we have is  
15 spontaneous reports, number one, from which it's very  
16 difficult to establish a rate because of the total lack of  
17 knowledge of under-reporting.

18 The second issue is we do not have very good  
19 estimates of use. We don't have the prescription  
20 monitoring services in Europe that we have in the United  
21 States with the exception of IMS, which covers a very  
22 narrow panel of dermatologists there. Most of the use data  
23 we have to get from factory shipments. So, we end up with  
24 a spontaneous report number over factory shipments. So,  
25 really it's very difficult, and it always has been for us,

1 | to calculate a rate for Europe. It would have been  
2 | theoretically good to know something like that because, as  
3 | you say, you could compare, but unfortunately, it's been  
4 | absolutely impossible. All we have is spontaneous reports.

5 |           The reporting system there is very different as  
6 | well. The MedWatch program in the United States has made  
7 | an enormous difference in the quality and quantity of  
8 | reports that we get here. So, I think we have someone here  
9 | who could probably speak further to it, but there's very  
10 | little that we -- he has had to leave.

11 |           DR. BERGFELD: Do you need further  
12 | clarification?

13 |           DR. KING: Actually I'd like clarification of  
14 | the reverse question to that. If there is a group like  
15 | OTIS keeping up with a benchmark of how many teratogenic  
16 | effects, has that been increased? You can't bring Accutane  
17 | with all the complications, but it seems like there would  
18 | be a registry for the number of children who are having  
19 | increased malformations. Maybe they're not as dramatic as  
20 | thalidomide, but it seems to me that there would be some  
21 | data on a baby with no ears and so forth and so on. You're  
22 | saying that's not possible?

23 |           DR. ELLISON: You mean in Europe?

24 |           DR. KING: Right.

25 |           DR. ELLISON: From the pregnancy registries in



1 | Europe, we haven't really seen much data that would tell us  
2 | much about retinoid malformations, unfortunately.

3 | DR. BERGFELD: Dr. Mills?

4 | DR. MILLS: This is a comment primarily for the  
5 | Roche people. Despite the considerable efforts on the part  
6 | of the Slone Epidemiology Unit and Roche personnel, as an  
7 | epidemiologist, I'm not confident that they can accurately  
8 | estimate pregnancy rates, nor that they have the data to  
9 | determine if the rates are, in fact, falling.

10 | The data that were presented to show the rates  
11 | are from two sources, the first being spontaneous pregnancy  
12 | reports, and the FDA staff noted that these tend to be very  
13 | poor because of incompleteness of reporting. In fact, if  
14 | you look at the slide Dr. LaFlore presented, his page 9,  
15 | the rates of spontaneous pregnancy reports on his slide are  
16 | 0 per 1,000 patients all the way through from 1991 to 1998,  
17 | which gives you an idea of the tremendous under-reporting  
18 | problem and the difficulty in determining that rates are  
19 | dropping from that type of information.

20 | The second body of data that they used for  
21 | these conclusions were, of course, the Slone Epidemiology  
22 | Unit study. I'd point out again that by the Slone  
23 | estimate, 55 percent of the women at risk did not provide  
24 | information for that study. By the FDA estimate, 60 to 70  
25 | percent of the women at risk did not provide information.

1 | So, we don't know if those women were getting pregnant or  
2 | not.

3 |                 Now, Dr. Mitchell, as a good epidemiologist,  
4 | recognizes that it's very difficult to estimate a pregnancy  
5 | rate in a group or a change in pregnancy rate in a group  
6 | where you don't have information in 50 to 70 percent of the  
7 | people in that group. He has tried hard to determine  
8 | whether those 50 or 70 percent of non-participants are  
9 | similar to the people who did participate. However, he did  
10 | not have the necessary authority to get the information by  
11 | which I mean that he would need to contact a random sample  
12 | of the women who elected not to participate in order to  
13 | really know if that group is similar to the group that did  
14 | participate.

15 |                 A lot of epidemiology is sort of common sense.  
16 | If those of you who are M.D.s in practice think about this,  
17 | imagine that you have two women in your practice, one who  
18 | was a typical noncompliant patient who's likely to miss  
19 | doses of her oral contraceptive or to have unprotected  
20 | intercourse and is, of course, in this instance the most  
21 | likely to get pregnant. The other patient is the woman  
22 | who's extremely compliant and very reliable and very  
23 | unlikely to get pregnant. Ask yourself the question, which  
24 | of those two women is more likely to volunteer for a survey  
25 | for questionnaire and follow-up? I would suggest that it

1 | may well be the compliant women which would lead to a gross  
2 | under-estimate in the percentage of women getting pregnant.

3 |           So, in conclusion, to get accurate information  
4 | on pregnancy rates and to determine if, in fact, the  
5 | prevention strategies are working, it's necessary to have  
6 | the entire population in hand so that you can see how many  
7 | women really are getting pregnant.

8 |           The only alternative that would be a second-  
9 | best choice would be if someone had the authority to  
10 | require a sample of non-participants that would be random  
11 | and representative. You might be able to get the data like  
12 | that. But obviously, that's extremely difficult for legal  
13 | and technical reasons. So, if you want to answer the  
14 | question of are there pregnancies and are you preventing  
15 | pregnancies with your programs, you have to have the women  
16 | and be able to study them.

17 |           DR. BERGFELD: Thank you.

18 |           Dr. Woodcock?

19 |           DR. WOODCOCK: I just wanted to make a  
20 | clarification. It's my understanding that the European  
21 | system of distribution and actually the utilization in  
22 | Europe is quite different than in the United States in  
23 | general. Is that correct?

24 |           DR. ELLISON: Sorry. In the sense of?

25 |           DR. WOODCOCK: Of course, Europe has a very

1 | different health care system than we do from country to  
2 | country, and who is authorized to prescribe drugs may vary.  
3 | My understanding is that is the case with this drug.

4 | DR. ELLISON: Yes. The one example that I know  
5 | of is the limitation to dermatology practitioners in the  
6 | United Kingdom. I'm not quite clear at the moment of what  
7 | the status is in France, and elsewhere it does vary.

8 | DR. WOODCOCK: So, my point was simply  
9 | clarification, that any information from Europe may not be  
10 | directly applicable to the U.S. situation because the  
11 | Europeans have various restrictions or whatever on the  
12 | distribution of this drug.

13 | DR. BERGFELD: Thank you.

14 | Dr. Wilkin?

15 | DR. WILKIN: Yes, that was my point as well.  
16 | While I don't really have up-to-date information on  
17 | distribution, I have a Lancet report of 1988 which says  
18 | that in Australia, Finland, and Israel, only dermatologists  
19 | prescribe Accutane or isotretinoin; that in England, Wales,  
20 | Czechoslovakia and New Zealand, it only comes from  
21 | hospitals; that in Norway, it requires authorization from a  
22 | health department; and in Italy, it's males only. So, the  
23 | point is well taken, that it's apples and oranges.

24 | DR. BERGFELD: Dr. Branch, then Dr. Rosenberg.

25 | DR. BRANCH: I'd like to go back to the issue

1 of differential risk. Are there at-risk groups of  
2 individuals? I was a little confused trying to link pieces  
3 of the information that came through in the presentation of  
4 the Slone Epidemiology data. If I recollect rightly, there  
5 was 25 percent of the children who were born following  
6 exposure had not had any form of contraception, and yet  
7 there's a slide that came much earlier that suggested that  
8 about 1 percent, if I recollect, of women who actually  
9 said, no, they were in the reproductive age and they had a  
10 very small incidence.

11 So, is that a target group that can be more  
12 easily identified? If you have a target group, is it  
13 appropriate to develop a special strategy for them if they  
14 can be identified up front? I'd appreciate some  
15 clarification.

16 DR. MITCHELL: I think Dr. Vega phrased it very  
17 aptly when she said abstinence can change overnight. What  
18 we identified in our data was that there was only a  
19 fraction of women who reported that they were sexually  
20 active and not using contraception. That was the 1 percent  
21 figure.

22 What we find is that among the women who become  
23 pregnant, not surprisingly, there was one or more  
24 opportunity where there was sexual activity without  
25 contraception. As I understand the objectives of Roche,

1 | one of the objectives is to increase the communication  
2 | between the doctor and patient to identify those patients  
3 | but also to identify to those patients the nature of the  
4 | risk. And that's the whole point of the contraceptive  
5 | counseling, that there's a lot of sort of bravado among  
6 | non-contraceptors who are sexually active or women who are  
7 | not sexually active and not contracepting. I think one of  
8 | the targets is, indeed, just that.

9 | DR. BERGFELD: Thank you.

10 | Dr. Rosenberg?

11 | DR. ROSENBERG: I had two questions. One was  
12 | Roche also provided a drug called Soriatane, a highly  
13 | potent retinoid used in the treatment of psoriasis. I  
14 | wonder, are there any problems with Soriatane? Do you do  
15 | anything special about Soriatane? And if there are no  
16 | problems, why not?

17 | MS. LEACH: Soriatane is indicated for severe  
18 | psoriasis. The patients have a pregnancy prevention  
19 | program, as we do for Accutane, and the age of the women  
20 | who are taking it are a little bit older. In fact, the  
21 | majority of them are not in their reproductive years, and  
22 | with the same program, we've had no pregnancies or reports  
23 | of pregnancies.

24 | DR. ROSENBERG: The other question was before  
25 | lunch I had touched on the issue of abortion. To back up

1 | and as was just mentioned, does the counseling program now  
2 | in place discuss morning-after pills?

3 |           MS. LEACH: The current label calls for a  
4 | discussion with your provider if you should become  
5 | pregnant. The new program puts us in a position of giving  
6 | out emergency contraceptive information. It teaches  
7 | physicians about it and the fact that it is not an  
8 | abortifacient and it also gives information to patients as  
9 | to what to do when they think or suspect that they might be  
10 | pregnant.

11 |           DR. ROSENBERG: So, it specifically mentions  
12 | the post-intercourse --

13 |           MS. LEACH: There's a section on emergency  
14 | contraception.

15 |           DR. BERGFELD: Any other questions?

16 |           Dr. Epps and then Dr. Greene.

17 |           DR. EPPS: Actually I just had a brief comment.  
18 | I was just curious. How long does it take to complete --  
19 | or have you timed -- the new packet? I know the video is  
20 | about 4 minutes, but going through all those materials, how  
21 | long does it take?

22 |           MS. LEACH: We've done some pilot testing and  
23 | it actually takes a little bit shorter amount of time  
24 | because of the organization that's been brought to it with  
25 | the numbering system. The reps will be meeting with

1 | prescribing dermatologists to help them to implement it.  
2 | The dermatology nurses, as you heard very movingly from  
3 | Nancy Vargo, are really very enthused to get into this and  
4 | to help support the prescribers, and it actually took a  
5 | little less time.

6 | DR. EPPS: How long?

7 | MS. LEACH: On average, the old was about 20  
8 | minutes and this comes to 15 minutes. It's not an enormous  
9 | amount of cut of time, but I don't think time is the issue  
10 | here. What is the issue is, do the patients really  
11 | understand what you're saying and can they feed it back to  
12 | you?

13 | DR. EPPS: Yes, I agree with that statement  
14 | too. However, there are some practitioners in the real  
15 | world -- and I understand the importance of making sure  
16 | that everyone understands what you're giving and what the  
17 | patient is taking. But if you have an HMO that says you  
18 | must see a patient every 7 minutes, every 10 minutes, and  
19 | then you have an Accutane consultation, even whether it's  
20 | spread out for a couple of sessions, that can be an issue  
21 | and that may be a compliance issue as far as some  
22 | physicians are concerned.

23 | MS. LEACH: I couldn't agree with you more. As  
24 | a practitioner myself and as a person who has actually sat  
25 | down with a patient and gone through the pregnancy



1 prevention program, I know that this is time consuming.  
2 But I think that every one of us who has ever participated  
3 in the prescribing of Accutane understands that this is a  
4 very worthwhile thing. With the nurses and their  
5 enthusiasm to get into it, it serves as another person to  
6 back up the prescriber.

7 DR. EPPS: The second is just a comment  
8 regarding the pharmacies. Lately there has been a trend  
9 where either you do not speak to a human being, you leave a  
10 message on voice mail for a prescription, or especially in  
11 this area where there are mail order pharmacies in New  
12 Jersey and Florida especially in this area, but also in  
13 other parts of the country. That can be an issue.  
14 Certainly it's up to the practitioner to set forth their  
15 limits, but oftentimes patients will request medications  
16 three months at a time or refills. Certainly it's up to  
17 the practitioner. But there are some pharmaceutical issues  
18 which are affected by the suggestions that have been made.

19 DR. BERGFELD: I think that's a statement  
20 rather than a comment, Dr. Epps?

21 DR. EPPS: Yes.

22 DR. BERGFELD: We have three other people who  
23 have asked to speak and perhaps more. Drs. Greene, Moore,  
24 and Branch. We'll take them in that order.

25 DR. GREENE: I have a couple. As the

1 | obstetrician in the crowd, I feel obligated to point out  
2 | that, Ms. Leach, on the slide on page 7 there, there are  
3 | about 4 million births in the United States per year, and  
4 | your Venn diagram indicated only 3.6 million pregnancies.  
5 | So, I'm not sure where your numbers --

6 |           MS. LEACH: Can I admit that I made a typo?  
7 | It's supposed to be 6.6.

8 |           DR. GREENE: Okay, thank you.

9 |           MS. LEACH: Dr. Westhoff pointed that out to me  
10 | this morning.

11 |           DR. GREENE: If you could just stay at the  
12 | podium for a minute, I have another couple questions about  
13 | your presentation.

14 |           On your slide at the top of page 9, you  
15 | mentioned that 91 percent of surveyed women believe they  
16 | know about contraception, and yet 37 percent choose the  
17 | least effective method of birth control. Do we have any  
18 | insight into why this happens, why these women choose less  
19 | efficacious methods of contraception?

20 |           MS. LEACH: Could I be permitted to introduce  
21 | Carolyn Westhoff who is an obstetrician/gynecologist and  
22 | has more insight into that?

23 |           DR. BERGFELD: Yes, to address this.

24 |           DR. WESTHOFF: Thanks, Eileen. Eileen called  
25 | on me a while ago as a content expert in contraception, and

1 I do a lot of contraceptive stuff.

2 I think over the last couple of decades, women  
3 choose less effective contraception because they don't  
4 understand the risks very well, and particularly because  
5 women in the United States have become very fearful of the  
6 more effective methods because there's an exaggerated  
7 notion of the side effects.

8 I want to just plunge in and say that I think  
9 the enhanced program will have a number of things going for  
10 it from changes in the environment over the last decade,  
11 and one is that we have new safety data and people are  
12 getting more realistic about the highly effective methods.  
13 We have new highly effective methods available. So, it's a  
14 matter of educating people about that and emergency  
15 contraception is available.

16 Something that was not available to the old  
17 education program were the urine pregnancy tests that are  
18 highly sensitive that can be done immediately in the  
19 doctor's office or by the patient at home. Those were not  
20 available when this program was introduced a decade ago.

21 Finally, one sort of outside bonus for the new  
22 program is the reputation of oral contraceptives has gone  
23 way up since it received the acne indication. That's  
24 something that's probably going to be very synergistic for  
25 this setting because you actually saw the women enrolling

1 | in the survey are much more likely to be using the pill  
2 | over the last couple of years. So, I think there are a lot  
3 | of changes in our contraception environment in the last  
4 | decade that will work in favor of this enhanced program,  
5 | and that's an important point for the committee.

6 | Thank you.

7 | DR. GREENE: Two more.

8 | DR. BERGFELD: Go ahead.

9 | Thank you.

10 | DR. GREENE: On your slide on page 21, you  
11 | mention a dermatology resident program. I assume that's an  
12 | education for residents in dermatology. Could you describe  
13 | a little bit about the content of that?

14 | MS. LEACH: That's correct. Every July, the  
15 | Roche representatives go to the new residents who have come  
16 | into the program and present the pregnancy prevention  
17 | program. We actually use a video to show a scenario of an  
18 | exchange. Recently I've been going to the residency  
19 | programs to bring them up to date. I've been to the  
20 | University of Colorado in May and Wisconsin in June, and  
21 | I'll be doing the Columbia Physicians and Surgeons in  
22 | October. But we intend to be able to go to every  
23 | dermatology residency program to make sure that the new  
24 | dermatologists are also as experienced as the old when they  
25 | go into practice.

1 DR. GREENE: And one last question, please. I  
2 was surprised in June when the 4-minute videotape showed up  
3 at my office. I've never prescribed Accutane. Could you  
4 tell me who you sent that video to?

5 MS. LEACH: We sent that video to all  
6 dermatology prescribers, but when we had sent the Dear  
7 Doctor letter, we had also informed obstetricians and  
8 gynecologists about the changes in the label. To be  
9 truthful, the two lists were used, so every dermatologist  
10 got it and every obstetrician/gynecologist got it and every  
11 pediatrician. So, it's had a wide circulation, a little  
12 wider than we had anticipated but it's non-branded, so it  
13 was okay.

14 DR. BERGFELD: Thank you.

15 Dr. Moore?

16 DR. MOORE: I have just a comment and then a  
17 question for Dr. Mitchell. This is concerning the  
18 responsible prescription of this drug. I guess when I look  
19 at what I consider sort of skyrocketing prescriptions of  
20 the drug, I'm not so convinced that it's all for severe  
21 acne, especially when you look at the recent dermatology  
22 literature when there are quotes like not only to patients  
23 with severe disease, but also to patients with less severe  
24 acne when they're describing prescribing practices and  
25 prescribing it sooner rather than later.

1 I was just wondering in the program in the  
2 survey, Dr. Mitchell, is there any way that you can get at  
3 the indication for the drug use, and if there's not now,  
4 are there any plans to do that in the future or in any  
5 feedback given to physicians who may not be using this  
6 responsibly?

7 DR. MITCHELL: It's a great question, Dr.  
8 Moore, and one of the major benefits of having our own  
9 advisory committee was to be able to bounce off the  
10 committee suggestions like that, which was in fact one of  
11 the early suggestions in the survey. Despite some counsel  
12 from people who knew better on our committee that we would  
13 not get useful information by asking patients to describe  
14 the severity of their acne, we tried, and it was a dismal  
15 failure and we abandoned it because, as has been described  
16 and as people like Rob Stern who have done so much of this  
17 research will point out, patient-described acne is very,  
18 very non-specific. So, I'm afraid that we're not going to  
19 be any help there. I don't think the survey offers that  
20 opportunity.

21 DR. BERGFELD: Anything else?

22 DR. MOORE: I'm just wondering how about from  
23 the sponsor? Is there any way to get this information?

24 DR. ELLISON: I think it would be very useful  
25 in our discussions about how much Accutane prescriptions

1 are outside the indication to get a precise idea of this,  
2 to have some direct assessment. I think the indirect ways  
3 we have remain indirect and they're only samples.

4 So, the opportunities we have have to be  
5 limited to find a way to directly observe or to have a very  
6 structured discussion with a physician about what they  
7 have. The only other way is to survey acne patients as  
8 they're being treated because once you've had Accutane,  
9 then you will no longer have severe recalcitrant acne. And  
10 it's been something that we've been struggling with over a  
11 while.

12 One of the things, in terms of intervention  
13 that we can do, not with I think treating less than severe  
14 acne, but in treating in pregnancy prevention is in the  
15 10,000 calls that we get per year about Accutane, it's an  
16 opportunity to discuss with patients and their providers if  
17 they are, indeed, compliant with the PPP program, and if  
18 they are not, to take that opportunity to remind them, but  
19 also to add that practitioner to the representative  
20 education one-on-one, implement the office system if that  
21 hasn't happened.

22 We are looking at ways of trying to use that  
23 also, at least with practitioners, to discuss their  
24 practice patterns with severe recalcitrant nodule cystic  
25 acne to see if we can get a better handle on it.

1 DR. BERGFELD: Dr. Branch is next and then Dr.  
2 Jones.

3 DR. BRANCH: As a comment to the last question,  
4 wouldn't it be reasonable if you could really reduce the  
5 risk of pregnancy in these people, you might substantially  
6 improve the therapeutic window, and in fact you could  
7 extend the range of value of this drug to a far larger  
8 group of patients and thereby not only enlarge your market  
9 value but actually help a lot more people, but it's on the  
10 proviso that it's done in a safe factor? That's more in  
11 the line of a comment.

12 DR. ELLISON: Our view on this is we really  
13 think that the role of Accutane is in nodular acne, or if  
14 you put it in a larger context, and the reason is because  
15 that's what scars, that's what causes the permanent  
16 sequelae and disfigurement. There are alternatives that  
17 don't have this risk. Even if a program could guarantee no  
18 risk, certainly a drug that causes no risk would be better.  
19 So, it's really our interest, and indeed, we do try to  
20 limit it to those patients who really do have no  
21 alternative in the sense of making this kind of difference.

22 DR. BRANCH: If that's true, we've had an  
23 advertisement that was circulated just a few minutes ago,  
24 if you look at the picture of the boy on that advert, would  
25 you classify that as severe nodular acne?



1 DR. ELLISON: No, we wouldn't, and in fact, we  
2 deliberately did not do the disease ads, if you will, using  
3 severe nodular acne precisely because we didn't want to  
4 connect this, if you will, with Accutane.

5 DR. BRANCH: My question actually, before I got  
6 into that sideline, was more related to an aspect of trying  
7 to develop a process of helping educate patients. There  
8 was a slight discrepancy between what was said by Nancy  
9 Vargo and what was provided in the written material. But  
10 my attention was brought back to it by the comments just a  
11 moment ago about the enthusiasm the nurses have for being  
12 able to promote an anti-pregnancy problem. What is  
13 actually written in her statement here is, "but they are  
14 clearly not aware that there's a pregnancy problem with  
15 Accutane." There appears to be a bit of a discrepancy  
16 here.

17 There's a whole process that takes place in  
18 terms of informing patients, and it seems to me that nurses  
19 and pharmacists have been left out of this plan that you've  
20 proposed. It seems that all the support structures in the  
21 health care system could really be used with benefit to try  
22 and promote it.

23 DR. BERGFELD: Would you like to respond, Ms.  
24 Vargo?

25 MS. VARGO: I would like to clarify that

1 | statement about clearly nurses do not know that there's a  
2 | pregnancy problem with Accutane. We clearly do know what  
3 | the results of Accutane are on a pregnancy, and that really  
4 | was more reflective of the survey that I sent out. How I  
5 | meant to word that was that our nurses seem to be very,  
6 | very proud of what they are doing and they seemed to be  
7 | unaware that the issue of pregnancy is still occurring as  
8 | an issue. In other words, we know the harm that Accutane  
9 | can have on a pregnancy, but the issue was that they didn't  
10 | feel that there was a problem. They all felt that their  
11 | role and their dermatologists were doing a really dandy  
12 | job. To tell you the truth, the system is highly  
13 | effective.

14 | DR. BERGFELD: Thank you.

15 | Dr. Jones?

16 | DR. JONES: Yes. I want to say that I'm really  
17 | very impressed with the collaboration that clearly is going  
18 | on by the dermatologists in this country and Hoffmann-  
19 | LaRoche as far as the educational programs, et cetera.

20 | But one of the things that I think really is  
21 | incredibly important in terms of the deliberation of this  
22 | committee relative to mandatory registration relates to the  
23 | issue of all the other people that prescribe Accutane.  
24 | What I mean by that is all the other physicians that  
25 | prescribe Accutane. I am really unclear. I hear time and

1 | time again, as you folks have been discussing this today,  
2 | about all the wonderful things that you are doing with  
3 | dermatologists, and it sounds great. It really does. But  
4 | I am very concerned about the other physicians who clearly  
5 | are prescribing Accutane who it seems to me are very, very  
6 | clearly slipping between the bars here. Could you comment  
7 | on how you plan to deal with this issue?

8 | DR. BERGFELD: Could you briefly comment on it,  
9 | Dr. Ellison?

10 | DR. ELLISON: Yes. First of all, 85 percent of  
11 | patients are coming from dermatologists, 15 percent of  
12 | patients from non-dermatologists. Of the non-  
13 | dermatologists, there is a spread of folks that probably  
14 | prescribe about once a year, and we think it may be in  
15 | response to a dermatologist's initial prescription. And  
16 | then there is a more limited number who, indeed, have a  
17 | higher prescription rate, and we've identified about 400 so  
18 | far, and they're being added to our personal representative  
19 | call and introduction to the office system and invitation  
20 | to be registered with respect to having had their CME.

21 | The others, all of them in the entire family  
22 | practice, are going to get the non-personal communication,  
23 | and we are over the next six months going to look at those  
24 | 10,000 calls we get a year -- and 4,000 in the last four  
25 | months or so -- to see if we can identify precisely those

1 practitioners who have not -- well, even the ones who have,  
2 but certainly ones who have not so that we can add them to  
3 that list. That is really what we're trying to do.

4 DR. BERGFELD: Thank you very much.

5 We have two more questions and then we're going  
6 to close down this clarification question time. Dr.  
7 Anderson, first.

8 DR. JENNIFER ANDERSON: Yes. I have a question  
9 about the new PPP. I assume at this point it hasn't  
10 actually started. Step 6 for the survey enrollment form  
11 seems to preclude patients enrolling in the survey by  
12 enrolling from the package. There's no longer going to be  
13 a form in the package of the pills so that they can enroll  
14 on their own?

15 MS. LEACH: No. There will continue to be a  
16 form in the package.

17 DR. JENNIFER ANDERSON: Because the way it's  
18 worded, you do not agree right at the moment and so that's  
19 the end of it.

20 MS. LEACH: As you see, that's a draft and has  
21 not been reviewed by Dr. Mitchell yet. So, that's why we  
22 haven't addressed that situation yet.

23 DR. BERGFELD: Thank you.

24 Dr. Greenhill?

25 DR. GREENHILL: Dr. Greenhill from Columbia

1 University.

2 Just two questions of information that would  
3 help me think about this. One is, is there a marketing  
4 plan for the sales representatives to visit the offices of  
5 a wide variety of different practitioners, primary  
6 practitioners, family practitioners, as well as  
7 dermatologists with Accutane, and are samples of Accutane  
8 permissible? I know they're not allowed with cII's, but I  
9 wondered if samples are distributed during those visits and  
10 what kind of information they include in terms of pregnancy  
11 prevention.

12 DR. ELLISON: In answer to your first question,  
13 which is similar to Dr. Jones' excellent question, we  
14 intend to start with where most of the prescriptions are  
15 coming from, and that would include also higher prescribers  
16 who are non-dermatologists and then work our way down.  
17 That's the first point. But certainly our metrics that  
18 we've given you is those high-prescribing non-  
19 dermatologists and the dermatology community.

20 The second point is we've never sampled  
21 Accutane and never will.

22 DR. BERGFELD: One more.

23 DR. ROSENBERG: A brief one. A question to Dr.  
24 Ellison. You've indicated that for reasons that you told  
25 us, that you think a formal registration would be less

1 | desirable then an educational program such as you're  
2 | starting. What's your feeling about an FDA patient  
3 | information sheet, such as we've heard about?

4 | DR. ELLISON: I think that's a very good  
5 | question. We think this has been basically a very good  
6 | innovation. We certainly think that the idea of  
7 | objectivity is important. The idea that this comes from  
8 | the government is important so that it's perhaps believed  
9 | more and paid attention to more.

10 | I think the key issue is going to be actionable  
11 | content, to put things in there that are really important  
12 | that the patient does and that really alerts them to the  
13 | things that are most important to understand about this  
14 | drug. So, I think if a medication guide would help in the  
15 | sense of preventing pregnancy, then we would be very happy  
16 | to talk about that with FDA and see them implement that.

17 | DR. BERGFELD: I'm not going to take any more  
18 | questions, and I'm going to adjust the agenda just  
19 | slightly. We'll go forward with Dr. Vega's presentation.  
20 | Much of the discussion or hopefully much of the discussion  
21 | that we would have had planned afterwards somewhat must  
22 | have been met during this discussion period. Then we'll  
23 | move on to the questions. But we will have a break after  
24 | Dr. Vega's presentation for 15 minutes.

25 | Dr. Vega presenting on potential design

1 elements.

2 DR. VEGA: Before we go on to the different  
3 alternative designs, I would like to remind everybody why  
4 are we here, specifically why are we looking at this issue  
5 now.

6 We already saw the data we presented from the  
7 Slone this morning, and I believe that the rates are not  
8 the issue specifically that we want to address. We can see  
9 from that slide that although the rates over time are going  
10 down, the number of pregnancies are increasing.

11 This is the data that we are seeing here at the  
12 FDA. So, we can see that our concern is that we are still  
13 seeing pregnancies in spite of the rates going down.

14 What do we do? We ask this question when we  
15 are still receiving these reports of pregnancy exposures.  
16 As you will see now, the label is already really crowded.  
17 The box warning is two-and-a-half pages long with multiple  
18 bolded areas. This is just to illustrate what the Accutane  
19 label looks like. What else can we do to that label? It's  
20 hard to appreciate the bolded areas, but it's already two-  
21 and-a-half pages long.

22 We feel that other methods to communicate the  
23 risk are required. Other processes need to be implemented  
24 to communicate this risk and to manage this risk. We have  
25 learned that incremental changes in labeling and

1 communication have been relatively ineffective in the past.

2           Why do we need to revise the existing pregnancy  
3 prevention program for Accutane? We have already seen that  
4 the use of Accutane among women of childbearing potential  
5 is escalating. In spite of all the sponsor's and the  
6 agency's efforts to communicate Accutane's teratogenic  
7 potential to patients and their physicians, there is still  
8 limited compliance, as I mentioned this morning, with  
9 pregnancy testing before exposure, pregnancy testing during  
10 exposure, and the appropriate use of contraceptive methods.

11           We have also seen that the measures of  
12 pregnancy exposure and outcomes obtained from various  
13 sources are still very vague and that an increasing number  
14 of women exposed to Accutane will consequently increase the  
15 absolute number of pregnancy exposures. So, that is what  
16 we are looking at, the absolute numbers, and that's why we  
17 are here today.

18           The first step in engineering a risk management  
19 program for Accutane is to establish a set of goals. We  
20 have identified the following goals. Number one, no one  
21 starts Accutane if pregnant, that pregnancy must be avoided  
22 during Accutane therapy, and that an effective monitoring  
23 system must be in place to assure that these goals are met.

24           We will be discussing five different  
25 alternative program designs to help us achieve these goals.



1 Each one of these designs will be judged by their potential  
2 to achieve these various goals.

3 A well-designed pregnancy prevention program  
4 should at least take into consideration the following  
5 elements: education and informed consent, participation  
6 and tracking of pregnancies, compliance with pregnancy  
7 prevention practices, and the potential benefits of  
8 restricting drug distribution. These are the building  
9 blocks that we will be employing to construct various  
10 designs of a pregnancy prevention program for your  
11 consideration.

12 The first element to consider is education and  
13 informed consent. All participants in the pregnancy  
14 prevention program must be adequately informed about the  
15 risks associated with intrauterine exposure to this  
16 teratogen. It should include patients, guardians,  
17 physicians, pharmacists, and other health care  
18 professionals. The informed consent emphasizes the  
19 importance of compliance with program requirements. This  
20 education may be accomplished, as you have heard today,  
21 using multiple instruments or tools such as labeling -- and  
22 we think that in this case the label is already a method  
23 that we have used and it's not working -- printed  
24 materials, videos, physician counseling, and continuing  
25 medical education courses.

1           The next element to consider is participation.  
2           The completeness of participation will definitely have an  
3           impact on the program's performance. Complete  
4           participation in a pregnancy prevention program is  
5           essential because all patients should benefit from the  
6           protection provided by a comprehensive PPP. Registration  
7           of program participants will provide a denominator and even  
8           more important than that is the fact that it serves as a  
9           platform for other interventions directed to assure  
10          compliance with pregnancy prevention practices.

11          A third element considered in this design is  
12          the tracking of pregnancy exposure and fetal outcomes,  
13          including pregnancy registry, patient surveys, and other  
14          sources of information of pregnancy exposures independent  
15          from the program itself.

16          The most important measurement of a success of  
17          the pregnancy prevention program is the number of exposed  
18          pregnancies among program participants. Effective tracking  
19          is required to obtain accurate numbers of pregnancy  
20          exposures. The tracking of pregnancy exposures and  
21          outcomes may be accomplished by the maintenance of a  
22          pregnancy registry, meaning a pregnancy exposure and  
23          outcomes tracking system to document and follow pregnancy  
24          exposures and record pregnancy and fetal outcomes.

25          It may also be accomplished by surveying

1 pregnancy prevention participants such as it occurs in the  
2 Slone Survey.

3           And it may also be accomplished by the  
4 acquisition of data from external sources. These data  
5 sources represent a supplemental source of pregnancy  
6 exposures and outcomes independent from the pregnancy  
7 prevention mechanism. An example of this is the data that  
8 can be acquired through the Organization of Teratogen  
9 Information Services, which is a toll-free service  
10 organization, as you heard early on today.

11           The fourth element to be considered is  
12 compliance. You may recall from this morning those  
13 worrisome numbers on compliance with core pregnancy  
14 prevention program components reported from the Slone  
15 study. You may also remember the amazing regulatory  
16 history of Accutane, the countless Dear Doctor letters,  
17 label changes, patient brochures and intensive educational  
18 efforts by the sponsor. Noncompliance is still documented  
19 in spite of all the company efforts to communicate the risk  
20 of teratogenicity through education alone. They have tried  
21 and we have tried very hard for 18 years, but without  
22 success. In this case, education alone has not done the  
23 job.

24           Optimal compliance with pregnancy prevention  
25 practices is essential to minimize the risk of pregnancy

1 exposure to Accutane. Incentives for compliance need to be  
2 engineered into the program to ensure that FDA public  
3 health goals are met. A way to assure compliance is by  
4 creating a linkage between a negative pregnancy test and  
5 other core pregnancy prevention program elements, such as  
6 adequate use of contraception and the dispensing of the  
7 Accutane.

8 An example of such linkage is the case in which  
9 the physician documents a negative test, the pharmacist  
10 verifies that a negative pregnancy test has been  
11 documented, and then the drug is dispensed. And several  
12 examples of that have been presented already today.

13 Finally, we must take into consideration the  
14 potential benefit derived from restricted drug distribution  
15 to pharmacies. A restricted distribution to pharmacies  
16 provides an additional safeguard against inappropriate use  
17 and dispensing. It imposes restrictions on pharmacies to  
18 ensure compliance with dispensing constraints. Pharmacies  
19 would have to be registered and comply with dispensing  
20 requirements to be authorized to carry and dispense  
21 Accutane.

22 This was just a discussion of the elements that  
23 we will be using in the different designs. We have already  
24 discussed the goals. We have already discussed the  
25 elements. Now, let's discuss the various designs of a

1 pregnancy prevention program using these building blocks,  
2 always keeping in mind that the fundamental objective of  
3 this process is to design a program that will meet our  
4 public health objectives.

5 The first design includes two of the five  
6 elements that we just mentioned. These are education and  
7 informed consent and some of the elements necessary for  
8 tracking pregnancy exposure. This design is our  
9 representation of the sponsor's proposal.

10 Design number 1 includes education and informed  
11 consent. I must say that the sponsor has done a terrific  
12 job enhancing the educational component of the Accutane  
13 pregnancy prevention program. These enhancements included  
14 major improvements to the PPP kit, labeling changes. It  
15 also includes a proposal to improve tracking through the  
16 Slone. The sponsor will continue tracking pregnancy  
17 exposures and will enhance data collection instruments  
18 employed to obtain the data from patients who elect to  
19 contact Roche directly to report a pregnancy exposure.

20 To attempt to improve compliance with pregnancy  
21 testing, as you have heard, the sponsor has offered -- and  
22 is already doing it -- to supply urine pregnancy tests to  
23 all patients during all treatment months.

24 The advantages of this design are that it  
25 intensifies the efforts to educate. It stresses the

1 importance of pregnancy testing and adequate contraception.  
2 Patient urine pregnancy test kits may increase the  
3 frequency of testing before and during therapy and may  
4 result in earlier identification of pregnancies, reducing  
5 this way the length of in utero exposure to Accutane.

6           However, design number 1 meets only part of our  
7 monitoring goal by providing some data on pregnancy  
8 exposure and outcomes via surveys and the pregnancy  
9 exposure tracking system.

10           Participation in the program by patients and  
11 physicians is still voluntary. Compliance with program  
12 components is voluntary and incompletely measured, and  
13 measurement of pregnancy exposures and outcomes is still  
14 limited.

15           There's no documentation of program compliance  
16 such as negative pregnancy tests prior to Accutane  
17 dispensing. Non-participants can still prescribe without  
18 constraints. It does not address the other elements of  
19 compliance such as the adequate use of contraception.

20           Design number 2. We have now added patient  
21 registration and tracking of pregnancy exposures and  
22 outcomes via external, independent data sources. I just  
23 want to highlight that there are other effective programs  
24 in which the testing is linked to the dispensing and  
25 clozapine is an example.

1           In this case, all patients are required to  
2 registered at a data center. The tracking of pregnancy  
3 exposures will still involve the Slone Survey and the  
4 sponsor's records of pregnancy exposures. In addition, the  
5 tracking of pregnancy exposures will be enhanced by the  
6 addition of independent data sources.

7           The design number 2 meets part of the  
8 monitoring goal by requiring universal patient  
9 registration. This design provides us with a denominator.  
10 No more assumptions are needed, no more estimates. The  
11 tracking of the pregnancy exposures and outcomes has been  
12 enhanced by the addition to the Slone Survey and to  
13 spontaneous case reports other external data sources which  
14 could help us identify further cases of pregnancy exposure  
15 not detected by the pregnancy prevention program in place.

16           The advantages of this design are that the risk  
17 management goals are not met because pregnant women could  
18 still be started on Accutane. Women could become pregnant  
19 during treatment with Accutane. The monitoring is still  
20 incomplete. It involves the creation of a data center to  
21 handle patient registration, and besides, it puts a burden  
22 on female patients who will need to be registered.

23           Design 3. To optimize compliance with  
24 pregnancy testing, this design includes the additional  
25 feature of a link between documentation of a negative

1 pregnancy test in the database and the prescription  
2 dispensed. It retains all the elements from the previous  
3 designs with these added features.

4 To optimize compliance, we introduced the  
5 concept of a real-time linkage between pregnancy test  
6 results and dispensing. The no-test/no-drug policy. The  
7 implication is that all physicians wanting to prescribe  
8 Accutane will need to register in order to gain access to a  
9 central database to document pregnancy test results. The  
10 pharmacist on the other end will confirm that a negative  
11 pregnancy test has been documented before dispensing a  
12 prescription for Accutane.

13 So, the process will begin here with patients  
14 and physicians registering. This will give physicians an  
15 access to patient information and they are going to  
16 document the negative pregnancy test which the pharmacy  
17 will verify later on.

18 This design meets our first goal. It provides  
19 a mechanism to confirm that female patients are not  
20 pregnant prior to dispensing of Accutane. It provides for  
21 real-time intervention prior to dispensing. Recording of  
22 pregnancy status is now unambiguous and it's documented.  
23 It meets part of our second goal because it prevents  
24 pregnancy exposure by pregnancy testing. It meets our  
25 third goal because of the monitoring of pregnancy exposures



1 becomes comprehensive by the linkage mechanism. We must  
2 remember that the average duration of Accutane treatment is  
3 from 4 to 5 months, not a long time, but a high risk period  
4 of time for an unwanted pregnancy.

5 The advantages of this design are that it  
6 avoids pregnancy exposure by testing. However, compliance  
7 with effective contraception is not being considered.  
8 There is need to create a data center to handle  
9 registration as in the previous design and for pregnancy  
10 test documentation. It also results in a burden for  
11 physicians and patients who now are required to registered.  
12 Also, pharmacies are now required to validate a negative  
13 pregnancy status before dispensing.

14 The next design is number 4. We have now  
15 expanded the linkage between compliance with pregnancy  
16 prevention practices to include documentation of patients'  
17 reports of compliance with two effective methods of  
18 contraception.

19 All the elements from the previous design are  
20 maintained, and to optimize compliance that no one gets a  
21 prescription for Accutane unless all pregnancy prevention  
22 elements have been completed, this is by documenting a  
23 negative pregnancy test and by documentation of reports of  
24 compliance with two effective methods of contraception. It  
25 creates the scenario for contraceptive use counseling.

1                   The advantages of design number 4 are that it  
2                   avoids pregnancy exposure two ways: by checking for a  
3                   pregnancy and by documenting patients' reports of  
4                   compliance with two effective methods of contraception  
5                   every single time a prescription is about to be issued. It  
6                   creates the scenario as well for contraceptive use  
7                   counseling, and all our public health goals are met with  
8                   this option.

9                   Some of the disadvantages of this design  
10                  include that there is a need to create a database and it  
11                  creates a burden on patients, physicians, and pharmacies  
12                  because of the restrictions imposed.

13                  Design number 5, our final design, includes the  
14                  additional safeguard of limiting the distribution of the  
15                  drug exclusively to pharmacies complying with all the  
16                  verification requirements we have already described. All  
17                  the elements from the previous design remain. It adds  
18                  restricted distribution to pharmacies imposing restrictions  
19                  on pharmacies. In order to assure compliance with linked  
20                  dispensing constraints, pharmacists will have trained,  
21                  registered, and authorized before they would be allowed to  
22                  dispense prescriptions for Accutane.

23                  Advantages of design number 5 is that all goals  
24                  are met, and it adds an additional safeguard against the  
25                  inappropriate use and dispensing of Accutane.

1           However, there's again a need to create a  
2 centralized database, and it burdens physicians, patients,  
3 and pharmacies. And now it requires pharmacies to be  
4 registered and certified. It restricts distribution of  
5 Accutane and it may decrease access to the drug and also  
6 encourages alternate sourcing.

7           Which goals are met by each design?

8           In design number 1, although there is some  
9 monitoring, it is still not sufficient to reassure us that  
10 the two other goals are met.

11           Design number 2 improves somewhat the  
12 monitoring goal. However, the only real value added is  
13 that now we definitely know our denominator but it still  
14 relies of voluntary reporting of pregnancy exposures.

15           The engineering of a real-time linkage between  
16 pregnancy test results and the dispensing of Accutane now  
17 identifies pregnant women and women at risk before they get  
18 the drug, thus fulfilling the first goal that no one starts  
19 Accutane if pregnant and the second goal, that if pregnancy  
20 occurs in the time elapsed between office visits, it will  
21 be identified as early as possible. Finally, monitoring is  
22 as complete as it can get in this situation.

23           The addition of the other requirement to check  
24 for contraceptive use status increases the opportunities to  
25 identify patients at risk and provides the opportunity to

1 | intervene, counsel, and correct the problems identified by  
2 | the physician. All goals are met by design number 4.

3 |           Design number 5 reiterates the importance of  
4 | compliance with all pregnancy prevention program components  
5 | and it also meets all our public health goals.

6 |           The sponsor's proposal will not achieve the  
7 | three core goals of a pregnancy prevention program. Time  
8 | has proven that education alone has not been sufficient to  
9 | modify patients' and prescribers' behavior. Other program  
10 | designs provide an opportunity to achieve FDA's public  
11 | health goals. However, these other alternatives do not  
12 | come without additional burdens.

13 |           We're asking you to help us answer the  
14 | question, how should we balance the achievement of this  
15 | important public health goals with the burdens imposed by  
16 | the various designs I just described?

17 |           DR. BERGFELD: Thank you.

18 |           I'll entertain very brief questions of  
19 | clarification at this point before we break for 15 minutes,  
20 | if there are any. Dr. Rosenberg.

21 |           DR. ROSENBERG: I have a question. I don't  
22 | know whom to ask, perhaps Dr. Greene or someone else.  
23 | Suppose that instead of monthly visits or weekly visits,  
24 | there were a daily dose attached to a self-pregnancy test.  
25 | Would there be any utility to doing it every day or every

1 other day or every third day?

2 DR. GREENE: Well, as Dr. Lammer described, the  
3 real risk is associated with exposure after about 15 days  
4 after fertilization. So, yes, in theory if you did a  
5 pregnancy test every single day, you would recognize  
6 pregnancy quicker than if you did it once a month. As a  
7 practical matter, I think that gets rather intrusive, and  
8 I'm not sure it's workable.

9 DR. BERGFELD: Any other questions? Yes.

10 DR. MALONE: In the clozapine program, are  
11 pharmacies registered or not registered?

12 DR. BERGFELD: Dr. Vega, can you answer that?

13 DR. VEGA: Dr. Bull, do you want to address  
14 that question?

15 DR. GRAHAM: David Graham. I'm with OPDRA.

16 When the program was initially instituted, the  
17 pharmacies were registered. Eventually that was changed,  
18 but what happens is the drug is only sort of basically  
19 authorized for clearance and then dispensing, if you will,  
20 by the central data house that records the performance and  
21 the normal result of the white blood cell count. So, in  
22 essence, you restrict the distribution of the drug.  
23 Pharmacies are able to now dispense it, but only after  
24 clearance using a coding system that sort of ensures that  
25 the test has been done and the result is normal.

1 DR. BERGFELD: Yes, Dr. Malone.

2 DR. MALONE: So, initially when pharmacies had  
3 to be registered, what percent of them did not get  
4 registered? Does anybody know that?

5 DR. GRAHAM: I think initially all pharmacies  
6 that distributed the drug were registered. I don't know  
7 what the percentage was of pharmacies that didn't register,  
8 but there was I believe public outcry from pharmacy  
9 associations being denied the opportunity to dispense the  
10 drug. So, this alternate system was devised.

11 DR. BERGFELD: Any other questions,  
12 clarification for Dr. Vega's presentation? Dr. Vega, any  
13 other statements?

14 DR. VEGA: No.

15 DR. BERGFELD: Well, we will break for 15  
16 minutes. We will reassemble here at, it looks like, 4:35  
17 and carry on with the rest of today's activities.

18 (Recess.)

19 DR. BERGFELD: If everyone could take their  
20 seat, we could then get on with what we have to accomplish  
21 for the rest of the afternoon.

22 As chair, I've made a decision to invite to the  
23 podium Jay Kaminski, who is the Chief Executive Director of  
24 Celgene, and he will tell us about the STEPS program and  
25 the actual compliance of the pharmacists in that program.

1 MR. KAMINSKI: Thank you. I'm Jay Kaminski.  
2 I'm in charge of commercial operations with Celgene  
3 Corporation. We are the company that distributes  
4 thalidomide through the STEPS program.

5 Currently through our program, it is a  
6 mandatory registration process with physicians, pharmacies,  
7 and patients. All patients are required to do an informed  
8 consent and a mandatory survey through Boston University.  
9 The patients are registered at the pharmacy. All  
10 pharmacies must be registered with Celgene in order to  
11 receive Thalomid and stock Thalomid. They must record all  
12 dispenses and dispense information with Celgene Corporation  
13 prior to giving out the drug. If they do not, we withhold  
14 shipments of future product until they do become compliant  
15 in giving us dispense information.

16 Currently we have undertaken this process for  
17 education at the pharmacy level, at the physician level,  
18 and at the patient level to avoid fetal exposure.

19 DR. BERGFELD: Can you give us an idea on the  
20 compliance and how many times you've held back the  
21 shipment, if that is the punishment if you don't comply?

22 MR. KAMINSKI: The biggest issue we've come  
23 into after two years of experience with the program is the  
24 level of work that the pharmacies have to do, being managed  
25 care and patients and education, as well as distribution.

1 | So, we've had to place some outbound calls to get  
2 | prescription information prior to releasing orders several  
3 | times, not terribly many, but we certainly have had to do  
4 | that.

5 | DR. BERGFELD: So, you would deem your program  
6 | successful at this point or just still in the developing  
7 | phase?

8 | MR. KAMINSKI: I think what we took upon  
9 | ourselves, after about a year on the marketplace, is to  
10 | reevaluate the program. We are in the process of enhancing  
11 | the program and hope to be rolling out an enhancement, if  
12 | you will, in the fourth quarter of this year utilizing some  
13 | very interesting technology and really to improve the  
14 | program.

15 | DR. BERGFELD: Would you mind staying there  
16 | until I ask the committee if they have any questions  
17 | specific of you? Yes, Dr. Woodcock.

18 | DR. WOODCOCK: Could you comment on how many  
19 | pharmacies are enrolled in this program?

20 | MR. KAMINSKI: Sure. We currently have about  
21 | 10,500 pharmacies enrolled in the program. Approximately  
22 | 2,000 to 2,500 pharmacies dispense on a regular basis.  
23 | They tend to be self-selecting pharmacies that distribute  
24 | specialty products. We roughly have about 9,000 physicians  
25 | who are registered to prescribe in our STEPS program.



1 DR. BERGFELD: Yes, Dr. King.

2 DR. KING: I again come back to an issue. How  
3 do you know that other sources such as non-U.S. sources or  
4 even on the Internet are having thalidomide coming into the  
5 system here that you can't track?

6 MR. KAMINSKI: I think that is an ongoing  
7 problem for manufacturers in the United States. We also,  
8 like our colleagues Roche, monitor that. We haven't seen  
9 any problems yet, although we do monitor it very closely.  
10 We have had reports, in particular, from two countries,  
11 Brazil and Mexico. Those countries do distribute  
12 thalidomide on their own in that marketplace.

13 DR. BERGFELD: Any other questions?

14 (No response.)

15 DR. BERGFELD: Thank you very much.

16 The agenda notes at this point in time we are  
17 to go into a committee discussion. We've had some lengthy  
18 discussion that was a little bit early right after lunch,  
19 and I'm wondering if there are any other questions that the  
20 committee might have in general of FDA, Roche, or others.  
21 Yes, Dr. Greene?

22 DR. GREENE: I have one question, and I'm not  
23 sure who the right person to address it is, possibly Dr.  
24 Mitchell. We've heard today that 85 percent of  
25 prescriptions are written by dermatologists. Do we have

1 any knowledge about what percentage of inadvertent  
2 pregnancy exposures occur as a result from prescriptions  
3 written by dermatologists versus non-dermatologists? You  
4 know, the Willy Sutton principle.

5 DR. MITCHELL: We don't have that direct  
6 information available. We have some indirect information  
7 which suggests that compliance within women who enroll in  
8 the survey is better among those who have a dermatologist  
9 as the prescriber than among those who don't.

10 DR. GREENE: But no real numbers?

11 DR. MITCHELL: No, I don't.

12 DR. ELLISON: We have the numbers based on an  
13 analysis of all spontaneous reports. Basically in a  
14 nutshell, because of who reports -- sometimes it's the  
15 patient, sometimes it's another provider that will actually  
16 report the pregnancy -- here are a fair number of unknowns  
17 in this. Of the ones that we know, it's a very similar  
18 pattern.

19 Now, the problem is the percentages are given  
20 of the total which includes the 37 percent unknown. I  
21 think you can see that of the ones we know, basically the  
22 dermatologists are indeed the majority, as they are the  
23 majority of the scripts.

24 DR. BERGFELD: Yes, Dr. Lammer.

25 DR. LAMMER: Dr. Greene, my experience is, with

1 | pretty complete data, about 90 percent of the malformed  
2 | babies and pregnancies that we've identified, the  
3 | prescriber was a dermatologist, and that's pretty close to  
4 | the relative proportion that I think Roche has quoted  
5 | before. So, among the pregnancies at least that we've  
6 | tracked, it's pretty similar to who seems to be prescribing  
7 | the drug.

8 | DR. BERGFELD: Dr. Rosenberg.

9 | DR. ROSENBERG: The question is not of  
10 | education but information when you need it. I ask Roche,  
11 | do the blister packs have an 800 number on them? Do they  
12 | have a web address on them? Is the 800 number 24/7, and is  
13 | the web page very helpful to somebody who is worried in the  
14 | middle of the night?

15 | MS. LEACH: We have a FaceFacts web site which  
16 | is non-branded and so, therefore, does not give Accutane  
17 | information. However, the toll-free numbers for the  
18 | Accutane information line and for the confidential  
19 | counseling line are both 24 hours a day, 7 days a week.  
20 | The usual reason why people call the information line, that  
21 | we put into place recently, has been on the forms of  
22 | contraception they should be using.

23 | DR. BERGFELD: Thank you.

24 | Dr. Kodish?

25 | DR. KODISH: The literature on adherence to

1 oral medication in adolescents with leukemia suggested  
2 about 10 to 40 percent of adolescents with a life-  
3 threatening disease will not take their 6-MP,  
4 6-mercaptopurine.

5 My question is for the FDA, perhaps Dr. Vega or  
6 whoever at the agency would be most appropriate. Has the  
7 issue of mandating parenteral contraception come up in your  
8 thoughts about the risk-benefit analysis, and if so, what  
9 were the thoughts around that?

10 DR. VEGA: No, we haven't discussed that  
11 specific issue.

12 DR. BERGFELD: Dr. Abel?

13 DR. ABEL: Regarding the types of  
14 contraception, in this draft to the prescriber for the  
15 targeted pregnancy prevention program, when they talk about  
16 the types of contraception, could it be more explicitly  
17 defined that there must be a primary that is a hormonal  
18 type and a secondary which is not? Otherwise, people might  
19 ask, well, are two barrier methods okay. Maybe that should  
20 be spelled out. Maybe it is in the patient information. I  
21 don't know.

22 MS. LEACH: In the best practices for  
23 prescribers, there's a list and a rather lengthy  
24 explanation of primary and secondary. It's also in the  
25 patient contraception and it's part of the informed

1 consent.

2 DR. ABEL: Good.

3 DR. BERGFELD: Dr. Malone?

4 DR. MALONE: I just have a comment or question  
5 about the time period for monitoring for pregnancy. It  
6 would seem that something like 2 weeks is kind of the  
7 window if you look at the sensitivity of the test or the  
8 exposure to drug, 2 weeks or less. But it seems that most  
9 people are talking about monthly monitoring. So, I'm just  
10 wondering about that difference between 1 to 2 weeks for  
11 the risk, but monthly monitoring.

12 DR. BERGFELD: Is there a response from FDA or  
13 Roche?

14 DR. VEGA: By monitoring monthly, the woman  
15 comes to the office and gets a test done. In a period of 1  
16 month, if she was not pregnant at that moment, then a  
17 1-month period will pass by before she gets her next  
18 menstrual period, and then at the second or third day of  
19 her menstrual period, she's going to get the second  
20 pregnancy testing. That's at the beginning of therapy,  
21 that you get two pregnancy testings. So, that will presume  
22 that you have just 2 weeks of exposure after that window of  
23 time if you check during the second or third day of the  
24 menstrual right before you started the treatment.

25 DR. BERGFELD: Dr. Mills?

1 DR. MILLS: I'd like to just comment on how the  
2 more active proposals by the FDA would help to resolve some  
3 of the problems identified by the Slone study. For  
4 example, the Slone people reported that in 14 percent of  
5 the pregnancies, the women were pregnant before they  
6 started taking the medication. 12 additional percent  
7 occurred when the women started taking the medication  
8 before the menstrual period, both of which would be  
9 curtailed considerably by the proposal requiring a negative  
10 pregnancy test before the drug could be prescribed.

11 Secondly, the proposals that ensure compliance  
12 with contraceptive practices would be very useful because  
13 in the Slone data, less than 50 percent of the subjects  
14 actually used two methods of contraception. If you notice,  
15 some of the women were using the rhythm method, which we  
16 all know is not a terribly good method of contraception.

17 What is not so clear in terms of a problem that  
18 would be corrected by the FDA proposals is that a  
19 substantial number of the women had problems because of  
20 unexpected events. 11 percent of the women said they were  
21 going to be abstinent and then changed their minds, and 34  
22 percent didn't use contraception at the actual date of  
23 intercourse. So, you have 45 percent of the women who are  
24 unexpected events in terms of the pregnancies.

25 I wonder if we could have some discussion of

1 the question of postcoital contraception because that whole  
2 45 percent of pregnancies, those who neglect to abstain, we  
3 might say, or who don't use contraceptives for that  
4 particular episode might benefit by postcoital  
5 contraception. I don't know if that's in anybody's plans  
6 at the FDA.

7 DR. BERGFELD: Does the FDA want to respond to  
8 that?

9 DR. VEGA: Because we have been thinking about  
10 this whole process, these designs in general terms, right  
11 now we can get into the specifics, but I can tell you that  
12 that's part of the STEPS program, for example, the  
13 emergency contraceptive. We do have it on our radar  
14 screen, and the reason why we haven't presented it here is  
15 because we don't to get into the specific details of these  
16 programs. But definitely that should be a feature of any  
17 of these designs.

18 DR. BERGFELD: Can I say that I did hear that  
19 Roche presented the emergency contraceptive program that  
20 has already been introduced into their informational  
21 pieces. Has that been reviewed by the FDA and approved?

22 DR. WILKIN: I'm not sure that we have seen  
23 every single piece of the new program.

24 MS. LEACH: In fact, in the orange package that  
25 you received is the final draft of the piece.

1           Pregnancy prevention changes are reviewed by  
2 the FDA after they're first launched. It's only when  
3 you're bringing pieces out that are connected to an  
4 approval of an NDA that they get reviewed in advance.

5           DR. BERGFELD: I'm sorry. You'll have to  
6 clarify that again. You're here today because there is a  
7 proposed pregnancy problem with the drug, and you're  
8 proposing some changes in your educational material and  
9 you've not shown those to the FDA. Is that what you've  
10 said?

11           MS. LEACH: Yes. Actually we submitted them to  
12 the FDA in March of 1999.

13           DR. BERGFELD: But they don't have to approve  
14 them, but they've seen them and read them.

15           MS. LEACH: They don't have to approve of them.  
16 Obviously, we would love for the FDA to make an approval  
17 statement, but since it's not in connection with a new NDA,  
18 it's not part of the regulation.

19           DR. BERGFELD: Dr. Wilkin, do you want to  
20 respond to that?

21           DR. WILKIN: Well, I haven't looked at  
22 everything in the brown paper wrapper here that I found on  
23 my setting here today. But what you are describing is that  
24 we have seen everything that is in the -- yes. She's  
25 claiming that we've seen everything that's in this package.



1 DR. BERGFELD: Yes, Dr. Anderson.

2 DR. JENNIFER ANDERSON: When I asked a question  
3 earlier about one aspect of it, you said that Dr. Mitchell  
4 had not yet reviewed it, which is paradoxical to me.

5 MS. LEACH: No. We submitted it to the agency  
6 in March of 1999. Dr. Mitchell wishes to go over the final  
7 wording. He has seen this also.

8 DR. WILKIN: Just a point of clarification.  
9 Was this submitted to DDMAC or to the division?

10 MS. HOLLAND: My name is Betty Holland. I'm  
11 with Hoffmann-LaRoche. The information about the proposed  
12 changes was included in the package we submitted to you, to  
13 the reviewing division, in March of 1999. The materials  
14 have not yet been submitted to DDMAC for their review.

15 DR. BERGFELD: Thank you very much.

16 Dr. Wilkin, may I ask you a question about it?  
17 Because of the issue of pregnancy and the attempt to stop  
18 birth defects and thus pregnancies, will you be reviewing  
19 this with some great interest?

20 DR. WILKIN: Well, if it's the material that  
21 we've seen actually, then we have. My comment was that I  
22 have not reviewed the material that is physically in this  
23 brown wrapper today to know whether we have seen it and  
24 reviewed it within the division.

25 DR. BERGFELD: Well, it seems that

1 clarification and focus on this might be appropriate.

2 Any other comments by the committee before we  
3 move into the questions that are posed for the committee?  
4 Yes, Dr. Abel?

5 DR. ABEL: What is in the brown wrapper? New  
6 material then, fairly new or revised that we have today?

7 MS. HOLLAND: The materials that you have in  
8 the brown wrapper are the final drafts of the pregnancy  
9 prevention program materials that are being developed that  
10 will be distributed, as Ms. Leach indicated in her  
11 presentation. These are to be going out in the September-  
12 October time frame.

13 DR. BERGFELD: Thank you.

14 Dr. Levin?

15 MR. LEVIN: I just want to pursue the emergency  
16 contraception issue because it seems to me to be really  
17 helpful that a 1-800 number would actually have to refer  
18 people to resources where they could get emergency  
19 contraception, I mean, more than just say it's something  
20 you can do, see your doctor. I don't think that's helpful  
21 enough. It would be much more helpful to actually have  
22 resources that people could be directly referred to.

23 DR. BERGFELD: Thank you.

24 Dr. Mills.

25 DR. MILLS: Right, or how about just providing

1 | that to people so that they'd have it there when they  
2 | needed it?

3 |           MS. LEACH: Information on emergency  
4 | contraception is included in the Best Practices. It's also  
5 | included in the Preventing Pregnancy booklet, and the  
6 | advice is for patients to call 1-800 Not Too Late, which is  
7 | an emergency contraception counseling line.

8 |           DR. BERGFELD: Any other questions that the  
9 | committee might have of any of the participants? Yes, Dr.  
10 | Moore?

11 |           DR. MOORE: Are we going to discuss each of  
12 | these options separately or if we have questions about --

13 |           DR. BERGFELD: We're going to discuss the  
14 | questions that the FDA has posed to us that you should have  
15 | in the handout.

16 |           DR. MOORE: The five designs?

17 |           DR. BERGFELD: Yes. They're going to be  
18 | presented by Dr. Bull.

19 |           DR. MOORE: Okay, thank you. I'll hold till  
20 | then.

21 |           DR. BERGFELD: Are we ready for the  
22 | presentation? If you please.

23 |           DR. BULL: It might be helpful, if it was a  
24 | point of clarification on one of the designs, if we take  
25 | that question now.

1 DR. BERGFELD: All right. Is there a point of  
2 clarification?

3 DR. MOORE: Not really.

4 DR. BERGFELD: If you'd like to proceed then  
5 with the questions.

6 DR. BULL: I think we've heard today several  
7 clear messages, the first being that Accutane is a drug  
8 that is known to be highly efficacious in the treatment of  
9 cystic nodular acne. The other that has come out I think  
10 clearly is that there are other models that do evidence  
11 mechanisms by which drugs can be monitored to ensure their  
12 safe use.

13 Our goal was to lay out for you all our  
14 concerns regarding the continued presence of pregnancy  
15 exposures associated with the use of the drug and to raise  
16 questions as to the sufficiency of the program as it's  
17 currently laid out.

18 Our questions to the committee. Question 1.  
19 And all of these are considerations that we see framed from  
20 a risk management perspective. The agency has outlined and  
21 presented to you three goals for a successful risk  
22 management program for Accutane, the first being no one  
23 should begin Accutane therapy if pregnant; number two, no  
24 pregnancies should occur while on Accutane therapy; and the  
25 third, implementation of a monitoring program to ensure

1 | that the above two goals are met.

2 | Does the committee agree with these goals?

3 | DR. BERGFELD: I'd like you to read all the  
4 | questions and then I'll take them up individually.

5 | DR. BULL: Are there others that you would  
6 | recommend as goals?

7 | Question 2. Of the five designs presented by  
8 | FDA, which is the most likely to achieve the stated goals  
9 | while balancing the associated burdens? Please discuss why  
10 | you chose this design.

11 | Question 3. How can the FDA best monitor the  
12 | impact of the pregnancy prevention program? Possible  
13 | options include: number one, registration of additional  
14 | parties, such as patients or pharmacists; number two,  
15 | obtaining data on compliance with the program; number  
16 | three, utilizing an external monitoring program to assess  
17 | pregnancy exposures and outcomes.

18 | DR. BERGFELD: Thank you very much.

19 | What I'd like to do is to proceed with the  
20 | question 1 first, but then I would also like to state that  
21 | the voting members will be the voters. However, the  
22 | discussants can also include the nonvoting members.

23 | So, we'll first begin with the discussion, and  
24 | this is on question 1 and I'll repeat it. The agency has  
25 | outlined three goals for a successful risk management

1 | program for Accutane. One, no one should begin Accutane  
2 | therapy if pregnant. Two, no pregnancies should occur  
3 | while on Accutane therapy. Three, implementation of a  
4 | monitoring program to ensure the above goals are met. The  
5 | first part of the question is, does the committee agree  
6 | with these goals? And I'll entertain any discussants.  
7 | Yes, Dr. Branch.

8 | DR. BRANCH: Sort of setting a stage from a  
9 | perspective of public health policy, it seems to me that  
10 | there are two major drugs that have been very, very clearly  
11 | and unequivocally associated with fetal abnormalities.  
12 | There's thalidomide and there's the drug that we're  
13 | considering now. It seems to me that the information base  
14 | behind that for the retinoic acid story is unequivocal and  
15 | that the same set of considerations should take place. We  
16 | are in a position to prevent fetal abnormalities in this  
17 | country. It's a question of how to do it, but in terms of  
18 | a starting point, I think we should have a level playing  
19 | field for drugs of equal teratogenic potential. So, that's  
20 | an opinion.

21 | DR. BERGFELD: So, your opinion is yes, you  
22 | agree with these goals.

23 | DR. BRANCH: I agree with these goals.

24 | DR. BERGFELD: Thank you.

25 | Dr. Rosenberg.

1 DR. ROSENBERG: As I read this, everyone must  
2 agree with goal 1, no one should begin Accutane therapy if  
3 pregnant, and 2, that no pregnancy should occur while on  
4 Accutane therapy. But it's not clear to me how  
5 implementation of a monitoring program will ensure that  
6 those goals are met. It would tell you if they were not  
7 met and upset you, but it seems to me that if I vote for  
8 statement number 3, I'm not sure I'm going to get 1 and 2.

9 DR. BERGFELD: Dr. Holmboe?

10 DR. HOLMBOE: I think your comments are very  
11 cogent. I do agree with all three goals.

12 I'm a little concerned that we haven't  
13 addressed some of the other aspects that may get to what  
14 Dr. Rosenberg has brought up. Again, that gets back to the  
15 informed consent process, the type of information that's  
16 going to be given to them, again qualitative versus  
17 quantitative. Although we can do this monitoring, we  
18 really haven't built anything into this monitoring system  
19 to find out if that process that occurs in the office,  
20 particularly enough among nurse or physician and patient is  
21 effective. So, we may be developing an awful lot of  
22 materials that may be quite voluminous and yet not know if  
23 the patient who is leaving that office has the desired and  
24 needed knowledge to be most successful in avoiding  
25 pregnancy.

1 DR. BERGFELD: My summary of what you said is  
2 that you agree with 1 and 2 goals and 3 maybe. Is that  
3 correct? Depending on descriptions of the educational  
4 process.

5 DR. HOLMBOE: No. I agree with the concept of  
6 a monitoring program. I'm just concerned that we may not  
7 be monitoring all the processes that need to be in order to  
8 be successful to meet the goals.

9 DR. BERGFELD: Any other responses? Yes, Dr.  
10 Anderson.

11 DR. JENNIFER ANDERSON: I share some of Dr.  
12 Rosenberg's concerns. Actually I feel it's very difficult  
13 to vote on these three as a package.

14 DR. BERGFELD: You are allowed to split them  
15 out if you would like to.

16 DR. JENNIFER ANDERSON: You are?

17 DR. BERGFELD: Yes. If we can get unanimous  
18 decision on 1 or 2 and then discuss the third one, that  
19 would be appropriate.

20 DR. JENNIFER ANDERSON: Well, on the second  
21 one, I know that's the ideal, but as a goal I think it  
22 would be more appropriate to say that a very minimal number  
23 of pregnancies should occur. Absolute zero is not possible  
24 unless nobody takes the drug.

25 DR. BERGFELD: Dr. Branch and then Dr. King.



1 DR. BRANCH: We're talking about goals not  
2 implementation. It seems to me that a goal is something  
3 you're striving for, and then we can discuss how you  
4 implement it. But as a goal, it seems to me these are very  
5 meritorious and pretty clear-cut. I don't think how you  
6 get to them is so clear cut. I'll put on the table that  
7 this is what we should start with.

8 DR. BERGFELD: Dr. King?

9 DR. KING: I'd like to vote on the first two  
10 and defer the number 3. I think the goal of zero tolerance  
11 versus acceptable risk is two different things. Our goal  
12 should be 1 and 2. I think in the case of cigarettes or  
13 other kinds of things where the incidence rate may not be  
14 any higher, I think really you're talking about zero  
15 tolerance versus acceptable risk. So, I think that I'd  
16 like to vote for 1 and 2 and talk about number 3.

17 DR. BERGFELD: I'd like to come back to you to  
18 have you put that on the table as a proposal, but I need to  
19 hear from a few other people. Dr. Tan, I heard you and saw  
20 you shaking your head.

21 DR. TAN: I agree that we should vote on number  
22 1 and 2. There's clear consensus there. For number 3, we  
23 need to talk about how to achieve those goals.

24 DR. BERGFELD: Thank you.

25 Dr. Woodcock?

1 DR. WOODCOCK: If I just may provide a point of  
2 clarification on goal number 3. Perhaps this isn't worded  
3 exactly the way that people can understand what we mean by  
4 this. But in any risk management program, if you do not  
5 have adequate metrics to determine what your achievement  
6 rate is, then you do not know whether your interventions  
7 are actually effective or not.

8 DR. ROSENBERG: Do you mean to say to "see if"  
9 rather than "ensure that"?

10 DR. MURPHY: "Assess."

11 DR. WOODCOCK: To measure the progress, or  
12 whatever, is what we mean. Yes.

13 DR. BERGFELD: Could you restate number 3 then?

14 DR. WOODCOCK: Implementation of a monitoring  
15 program to assess progress toward the above goals.

16 DR. BERGFELD: That does improve it. Anything  
17 else?

18 DR. WINOKUR: Andy Winokur from U. Conn.

19 I was going to chime in. I also agree with 1  
20 and 2. In spirit with 3, I think the education program  
21 that we've heard about from Roche is terrific, but I also  
22 have a sense and agree I think with the FDA presentation  
23 that something more and more formal to really address the  
24 worrisome aspect of the exposure is needed. So, I think  
25 the third point takes us to discuss the specifics of how to

1 put that part in place.

2 DR. BERGFELD: Thank you.

3 Dr. Dianne Murphy and then Dr. Cindy Moore.

4 DR. MURPHY: I was simply trying to point out  
5 that that should be assessed. That was all.

6 DR. BERGFELD: Thank you.

7 Dr. Moore?

8 DR. MOORE: I understand changing that to  
9 "assess" and I agree with it, but not in the absence of  
10 saying that there should be implementation of a program to  
11 ensure the goals and that would include a monitoring  
12 component to assess the progress.

13 DR. MURPHY: Again, I think our goal for the  
14 third goal is that we can't improve something if we don't  
15 know how it's performing. So, we misstated. We are trying  
16 to say we want to have a monitoring program where we would  
17 assess the success of the activities, whatever they are,  
18 and that we would then look at how successful or  
19 unsuccessful it was and have to try to address those issues  
20 to ensure that we reached our first two goals.

21 DR. BERGFELD: And I gather that someone will  
22 put that sentence together somewhere.

23 Yes, Dr. Greenhill.

24 DR. GREENHILL: Dr. Greenhill from Columbia  
25 University.

1 I agree completely with goals 1 and 2, and as  
2 other people have said, the problem that often one would  
3 have with number 3 is that it's stated in a very different  
4 form than the first two goals. For example, what are we  
5 monitoring? Are we monitoring the number of pregnancies?  
6 Are we monitoring whether the contraception program is  
7 effective, whether patients are using two different  
8 methods, one involving a hormone? I think that needs to be  
9 stated for it to be put in as a goal.

10 I would like the goal of monitoring that would  
11 detect any possible pregnancies that would occur. That  
12 would be a goal, not hoping with the number 0, but we have  
13 all the cases of exposure. So, the goal in my mind would  
14 be an accurate determination of the denominator. It's a  
15 very vaguely worded phrase, so it might include the  
16 behavior of the patients or it might not. So, I think some  
17 discussion about the contraception methods could come in.

18 DR. BERGFELD: Would you restate what you'd  
19 like that third line to read?

20 DR. GREENHILL: The goal would be a highly  
21 sensitive monitoring program that would give a completely  
22 accurate denominator of exposure to determine if a  
23 pregnancy occurred. Ideally it would also give information  
24 on the types of contraception used in all women exposed.

25 DR. BERGFELD: Thank you very much.

1 Dr. Abel, then Dr. Anderson.

2 DR. ABEL: I'm not sure that monitoring is  
3 going to necessarily accomplish and all the qualifications  
4 of monitoring are going to accomplish 1 and 2. I would  
5 suggest maybe another rewording or possible use of  
6 interventions which might include counseling, education, in  
7 addition to some monitoring. To restrict it to monitoring  
8 for number 3 to accomplish 1 and 2, I'm not sure that's  
9 going to ensure that the goals are met.

10 DR. BERGFELD: Can you give us a line statement  
11 on number 3 then, your proposal as a sentence?

12 DR. ABEL: I'll have to think about it, but I  
13 would substitute "interventions" rather than "monitoring."  
14 Interventions to include monitoring, but it might also be  
15 counseling. It might also be this behavioral research that  
16 Roche referred to. There may be other types of  
17 interventions to ensure that these goals are met besides  
18 strict monitoring.

19 DR. BERGFELD: Thank you.

20 Dr. Anderson and then Dr. Levin.

21 DR. GLORIA ANDERSON: I think she has said  
22 essentially what I was going to say. I think the problem  
23 here is that when one sets goals, then one has to develop  
24 and implement a program to ensure that the goals are  
25 achieved. And the monitoring becomes evaluation of the

1 | extent to which they are achieved. So, I think there's a  
2 | different 3 that needs to be here, and then the monitoring  
3 | is the evaluation.

4 | DR. BERGFELD: Dr. Levin?

5 | MR. LEVIN: I guess I'm a little confused. It  
6 | may not be well stated or as well stated as it could be,  
7 | but I thought this was sort of basic, that if you're going  
8 | to set goals, you have to have metrics to decide whether  
9 | you're meeting the goals or not. I don't think this is a  
10 | place to spell out all the components of what you want to  
11 | do, and maybe "monitoring" is a bad word. But this seems  
12 | to me it's useless to set 1 and 2 without a metric,  
13 | otherwise we're wasting our time and somebody will tell us  
14 | we've met them and somebody will say, well, you didn't meet  
15 | them and we'll never know.

16 | So, I don't know how it has to be stated, but  
17 | it seems to me 3 is integral to 1 and 2. If you don't do  
18 | 3, don't bother to do 1 and 2 would be my opinion.

19 | DR. BERGFELD: I think that's the general  
20 | assessment of the whole committee that they need to have  
21 | some evaluation statements present in the three goals.

22 | Dr. Tan?

23 | DR. TAN: Yes. I just want to say that it's  
24 | good to have a monitoring system, but I think more  
25 | importantly you have to find out what went wrong, what

1 | happened once you see a pregnancy. Maybe it's useful to  
2 | set up a database to track that.

3 | DR. BERGFELD: This proposal that has gone up  
4 | now is the FDA's proposal for our substitution for item 3.  
5 | Do you want to read that, Dr. Woodcock?

6 | DR. WOODCOCK: Right. This is the evaluation  
7 | piece to tell whether or not progress has been made toward  
8 | goals 1 and 2, and it's implementation of a program --  
9 | we'll leave out "monitoring" for now -- to assess the  
10 | progress toward the above goals. And what that program  
11 | would do is know the number of exposed individuals and the  
12 | number of individuals who became pregnant, and that would  
13 | provide for the epidemiologic investigation of what went  
14 | wrong, as you said, in those. But the basic concept is  
15 | right now we don't know the numbers and we've spent part of  
16 | the day discussing the numbers. If we don't know the  
17 | numbers now and we implement a risk management program, we  
18 | won't know whether it worked or not. That's sort of the  
19 | basic point we were trying to make. So, in order to know  
20 | whether we have made progress toward 1 and 2, we have to  
21 | have some sort of evaluation piece. That was strictly our  
22 | point.

23 | These goals did not include any elements of the  
24 | risk management program itself, the specifics. These are  
25 | simply the goals.

1 DR. BERGFELD: Are there any other points that  
2 need to be discussed before we call the question? We have  
3 heard from probably one-half of the committee, nonvoting  
4 and voting members. Are there others who wish to support  
5 or not the three goals that are presented? Dr. King?

6 DR. KING: I think I'm back to the same issue.  
7 I think everybody will vote on 1 and 2 affirmatively, but I  
8 just wonder if we should defer it to question 2 because  
9 you're going to come then and ask the next question. Of  
10 the five designs presented by FDA, which is most likely to  
11 achieve it? Here we are talking about minutia in a broad  
12 goal, which I believe in motherhood and apple pie, and then  
13 you're going to get down to the down and dirty in the next  
14 question. So, I recommend we table number 3 goal here and  
15 come back to it and say, sure, we believe you need to have  
16 a monitoring system, and we will address the specific issue  
17 in question number 2, which the FDA is asking us.

18 We've done this before. The answer is no, not  
19 now. I mean, manana doesn't mean tomorrow, it just means  
20 not now. So, I vote for manana for question 3.

21 (Laughter.)

22 DR. BERGFELD: A couple things. You've put two  
23 proposals on the table: one to vote for 1 and 2, and the  
24 second is to table 3.

25 DR. KING: Until question 2 is considered.



1 DR. BERGFELD: Are there any other discussants  
2 or a second? I'm going to take that as a motion. A second  
3 to that motion? Dr. Greene?

4 DR. GREENE: I'd just like to make a comment.  
5 Whatever we decide on number 2, we're going to need some  
6 sort of a program to assess progress towards these goals.  
7 So, I would not like to see deferment of this question. I  
8 think we can vote on it straightaway regardless of what we  
9 decide about question 2.

10 DR. BERGFELD: Dr. Rosenberg, you were  
11 motioning.

12 DR. ROSENBERG: I was seconding Lloyd's motion.  
13 I don't think we should spend any more time on this. It  
14 goes without saying we have to know whether we're  
15 succeeding toward getting a goal, but that in itself is not  
16 a goal actually. But anyway, it really doesn't matter, I  
17 don't think. I was just afraid at the beginning that it  
18 was phrased so that it made it sound like the monitoring  
19 would achieve the goal which, of course, it doesn't.

20 DR. BERGFELD: So, your motion is not seconded.  
21 So, we might call for a motion on the whole, which are the  
22 three different goals that have been proposed. Any other  
23 discussion regarding these three goals?

24 (No response.)

25 DR. BERGFELD: Seeing none, then I will ask the

1 voters to raise your hand if you are voting yea at this  
2 point in time. All those in favor?

3 DR. JENNIFER ANDERSON: What is the exact  
4 wording?

5 DR. BERGFELD: The exact wording for 3 will be  
6 developed, but the intent is up there.

7 (A show of hands.)

8 DR. BERGFELD: It looks like it's unanimous.  
9 Those against, please raise your hand.

10 (No response.)

11 DR. BERGFELD: So, it's unanimous.

12 Are there others that you would recommend,  
13 which is the second part of that? I think the discussion  
14 would probably answer that. We need not go any further  
15 unless someone has other things to comment upon.

16 Then moving then to question -- yes.

17 DR. ROSENBERG: I'd like an explicit goal that  
18 there be no children born with Accutane-induced birth  
19 defects. I'd just like to say it that way. That's not an  
20 easy issue. If no pregnancies occur, that's wonderful, but  
21 if pregnancies occur, then what? I for one am certainly  
22 not about to suggest policy, but I feel strongly that we  
23 ought to address that question. And if we had a goal that  
24 there be no children born with Accutane-induced birth  
25 defects, it would I think change the content of the

1 | discussion.

2 | DR. BERGFELD: Yes.

3 | DR. HOLMBOE: I don't see how we can do that.  
4 | I think that's equivalent to saying that nobody with Down's  
5 | syndrome should be born. I think once a pregnancy occurs,  
6 | that's a personal decision on the part of the parents, and  
7 | I don't think we're in a position to be making a statement  
8 | that no children should be born no matter how bad the birth  
9 | defects might be. I think that's just got to be a personal  
10 | decision. We have lots of congenital abnormalities in  
11 | which parents make the conscious decision, despite knowing  
12 | from ultrasound or other technologies, what the outcome is  
13 | likely to be. So, I feel pretty strongly that we're not  
14 | able to do that.

15 | DR. BERGFELD: Dr. Levin, then King, and then  
16 | Malone. Nothing? Dr. Malone?

17 | DR. MALONE: I was just going to agree with  
18 | what was just said that the goal as it is is good enough I  
19 | think.

20 | DR. BERGFELD: Thank you.

21 | Dr. Kodish?

22 | DR. KODISH: Just to make the point that  
23 | sometimes things are better not said, and I think you  
24 | achieve what you want with goals 1 and 2.

25 | DR. BERGFELD: Thank you.

1 Dr. Jones?

2 DR. JONES: Yes, I'd like a point of order.

3 DR. BERGFELD: Certainly.

4 DR. JONES: On the voting consultants that I  
5 got through the mail last week, I'm a voting consultant.  
6 On the thing that came today, I am not a voting consultant.

7 DR. BERGFELD: We'll let the Executive  
8 Secretary answer that.

9 MS. TOPPER: You actually happen to be a matter  
10 of federal paperwork. Your paperwork was not in time for  
11 personnel action to take place. Therefore, you are not  
12 able to vote.

13 (Laughter.)

14 DR. BERGFELD: Dr. Rosenberg, you wanted to  
15 have a closing comment?

16 DR. ROSENBERG: Could I change that, that there  
17 be no children born with Accutane-induced birth defects  
18 unless the parents felt that that was their wish?

19 I just feel that nobody is offering anything  
20 except, "sorry, you lost" to people who failed at this  
21 game, and I just feel for somebody who all of a sudden  
22 says, my goodness, I've been taking Excedrins instead of  
23 Ortho-Novums. I just realized. And you do a test and it's  
24 positive, and now what? Are we all going to pretend that  
25 we wash our hands of this, we ignore it, we tell them,

1 | sorry, kid, you lost? Who is willing to step up to the  
2 | plate here and say, now what?

3 | DR. BERGFELD: Dr. Anderson, Dr. Jones, Dr.  
4 | Lammer, and Dr. Bull.

5 | DR. JENNIFER ANDERSON: I agree with Dr.  
6 | Rosenberg. That's all I want to say. If that's a proposed  
7 | goal that you're putting out, I second it.

8 | DR. BERGFELD: Dr. Jones?

9 | DR. JONES: And I third it. I think that  
10 | clearly the risk here is the conception of a fetus or  
11 | embryo with a birth defect. One way to deal with that from  
12 | the standpoint of management is a pregnancy prevention  
13 | program. There's absolutely no question about that. But  
14 | we've heard today and when you read the literature, it's  
15 | clear that it doesn't always work. There are clearly many  
16 | babies that are conceived whose mothers on Accutane that  
17 | they continue on in their pregnancy. The risk still  
18 | remains the baby with the birth defect, and we've got to  
19 | manage that problem. The way that we have been shown that  
20 | we manage that problem today is through therapeutic  
21 | abortion, and that is a tragedy. It's not an appropriate  
22 | way to deal with it, and for those people that elect not to  
23 | do that, the issue is that they have a child with a birth  
24 | defect.

25 | So, I think we need, in terms of management of

1 | this risk, to think very seriously. And I must tell you  
2 | that I believe very strongly in this, that Hoffmann-LaRoche  
3 | is responsible for the payment of pregnancy termination. I  
4 | do not think that this should be the pregnant woman  
5 | herself. I do not think that this should be the taxpayers  
6 | of this country, and I also believe that Hoffmann-LaRoche  
7 | should be responsible for paying for the treatment for  
8 | children who are born with birth defects secondary to  
9 | exposure to this drug.

10 | I think what you are going in terms of  
11 | pregnancy prevention programs is fabulous, and that's one  
12 | management program to deal with the risk. But you've got  
13 | to recognize that the risk is not pregnancy; the risk is a  
14 | baby with a birth defect.

15 | DR. BERGFELD: Thank you.

16 | Dr. Lammer?

17 | DR. LAMMER: Well, I think I've said pretty  
18 | similar things to what Ken just said at previous hearings  
19 | here, and it really I think is true. The history of what's  
20 | gone on at these hearings has been that this is an  
21 | essential drug to be available for dermatology patients.  
22 | And I've had former members of this committee tell me  
23 | outside of the hearing room that the morbidity and  
24 | mortality to the babies that results from having this drug  
25 | available is an acceptable side effect, if you want to call

1 | it that, of making this drug available.

2 |                   Yet, through all that time, for these parents  
3 | who are taking care of these kids and the public, all of us  
4 | who are also paying for that, there has never been a  
5 | proposal that if this drug is so essential to have for  
6 | those patients and if this is a consequence of keeping it  
7 | available, that there ought to be some mechanism set up  
8 | that these families are assisted in taking care of these  
9 | children, many of whom, from Dr. Adams' and my experience,  
10 | are not going to be able to live independently as adults,  
11 | and that's the survivors.

12 |                   DR. BERGFELD: Dr. Greenhill and then Dr. Bull.

13 |                   DR. GREENHILL: Just a point of information.

14 | Is it possible to detect which of the children exposed in  
15 | utero through amniocentesis have the developmental  
16 | deviations before delivery?

17 |                   DR. BERGFELD: Dr. Lammer?

18 |                   DR. LAMMER: That's an easy one. No.

19 |                   DR. BERGFELD: Dr. Bull?

20 |                   DR. BULL: I just had a point of clarification.

21 | Is the additional item under discussion an additional  
22 | recommendation to the goals?

23 |                   DR. BERGFELD: That's correct. Dr. Rosenberg  
24 | has proposed an additional goal.

25 |                   Yes, Dr. Branch.

1 DR. BRANCH: I come out on the other side of  
2 this. I think we're going beyond the purview of this  
3 particular review. If we go on record as making this a  
4 goal, then there has to be an action that follows it.  
5 There has to be something that takes that goal and makes it  
6 a reality. What you're doing here is you're going directly  
7 into the rights of the mother at that point in time.

8 I absolutely endorse all you say about it being  
9 a tragedy. It is a tragedy for that family. They have a  
10 tremendous burden. Therapeutic abortion is a terrible  
11 decision. Going ahead is a terrible decision. It's a no-  
12 win type situation.

13 But trying to implement programs that come in  
14 at that point of intervention and the limited capacity that  
15 we have to actually intervene after the fact, I think this  
16 is a public health issue that is dealt with by prevention  
17 and is not dealt with appropriately by us going on record  
18 after the fact. So, I would vote against it.

19 DR. BERGFELD: I'd like to ask the FDA at this  
20 point in time -- you've heard the comments regarding Dr.  
21 Rosenberg's suggestion of an added goal and you've heard  
22 many members of the committee speak to this. I would  
23 suggest at this point in time we pass on because we're  
24 unable to solve this, I'm sure, because of this  
25 political/social problem. Is that all right with you?



1 DR. WOODCOCK: Yes.

2 DR. BERGFELD: Thank you.

3 They will take this up, Bill, at another level.

4 So, I think we'll proceed to question 2. Of  
5 the five designs presented by the FDA, which is the most  
6 likely to achieve the stated goals while balancing the  
7 associated burdens? And the second part, please discuss  
8 why you choose this design.

9 Would there be any commenters on this? We had  
10 five different designs that were presented us. Dr. Greene?

11 DR. GREENE: I'd like to make a generic  
12 statement about this first and that is that I view some of  
13 what I've heard today with a little bit of alarm. One of  
14 the principles of ethics and medicine in the United States  
15 is the principle of autonomy. When I hear people say  
16 things like presenting evidence that someone is on several  
17 methods of contraception, things like requiring injectable  
18 contraception, as evanescent of the notion of abstinence  
19 may be, nonetheless, there is the issue of autonomy.

20 If a woman who is fully informed -- I'm not  
21 talking about a child or a minor, but if a fully informed  
22 adult woman says that she is abstinent and says that,  
23 recognizing everything that we've had to say about the  
24 risks associated with taking Accutane that she doesn't need  
25 and doesn't want contraception, I would have a tough time

1 | telling that adult woman that she can't make that decision  
2 | for herself, as an obstetrician/gynecologist. So, I view  
3 | with a little bit of alarm some of what I consider to be  
4 | rather draconian proposals that would ride roughshod over  
5 | an adult, competent woman's autonomy.

6 | DR. BERGFELD: Thank you.

7 | Dr. Moore?

8 | DR. MOORE: I have a question about the  
9 | thalidomide, or the Thalomid, STEPS program. I believe  
10 | that there is some method in there if the woman does say  
11 | that she's abstinent or she's chosen that as her method, to  
12 | agree to that, that she could still get the medication. I  
13 | wanted to clarify that with FDA.

14 | DR. WOODCOCK: That's correct.

15 | DR. MOORE: It seems to me to be a very  
16 | specific component of this that perhaps we're getting too  
17 | much into that.

18 | DR. WOODCOCK: When the STEPS program was  
19 | instituted, there were quite a few discussions about the  
20 | issue of autonomy and respect for persons, balancing that  
21 | against building in system's protections that would support  
22 | people in not making mistakes. You do have to balance  
23 | these two things: the principle of protection or whatever  
24 | versus autonomy. Certainly for people who are abstinent  
25 | and they practice abstinence, it's obviously a reasonable

1 | contraceptive decision, and that needs to be respected.  
2 | But you have to balance that in the case, as was said  
3 | earlier, about where abstinence may be a temporary and  
4 | fleeting phenomenon.

5 |                 Probably the availability now in this country  
6 | of emergency contraception recommendations really does help  
7 | in dealing with this.

8 |                 DR. BERGFELD: Dr. Kodish.

9 |                 DR. KODISH: Just to point out the subtle but  
10 | important difference between autonomy and respect for  
11 | persons. I think if you look at the ethics literature,  
12 | there's a movement away from autonomy as the gold standard  
13 | and toward respect for persons. It's a little bit more  
14 | broadly defined.

15 |                 DR. BERGFELD: Could you define it for us?

16 |                 DR. KODISH: I think that autonomy is a rights-  
17 | based way of thinking about the issues, and respect for  
18 | persons is perhaps more concerned with the holistic  
19 | approach or the integrity of that person. As a pediatric  
20 | ethicist, I certainly come down with respect for persons as  
21 | a much more helpful concept than a pure autonomy based  
22 | approach.

23 |                 DR. BERGFELD: Thank you.

24 |                 Any other comments?

25 |                 (No response.)

1 DR. BERGFELD: The question again is to look at  
2 the five different designs that the FDA has proposed and  
3 discuss one that you might support. Dr. Malone and then  
4 Dr. Miller.

5 DR. MALONE: I'm still wondering what kind of a  
6 burden restricting distribution to pharmacies gives  
7 patients.

8 DR. BERGFELD: Can anyone address that? The  
9 burden restriction of the pharmacy and how it might  
10 restrict a patient's availability to the drug. I gather  
11 that's what you meant.

12 DR. VEGA: The restriction to the pharmacies  
13 will include that the pharmacy is required to have some  
14 kind of documentation of their compliance in following the  
15 instructions when they're dealing with patients. So, they  
16 need to produce maybe some documentation that they are  
17 complying with the verification process before they  
18 dispense the drug.

19 Does that answer your question? So, there's no  
20 burden on patients. This is on the pharmacy.

21 DR. MALONE: Well, some patients live where  
22 there are only one or two pharmacies around and if neither  
23 one of those are enrolled in this program, it does become a  
24 burden on the patient. So, I'm wondering how many  
25 pharmacies enroll in these kind of these things and how

1 | hard it is to enroll.

2 |           DR. VEGA: That's an absolute correct concern,  
3 | and that's why we mentioned among the burdens and the  
4 | limitations of this specific design that it could reduce or  
5 | limit the access of Accutane to the patients.

6 |           DR. BERGFELD: Dr. Miller, then Dr. King.

7 |           DR. MILLER: We had this discussion the other  
8 | day about the autonomy. This was within the department  
9 | with the woman who said she would be abstinent and what  
10 | were we going to do about the contraception. To put it in  
11 | perspective for you, we're a department of 15. We have 8  
12 | faculty and we have 7 people in training. We have  
13 | celebrated the successes of Accutane over the years. We've  
14 | used a lot of it. We've adhered to guidelines and we've  
15 | not had any known catastrophes to date.

16 |           So, it is a very serious matter. My concern is  
17 | those 10 or 15 percent who don't take part in the program,  
18 | who don't read the literature, the literature that we've  
19 | been given. There's a lot of it. And people just don't  
20 | read it. If you're in a department in the middle of the  
21 | day and your Accutane patient comes in, after the first  
22 | visit, the question is, gee, what should I order today in  
23 | addition to the pregnancy test?

24 |           I think one of the things that would be truly  
25 | helpful would be a form from the company which the

1 physician would fill out as a checklist, or whatever, with  
2 each visit indicating not only the pregnancy tests and the  
3 contraception, but also the necessary laboratory tests. In  
4 that relationship between the physician and the patient, if  
5 a decision is made not to use contraception, the physician  
6 could so note it in that part of the permanent record.  
7 Those forms would be filled out with each visit, which  
8 would certainly I think give the company data.

9           The mandatory enrollment I think is essential,  
10 and I don't think that has to really invade the patient's  
11 privacy. I think that that can be done again between the  
12 physician and the patient and let the physician do the  
13 monitoring if there's a problem with the company's doing  
14 it.

15           How does the pharmacist know that this patient  
16 is complying, other than a written note from the physician?  
17 If the company had an official document or an official form  
18 that we filled out and then maybe a tear-off on the bottom  
19 and the physician would date that and say, all the  
20 guidelines are adhered to, it's okay to dispense the  
21 Accutane and I'm giving you the prescription for 30 more  
22 Accutane, or whatever the number is, that way the  
23 pharmacist would not get into, again, the invasion of the  
24 patient's privacy at the counter or whatever.

25           But I think this would make our lives much

1 | easier. Again, in the department, because there are so  
2 | many of us, people say, what lab tests or what should I do  
3 | today? What we did was we brain-stormed and we came up  
4 | with a list of things that we do. It's printed so that  
5 | everybody has it in front of him or herself, and this is  
6 | what I'm going to do on this visit for this Accutane  
7 | patient. But it would be good to have an official form for  
8 | each visit that we could keep in a file and that could be  
9 | used for subsequent data by the company.

10 | DR. BERGFELD: Dr. Miller, in that discussion I  
11 | was wondering which design that you actually were  
12 | supporting then, if I could focus you.

13 | DR. MILLER: I was supporting 5 with some  
14 | modification because I'm concerned about the role that the  
15 | pharmacist would play in questioning the patient. I don't  
16 | think that's necessary at all, but I do think the  
17 | pharmacist needs to be informed, as do nurses and other  
18 | people, about Accutane. But I think what happens is mainly  
19 | between the physician and the patient. So, it is 5 with  
20 | that modification.

21 | DR. BERGFELD: Thank you.

22 | Dr. King?

23 | DR. KING: Well, I was trying to get us to  
24 | answering the question. Everybody is talking around it.  
25 | So, I would like to be a centrist and say I would pick

1 design number 3 because it adds the element of registration  
2 and getting a numerator and denominator.

3 Also the pregnancy test I think would be quite  
4 helpful.

5 I think part of the goal of monitoring is that  
6 if at the end of a defined period, the design number 3 is  
7 not helpful, then you can move to 4 or 5 because it would  
8 be like escalation. If we've taken 18 years to get to this  
9 point, I think we could set a goal of a certain defined  
10 period to get a numerator and denominator and move from  
11 there because the next issue is more onerous and hard to  
12 implement. Then the step after that is not at all for  
13 females. So, I'd like to go for the centrist number 3.

14 DR. BERGFELD: Thank you.

15 Dr. Epps?

16 DR. EPPS: Yes. In looking at some of the  
17 options, we certainly don't want to be burdensome to the  
18 pharmacies as well. We've been using thalidomide as sort  
19 of a model, but I assure you that the number of female  
20 patients on Accutane far outnumbers the number of women on  
21 thalidomide. Just the burden to the pharmacies, not only  
22 the pharmacists, but are we interacting with a pharmacist  
23 or a pharmaceutical aid or a tech? There are a lot of  
24 changes going on in the pharmaceutical industry as well  
25 that may affect design 5 and implementation. It doesn't



1 mean it's not worthwhile but it may be difficult to do.

2 Some of the other designs. Roche's proposal,  
3 of course, is more minimal and least burdensome. However,  
4 complete participation would also be helpful.

5 DR. BERGFELD: Dr. Rosenberg.

6 DR. ROSENBERG: I'm just persuaded by the  
7 reasonableness of what everybody has said, even though  
8 there are two or three different numbers. They all sound  
9 reasonable. But I have a question and I'd like to ask the  
10 experts from birth defects and obstetrics and gynecology.  
11 All of the ones from 3 on, which seem to have the floor  
12 now, include pregnancy testing.

13 Never mind the details of getting it done and  
14 how it's done, whether it's done at home or whatever. And  
15 never mind that office visits seem to run on a monthly  
16 basis for some reason. If you had your choice of the  
17 frequency of pregnancy testing, I'd like to ask what you  
18 would think it might be and be useful?

19 DR. BERGFELD: Dr. Greene, do you want to  
20 respond?

21 DR. GREENE: Well, since the company does  
22 distribute pregnancy tests now, can I ask what the  
23 sensitivity is of your pregnancy test, approximately what  
24 serum HCG level would be detected by your urine test? Do  
25 you know?

1 MS. LEACH: I have a backup slide on that.

2 DR. GREENE: While they're cuing up that slide,  
3 as Dr. Lammer said, the risk of exposure prior to 15 days  
4 after fertilization is minimal. The human embryo implants  
5 approximately day 7 after fertilization, and a sensitive  
6 blood pregnancy test would generally become positive within  
7 7 days of implantation. So, I don't know how that compares  
8 to your urine test.

9 MS. LEACH: I don't think we're going to get  
10 the slide that I would like.

11 The company in its package insert has stated  
12 that this pregnancy test is sensitive enough to give you  
13 levels of HCG at 4 days. However, the sensitivity of this  
14 is guaranteed at 99.9 at 11 days.

15 DR. GREENE: And that would be 11 days after  
16 fertilization?

17 MS. LEACH: Yes, 11 days after fertilization.

18 DR. GREENE: So that would be roughly 4 days  
19 after implantation.

20 MS. LEACH: That's right.

21 DR. BERGFELD: Any other questions? Dr.  
22 Cragan?

23 DR. CRAGAN: That would still be within the  
24 period prior to expected embryonic effects. Right?

25 DR. BERGFELD: Dr. Lammer?

1 DR. LAMMER: Yes. Despite the fact that the  
2 drug's half-life means that after you stop the last dose,  
3 some level falling levels are persisting. People typically  
4 use the five half-lives. Our observation is that if you  
5 stop within 15 days, we've not found problems among those  
6 babies even though undoubtedly there's definitely carryover  
7 for a couple of days for people who stop around the 14th  
8 day or so.

9 DR. GREENE: But 15 days, in essence, would  
10 still be blastocysts. Neurulation doesn't begin until 19  
11 days.

12 DR. LAMMER: Yes, I agree.

13 DR. BERGFELD: Well, for us who are not  
14 developmental people, that's a good piece of information.

15 Yes, Dr. Mills.

16 DR. MILLS: An answer for some of the people  
17 about a good time to test if the women have regular cycles  
18 would be if they are late with their expected menses. A  
19 day or two after expected menses would be a great time for  
20 testing. It just wouldn't deal with the entire problem  
21 because not all women have regular cycles.

22 DR. GREENE: And I would add that the incidence  
23 of acne is higher among women who do not have regular  
24 cycles.

25 DR. ROSENBERG: What I was driving at is