

1 So extrapolating to other disease
2 conditions on the mechanistic basis is feasible, and
3 I think I was alluding to that. You probably develop
4 that mechanistic support through mechanistic studies.

5 ACTING CHAIRMAN DRAKE: Okay. In the
6 interest of time, I'm going to keep trying to track
7 through the questions. Dr. Lim and then -- I have Dr.
8 Lim, Lamborn and Jordan. Dr. Lim.

9 DR. LIM: My question is for Dr. Shah. My
10 concern has been voiced, as many of my colleagues. As
11 clinicians we do treat patients with skin diseases in
12 which there is no normal stratum corneum.

13 I'd like Dr. Shah to expand for us, if
14 this DPK method is to go forward, would this replace
15 clinical trials with this? Then if it does, how does
16 one correlate the efficacy of that medication, the
17 topical treatment, in terms of treating the various
18 skin diseases in which there is no normal stratum
19 corneum?

20 ACTING CHAIRMAN DRAKE: Dr. Shah?

21 DR. SHAH: I think this question has been
22 addressed from the earlier discussions we had. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 goes back to the same standard thing, that for all the
2 older products we do the bioequivalency study in
3 healthy subjects, same way we are doing the
4 bioequivalency using the DPK in the most ridiculous
5 area which is the healthy stratum corneum. If it is
6 equivalent, it is assumed that under the diseased
7 stratum corneum it will be the same.

8 This is the same principle now we are
9 using it for the approval of the topical
10 glucocorticoids. What are we doing? We are measuring
11 the pharmacodynamic response. Where? On the healthy
12 subjects. That has been the situation for the last so
13 many years.

14 So we are doing it in a similar manner.
15 We are not trying to come up with something new.

16 ACTING CHAIRMAN DRAKE: Dr. Lamborn, and
17 then Dr. Jordan.

18 DR. LAMBORN: This goes back to my earlier
19 question. I just would like a clarification. You
20 stated that you propose to substitute the DPK for the
21 clinical, and I know that one of the objects of these
22 is to say that the intent is to reduce the burden on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 industry, but now this is not a case of necessarily
2 reducing burden if you require a substitution.

3 Could you clarify why you wish to make the
4 DPK a requirement rather than an alternative to a
5 clinical demonstration of bioequivalence?

6 DR. HUSSAIN: I think the understanding
7 here is I think for bioequivalence you have a variety
8 of different methods available to you. DPK will be
9 one of those methods.

10 DR. LAMBORN: But that's the question I
11 specifically asked earlier, and I was told that it was
12 not going to be an option to do a clinical, that it
13 was going to be only the choice to do a DPK.

14 So could you clarify that?

15 DR. HUSSAIN: No. I think, with respect
16 to bioequivalence, you always have different methods
17 available to you, and this or any other method that
18 will come about would be one of those options, and the
19 company might obviously choose to use that or may
20 prefer to use a different method.

21 DR. LAMBORN: I think that's an important
22 distinction based on the earlier question, which was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 if this was overly sensitive to what was felt to be
2 not meaningful clinical differences. So we are now
3 saying that it would be an option and not the only
4 choice.

5 DR. SHAH: Well, we'll take your point
6 into consideration and discuss it as to which way we
7 are going to be leading into, because normally for the
8 bioequivalency we have a method that we provide. So--

9 ACTING CHAIRMAN DRAKE: We're going to get
10 into -- I say this. We'll get into this in
11 discussion. I understand your point, and I think it
12 needs to be discussed thoroughly. I think we are
13 getting a sense that some of the questions aren't
14 being addressed as specifically as we might like.

15 So I would ask -- Dr. Shah, I would ask
16 you and Dr. Hussain, the committee, I think, is
17 expressing through their questions some concerns,
18 legitimately so. The more specific you could be with
19 your answers, I think the more helpful we can be in
20 return.

21 So if you could help focus the answer
22 specifically, that would be very helpful. Dr. Jordan?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. JORDAN: My question is for Dr. Shah
2 as well, and probably concerns the standardization of
3 this test that was to be used, and I will stay away
4 from the diseased skin. I think we will get into that
5 later.

6 What about different skin types? If we
7 look around the room, there's a variety of different
8 skin types that are represented here, Type 1, Type 2,
9 so on. I've got Type 1 which is the atopic burn-type
10 skin. You certainly have a different texture to your
11 skin than I have.

12 Have studies been done comparing different
13 skin types and, if a standardized test is applied to
14 those situations, are they equivalent?

15 DR. SHAH: Studies have been done, and
16 with respect with the bioequivalency the advantage is
17 we do the test in the reference product in the same
18 subject at the same time. So whatever value we get
19 should be the test, and the reference would be the
20 same, and it will take care of the different types of
21 the subjects that are involved.

22 ACTING CHAIRMAN DRAKE: Dr. Tang?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TANG: Just to make sure, the intent
2 is if you have DPK, it will be considered adequate if
3 it works in a future NDA?

4 DR. SHAH: Sorry.

5 ACTING CHAIRMAN DRAKE: Dr. Tang, could
6 you put that in the form of a question for us?

7 DR. TANG: If you have the DPK data, you
8 can show equivalence. Was that being considered
9 adequate, is an option. Right? You said it's an
10 option. Would it be adequate without a clinical
11 trial?

12 DR. SHAH: For new drug applications?

13 DR. TANG: To license the product.

14 DR. SHAH: No. For new drug or the
15 abbreviated new drug?

16 ACTING CHAIRMAN DRAKE: Why don't you do
17 for both, for either new drug or --

18 DR. SHAH: Well, I cannot answer it for
19 the new drug. That will be with Dr. Wilkin to answer
20 that.

21 ACTING CHAIRMAN DRAKE: Fine. Then answer
22 for your part.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SHAH: My question is, yes, it will be
2 adequate for a generic drug product.

3 ACTING CHAIRMAN DRAKE: So you think it
4 would be adequate without a clinical trial, is your
5 proposition?

6 DR. SHAH: Yes.

7 ACTING CHAIRMAN DRAKE: Okay, thank you.
8 That answers that question. Other questions? Joel?

9 DR. MINDEL: Along the same lines,
10 bioavailability of oral products can be tested on a
11 batch basis. One of the failings of the present
12 system is that, once a drug product is approved for
13 topical use, the manufacturer can change the ointment
14 and doesn't have to have, as I understand it --
15 doesn't have to report it and doesn't have to undergo
16 testing again. Is that so?

17 DR. SHAH? No, that's incorrect.

18 ACTING CHAIRMAN DRAKE: Would you tell us
19 what is the case?

20 DR. HUSSAIN: The post-approval changes
21 have to be done and reported in accordance to several
22 guidances, especially for topical products. We have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a guidance called "Scale-up and Post-Approval Changes
2 for Semi-Solids." So changes cannot occur
3 arbitrarily, and each change has to be justified, and
4 there are different ways of justifying, depending on
5 the magnitude of the change.

6 DR. MINDEL: Well, let me then say I'm
7 glad that is clarified. I don't know what exactly
8 those changes are, but would this test be used on a
9 per batch test, the way -- In other words, the
10 original manufacturer runs another lot. Would it be
11 expected that this is now going to be -- since this is
12 an objective test, that it's going to be used every
13 batch?

14 DR. HUSSAIN: Bioequivalence methods are
15 generally -- they are not quality control tests.
16 Batch to batch differences or acceptability, you have
17 in-process controls and release testing, which will be
18 chemistry tests that will be done for batch to batch.

19 For bioequivalence assessment, we
20 generally will take one lot of innovator, compare it
21 to one lot of the test material. That could be a
22 generic material. So --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN DRAKE: Does that answer
2 your question? While you are thinking, Dr. Abel has
3 a question.

4 DR. ABEL: Well, relating to that same
5 issue, we've been advised that that is one of the
6 problems between generics and the reference drug, is
7 the great variability from lot to lot, so that there
8 is more of a standardization and uniform quality
9 control with the reference drug, and the generics can
10 vary quite a bit.

11 So if you are only testing one lot or
12 batch of a generic, then maybe that is not sufficient
13 data.

14 DR. DR. HUSSAIN: Quality control aspects
15 of generic and innovator -- they are the same
16 standards. The standards for quality do not change
17 between innovator and generics.

18 ACTING CHAIRMAN DRAKE: Do you want a
19 follow-up question?

20 DR. ABEL: I don't know. I don't have
21 that data. Perhaps the FDA could clarify that or
22 pharmacologist.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HUSSAIN: The standards required are
2 the same. So the quality control procedures, the test
3 methods that one would use would be of the same
4 standards, and eventually the products become -- you
5 have pharmacopeias which define common standards also.

6 DR. ABEL: Well, perhaps one of my
7 colleagues could help me. I think there have been
8 articles written in the dermatologic literature
9 regarding differences in generics and reference drugs.

10 DR. STERN: But those aren't differences
11 in the same product over time. I agree, there are
12 standards -- you know, the USP standards and other
13 standards are applied, once something is approved.

14 So what we are all getting to is the
15 difference between the innovator compound and possible
16 generic equivalents, and there is a big literature on
17 that of varying quality. But I think the rules are
18 the same once you are approved, whether it is under an
19 NDA or ANDA, but manufacturing processes, all these
20 kinds of things -- that's all the same for everybody,
21 once you are in the door.

22 So the variability within a product is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 similarly regulated. What we are looking at here is
2 really between products, innovator and another one.
3 But over time, once a product is approved, the
4 variability in what is going on there should be -- The
5 rules are the same. Isn't that correct?

6 DR. ABEL: Thank you.

7 ACTING CHAIRMAN DRAKE: I don't have
8 anybody else that I have noted that has a specific
9 question before we begin the discussion. Are there
10 any -- Anybody I have overlooked on questions?

11 All right. Now we will begin the
12 discussion, and the discussion is wide ranging. I
13 give you leeway to express opinions, ask further
14 questions, and generally just be yourselves. Dr.
15 Stern -- On second thought, Rob, I may just correct
16 that. Just give me a little hand signal. I put you
17 on my list, and I call you in the order that I spot
18 you. So try to make sure that I catch your eye.

19 I just want to point out, and mindful on
20 the time, we will take a brief recess. I'm going to
21 shorten it to just ten minutes, because we do have to
22 -- I want to make sure we make available to the public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comment at 11:15, and I have the times down, and I
2 will hold the public comment to time, and I want to
3 make sure that we at this table allow the courtesy for
4 everyone at the table to have a comment.

5 So please try to keep your comments
6 pertinent and concise so that we get the -- the FDA
7 gets the benefit of the whole committee's opinions or
8 comments or concerns.

9 All right. So I've got Stern, Lim and --
10 is it Venitz. All right.

11 DR. STERN: Well, I have a number of
12 concerns. One is: In all of this, as in my question,
13 we are dealing with -- principally with diseased skin.
14 If this discussion was only about the equivalent for
15 stratum corneum active compounds such as emollients,
16 I would say this is terrific, but we are dealing
17 diseased skin where, in fact, the stratum corneum, if
18 present at all, its penetration characteristics vary
19 over time as the disease heals and, further, things
20 that may not affect with a single application the
21 characteristics of the stratum corneum or, in fact,
22 inflammation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So for example, the analogy -- I was
2 trying to think of an analogy with oral drugs. I
3 think, if there was an oral drug that was used to
4 treat gastritis and was only biologically equivalent
5 if taken with two shots of rye whiskey, with one
6 single application of that, two shots of rye whiskey
7 probably don't change your gastritis very much, and
8 the drug might be biologically equivalently available.
9 But I doubt the FDA would look on that product as a
10 good drug, a biologically equivalent drug for another
11 anti-gastritis product that had systemic effect if you
12 had to keep on taking those two shots of rye whiskey
13 every day.

14 We, in fact, have various vehicles in
15 terms of inflammatory dermatosis that may, in fact, be
16 irritants, especially in diseased skin. I don't see
17 that single applications and subsequent tape stripping
18 really get to what I would call both the safety aspect
19 and the unintended effects of the incipients on either
20 penetration with long term application or, in fact,
21 the inducing of inflammation and side effects.

22 So, to me, if you are looking at something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 -- If you want to measure something that the clinical
2 effect is likely to be a number of weeks, you want to
3 know what the safety profile, what the unintended
4 effects that might both lead to a side effect, and
5 perhaps also change what's going on.

6 You know, we've spent what I found an
7 interesting day yesterday talking about a product that
8 my inferences are that its biologic effects when
9 applied topically on normal skin or skin with enhanced
10 stratum corneum are virtually immeasurable. This is
11 Protopic.

12 My understanding is when they tried it on
13 psoriasis where you have an enhanced stratum corneum,
14 not a heck of a lot happened, and I thought we saw
15 very persuasive evidence that in diseased skin with an
16 altered stratum corneum there were substantial
17 clinical effects.

18 So I really have real concerns about
19 agents that are utilized for many of our diseases
20 where either the epidermis is not the primary target,
21 a follicle or something else, or in fact, they are
22 used in conditions with an altered stratum corneum.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I guess those are my two biggest concerns.
2 The other one, I must admit, is completely a bias. I
3 see here that we are trying to validate or discuss the
4 validation of a methodology that's been around for a
5 dozen or 15 years which, to me, as has sort of been
6 implied, is really perhaps not where measurement
7 sciences are now.

8 I think one should look at it in terms of
9 where the science has moved in terms of the ease of
10 measurement, and secondarily, the elegance of
11 measurement.

12 Why is this important to me? I think, as
13 Dr. Wilkin implied, if we had a noninvasive measure
14 where for drugs that are effective for skin disease
15 where we could measure equivalence in both the entire
16 epidermis and the dermis over time as they are applied
17 noninvasively, that to me would be very persuasive.

18 Instead, here we are having something that
19 I actually fooled around with for years. Twenty-five
20 years ago, Irv Blank at our institution was very much
21 into stratum corneum and percutaneous penetration, and
22 it didn't seem very elegant when I was less than 30,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and it seems even less elegant with the changes in
2 science when I'm over 50.

3 So I really have a problem with endorsing
4 something that, if we endorse it, is going to take at
5 least ten or 12 years to replace when all sorts of
6 measurement are moving so rapidly. So I don't want to
7 put yesterday's science in, even if all these other
8 issues are addressed.

9 Then I just want to make one final
10 comment. I always thought a consensus document was
11 something you had to sign before they would let you go
12 to your airplane.

13 ACTING CHAIRMAN DRAKE: Dr. Lim?

14 DR. LIM: This discussion reminds me quite
15 a bit about the discussion that we had many times,
16 actually, with sunscreen. There are very good
17 multiple studies on sunscreen that have been done --
18 that has been done, using in vitro method as well as
19 in vivo method. I think the conclusion generally is
20 that the in vitro method is helpful, but in order for
21 the medication, in this particular case the agent
22 sunscreen, to be appropriately evaluated, it should be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 done in human beings.

2 The part that I have concern about is that
3 again -- it has been expressed previously in various
4 questions and discussions before -- that we are
5 treating diseased skin. I am not comfortable
6 replacing the clinical trials even for an equivalent
7 product in the diseased skin condition by a one-time
8 in vitro measurement.

9 ACTING CHAIRMAN DRAKE: Dr. Venitz.

10 DR. VENITZ: Yes. I would like to comment
11 that in my mind the discussion that we are having or
12 at the heart of the very discussion that we are having
13 is the different perspective that biopharmaceutical
14 scientists and clinical scientists have on how those
15 drugs work, and I find it interesting as a member of
16 the Pharmaceutical Sciences Advisory Committee that I
17 end up sitting with all those clinicians. I think in
18 the final analysis, I am probably going to agree with
19 those clinicians, even though I am representing
20 biopharmaceutical sciences.

21 In my mind, DPK is really a surrogate of
22 drug release, and it's a sophisticated release test.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We just use human bodies or human skins as opposed to
2 doing it in vitro.

3 Apparently, there is a very precise way of
4 measuring drug release. The clinical meaning of it,
5 though, is questionable, to say the least. I think I
6 would agree with what Dr. Wilkin was saying. Based on
7 first principle, we won't be able to explain any
8 mechanistic or any mechanism of action for any drug
9 that can be caused by some kind of a DPK profile,
10 because of their different mechanisms of actions,
11 their different targets.

12 I am also less optimistic than Dr. Hussain
13 that we'll be able to use empirical data to justify
14 the use for every single drug, unless you literally
15 wanted to do some kind of validation for every single
16 active ingredient. Then you are defeating the purpose
17 of the whole thing, which is to relieve the burden,
18 the regulatory burden.

19 Finally, I do see some use, at least on a,
20 I guess, probationary period, for this three-arm
21 design that Dr. Wilkin favored where at least you have
22 some idea whether you have a suitable test where you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 compare not only a bioequivalent but also
2 bioinequivalent product with your test product.

3 My main concern, though, is that we are
4 measuring something very precisely, but we really
5 don't know what it means for most drugs in clinical
6 use.

7 ACTING CHAIRMAN DRAKE: Okay. Dr.
8 DiGiovanni, I had you next.

9 DR. DiGIOVANNI: I have a number of
10 concerns, but I am not going to express them, because
11 they have been -- Most of them have been more
12 eloquently expressed. But one issue I don't think
13 that's been addressed in great detail that I am
14 concerned about is that the equivalent in content is
15 not the equivalent in quality and in product,
16 particularly when one refers to vehicles.

17 There may be two preparations, one of
18 which is a proprietary preparation. It may be
19 prepared in ways that are not publicly available and
20 has been extensively tested and while another product
21 may have the identical chemical composition, it
22 doesn't have the identical physicochemical properties

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and ability to release the drug to wherever it has to
2 go.

3 I think that that is an issue that has not
4 been at all addressed with respect to stratum
5 corneokinetics and ability of that to predict clinical
6 efficacy.

7 ACTING CHAIRMAN DRAKE: Do I have other --
8 I can't believe my committee, all of a sudden, is
9 quiet. I don't have any -- Okay, Dr. Tang.

10 DR. TANG: I think the key issue we have
11 discussed so far is really whether DPK is
12 generalizable, whether you can generalize these
13 results. I have no doubt that indeed the case is
14 going to be very precise, but how this is linked to
15 the clinical efficacy is unknown.

16 So I think there must be a finite number
17 of disease types in a skin disease. So the question
18 is whether to decide whether you are going to go with
19 DPK so you can study. It is possible -- It is
20 feasible to study more different types of skin. Then
21 the study has to be the -- The DPK has to be validated
22 for each type.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If you can do that, then there is hope.
2 If you cannot do that, I think it is very hard to make
3 the quantum leap from DPK to a specific disease type.

4 ACTING CHAIRMAN DRAKE: Dr. Abel?

5 DR. ABEL: I agree with all of the
6 previous speakers who have expressed the view that the
7 DPK is accurate, but not necessarily relevant in the
8 clinical setting.

9 A follow-up to the last comment, it would
10 be very interesting to know -- have more information
11 on DPK in different disease states, because not only
12 are there disorders where there's absence of stratum
13 corneum, but on the other side of the pole there is
14 thick, lichenified, chronic eczematous disorders where
15 there is very thick stratum corneum.

16 So we should look at diseases where there
17 is acute inflammation, acute eczematous dermatitis,
18 for example, and on the other pole, the chronic
19 lichenified, thick, scaly conditions.

20 ACTING CHAIRMAN DRAKE: Okay. I have
21 Lamborn, Mindel and King so far.

22 DR. LAMBORN: Actually, I have a question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The issue of skin types keeps coming up, and not being
2 routinely on the dermatology committee, I would be
3 interested in knowing, for the current bioequivalence
4 methodology which is a clinical one, what adjustment
5 is made in terms of assurance that, in fact, you have
6 bioequivalence on the multiple skin types or how is
7 that beyond simply the same rule of you are looking at
8 it within the same individual?

9 ACTING CHAIRMAN DRAKE: Okay. Dr. Jordan
10 just commented he had exactly the same question, and
11 Dr. Wilkin, would you like to -- I don't know who is
12 the most appropriate person to take a shot at
13 answering that question.

14 DR. WILKIN: Well, for the new drugs we
15 are not looking at bioequivalence type questions, but
16 what we do -- What the innovator pharmaceutical
17 companies do in their Phase III clinical trials is
18 they take all comers. We encourage a wide demographic
19 representation.

20 We clearly want minorities to be part of
21 the Phase III. So for the new drug products, I think
22 we have very good information in terms of the clinical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assessment, the efficacy and safety studies.

2 I think what the question, though, was,
3 was how does that happen then when generic companies
4 do their clinical trials for topical products? I
5 would have to defer to the Office of Generic Drugs for
6 that.

7 ACTING CHAIRMAN DRAKE: Okay. Dr. Shah or
8 Dr. Hussain, one of you want to --

9 DR. SHAH: I would like to keep one thing
10 for the committee members to think about. There is a
11 clear difference between the bioavailability and the
12 bioequivalence.

13 When we are talking about bioequivalence,
14 we are talking about the same type of formulation,
15 which means it has qualitatively and quantitatively
16 the same ingredients. So some of the discussions
17 which came about the different ingredients going
18 through or affecting it -- It's out. It is not
19 development when we are talking about a
20 bioequivalence.

21 The only difference comes up in the nature
22 of manufacturing, how they are manufactured, using the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 same components. That, we can very easily detect
2 using the in vitro drug release profiles.

3 So I will appreciate if the committee gets
4 these two points slightly clear and different when we
5 are talking about all these skin types, skin diseases,
6 this, that and all, between the bioavailability and
7 the bioequivalence; because whatever happens with the
8 one formulation, the same thing should be happening
9 with the other formulation when we are taking a look
10 into the same formulations made by two different
11 companies.

12 DR. LAMBORN: But that does not address my
13 question. My specific question is: In bioequivalence
14 studies, approximately how many individuals would be
15 involved, and what is done to look at the issue about
16 whether there is any difference, depending on skin
17 type?

18 DR. SHAH: The study will take anywhere
19 between 36 to 48 subjects for the bioequivalency, and
20 I think that randomly picked up the subjects.

21 DR. HUSSAIN: For clinical trials, for
22 bioequivalence clinical trials -- I think that is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question here.

2 DR. LAMBORN: Yes, bioequivalence -- to
3 prove bioequivalence by clinical trials is the
4 question.

5 DR. HUSSAIN: That's an excellent
6 question, I think. The issue of skin types, disease
7 and so forth, even in big clinical trials, I don't
8 think we cover all bases when we approve safety. It
9 is difficult to do that, I think. But when do
10 bioequivalence based on clinical trials, there is an
11 attempt to try to do that, but how far is successful
12 is difficult to say.

13 DR. LAMBORN: Because I know historically
14 in bioequivalence one of the issues was that they
15 tended to use -- you know, I'm talking about oral
16 dosages now -- there was a tendency to use all males
17 in a certain category, and I was wondering whether we
18 had the same situation in the clinical trials for --
19 historically, for clinical trials with bioequivalence
20 for dermatology products, whether there is a tendency
21 to look for consistency and that they went for a
22 certain type.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You are saying it tends to be random?

2 DR. HUSSAIN: Before answering that, if
3 you permit me, I haven't dealt with that issue. I may
4 wish to consult later on with somebody who has and
5 answer that at that point. So --

6 ACTING CHAIRMAN DRAKE: We are getting
7 very close to time. So I have Dr. Mindel, Dr. King
8 and Dr. Lim, and I will stop the discussion for a
9 quick break to go to the public comment, and then we
10 will take up some issues. Tom, did you have -- Dr.
11 Wilkin?

12 DR. WILKIN: Well, actually, I could
13 comment later. I was going to -- because it may not
14 be all wrapped in my response.

15 The Q1 and Q2 -- Perhaps after the break
16 I could come back to some thinking.

17 ACTING CHAIRMAN DRAKE: I think this is
18 important while everybody is thinking on it. So go
19 ahead. I might put off these three comments until
20 right after the break and then move to the public.

21 Is anybody in the public comment section
22 that that would present a problem to, if we move the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 public comment five minutes? Okay, then we are in
2 good shape.

3 Dr. Wilkin, would you go ahead, and then
4 I'll take up these other three right after the break.

5 DR. WILKIN: Again for those who do not
6 think about Q1 and Q2 all the time, the Q1 is
7 qualitative. It means the list of inactive
8 ingredients is the same for the innovator and the
9 generic product.

10 Q2 means that there is a quantitative
11 similarity. It doesn't have to be exactly on the
12 money. It can be five percent or at times it's been
13 suggested to have a ten percent excursion, but it's
14 close.

15 If you think about topical products -- and
16 let's think about at the very beginning the simplest
17 phasic kind of structure. Let's think of a topical
18 product that is a complete solution. It might have an
19 active and multiple inactive ingredients, but they are
20 all in solution, all in one phase.

21 Q1 and Q2 is enormously powerful in
22 understanding and predicting what the attributes of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that particular product are going to be, because it
2 really does not matter the manufacturing.

3 A simple example would be a salt solution.
4 If you take your beaker, if you put the salt in first
5 or you put the water in first, it really doesn't
6 matter the order, whether you heat it, cool it, that
7 sort of thing. In the end when it's sitting there at
8 room temperature in solution, how you got to that
9 solution is -- it's manufacturing insensitive. Okay?

10 Now for the kinds of products that we are
11 talking about, these semi-solid sorts of things, they
12 are not simple solutions. The active agent may be in
13 solution, but typically these are at least two-phase
14 or multi-phasic kinds of structures.

15 There may be a continuous phase, and that
16 may be where the active is or there may be a
17 discontinuous phase. It might be in both. My
18 thinking there is actually that Q1 and Q2 do not
19 adequately describe the product at the end of
20 manufacturing.

21 I think of this as the -- I call it the
22 Duncan Hines theorem. If you think about cake mixes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you know, you can go to the store. You can get your
2 three eggs. You can get your cup of milk and all the
3 sorts of things, and everyone across the country, when
4 they are using that cake mix, they are starting out
5 the same. But some people forget to preheat the oven.
6 Some people move it to the wrong place on the dial.
7 Some people leave it in longer than others.

8 The quality of the material that comes out
9 -- It still has the same chemicals in it and the same
10 flavor and probably the same calorie content,
11 unfortunately, but at the end of the day it can be --
12 the physical properties can be very different.

13 That's the concern about Q1 and Q2
14 underdetermines the physical attributes of these kinds
15 of products which may be manufactured in somewhat
16 different ways. I mean, I've heard of one example
17 where, instead of using the cooling coils, they didn't
18 have them on. So things cooled to room temperature,
19 and they ended up with a very different feel to the
20 topical product.

21 So I think there are limits to what Q1 and
22 Q2 can tell us about these products. I just wanted to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 add that.

2 ACTING CHAIRMAN DRAKE: What I am going to
3 do is we are going to take a very quick break. I am
4 going to ask -- What time do you have, Mr. Henriquez?
5 You are going to be our timekeeper. We have about
6 eight minutes past. I would still like to aim toward
7 reconvening at 11:15. So can everybody hurry, to the
8 best of your ability.

9 (Whereupon, the foregoing matter went off
10 the record at 11:05 a.m. and went back on the record
11 at 11:20 a.m.)

12 ACTING CHAIRMAN DRAKE: Would everybody
13 please reconvene. I'm reconvening the session
14 effective immediately.

15 The next person I'm going to call upon
16 right quick is -- and I'm going to ask us to be quick,
17 because we are about out of time here -- Dr. Mindel,
18 you had a quick question, and I've got three quick
19 questions, Mindel, King and Lim. Then we are going to
20 move right into the public comment phase.

21 DR. MINDEL: My question has to do with
22 the noninvasible aspect of the assay which, when you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 start getting down to, I guess, the seventh and eighth
2 strips, can be pretty uncomfortable.

3 There are some pediatric preparations,
4 dermatologic preparations. How would they be handled
5 with this type of assay?

6 ACTING CHAIRMAN DRAKE: Do you have a
7 comment, Dr. Hussain?

8 DR. HUSSAIN: I was hoping Vinod was here
9 to answer.

10 ACTING CHAIRMAN DRAKE: I'm sorry. He is
11 not here, and I'm pressing on.

12 DR. HUSSAIN: All right. Well, generally,
13 I think, for bioequivalence we do it in normal,
14 healthy human volunteers. So that would be on healthy
15 human volunteers. So not on pediatric.

16 ACTING CHAIRMAN DRAKE: Okay, but I think
17 that is a legitimate concern of the committee, is the
18 age. We've heard that before. It's another issue.
19 Dr. King?

20 DR. KING: This is, hopefully, a short
21 comment. I remain unconvinced that this methodology
22 is useful other than comparing generics versus the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reference drug or those coming off patent. So I am
2 not going to go any further to say my comments. I
3 don't think it's feasible under Dr. Wilkin's first
4 principles at this point.

5 ACTING CHAIRMAN DRAKE: And Dr. Lim? Dr.
6 Tang, I mean. I'm sorry. Not Lim, Dr. Tang.

7 DR. LIM: Dr. Lamborn was asking about
8 what is currently being done for the bioequivalency
9 study.

10 ACTING CHAIRMAN DRAKE: Quickly.

11 DR. HUSSAIN: Quickly, this would be sort
12 of a crossover, and each subject becomes its own
13 control. But I do wish to request, Madam Chairperson,
14 a five-minute time, if we could answer the question I
15 deferred and have Dale Conner answer that.

16 ACTING CHAIRMAN DRAKE: I am going to let
17 you have it, but I want to go to the public comment
18 section first, since they have been very indulgent
19 with letting us run late. I do plan to adjourn at
20 12:30. I have already got committee members who have
21 made plane reservations and what-not based upon that
22 assumption. So I would like my committee to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thinking about -- although there is no vote, I
2 certainly having some recommendations might be
3 helpful.

4 I am going to now call upon the first
5 public comment, and we will keep -- I will call time.
6 Dr. Spear for Spear Pharmaceuticals, which is listed
7 here as generic R&D, asked for ten minutes. You shall
8 have ten minutes, and then I'll call time, and I don't
9 mean to be rigid, but we are just about out of time
10 here, and I wanted to make sure everybody gets heard.
11 Thank you, Dr. Spear.

12 DR. SPEAR: Thank you for the opportunity
13 to speak. Is the mike on?

14 ACTING CHAIRMAN DRAKE: Yes.

15 DR. SPEAR: As you can see, I'm a
16 dermatologist, and also I've been involved in the
17 generic industry for the last five years, and I am
18 from Fort Myers, Florida. Now that's not Palm Beach
19 County. So please don't -- you know.

20 Some of the generic industry claim that
21 the draft guidance must be accepted, because generics
22 cannot be approved in any other way. I am here to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 report that clinical studies can show bioequivalence,
2 and we have performed acne studies to prove
3 bioequivalence to our Tretinoin products.

4 For anti-acne drugs, which act in the
5 pilosebaceous unit, one can never be certain that
6 stripping the stratum corneum layers ever is better
7 than a clinical study.

8 The gold standard, double-blind placebo
9 controlled acne bioequivalence studies can be done
10 with reasonable cost. Our generics are Q1 and Q2, and
11 additionally, as has been discussed here, we take a
12 lot of time and constraints to make sure that as
13 manufactured they have the same physiochemical
14 parameters or viscosity.

15 We have filed three acne clinical trials
16 showing bioequivalence to the originator. Here is an
17 example of one of our clinical studies for the highest
18 strength of 0.1 percent. You can see the improvement
19 over 12 weeks in 398 patients.

20 Another way to look at this is for the 0.1
21 percent improvement acne at 12 weeks is approximately
22 71 percent in a 400 patient trial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We did another trial on 0.025 percent.
2 About 52 to 53 percent improvement. We have also
3 studied the Retin-A gel versus our Tretinoin gel, and
4 around 56 percent improvement.

5 We received approval of the middle 0.05
6 percent cream by clinical bracketing of the studies
7 and in vitro release. We also -- Since we showed
8 bioequivalence in the 0.025 percent gel, we asked for
9 a waiver of another acne study with some supporting
10 data.

11 Now remember, the 0.01 percent gel only
12 differs from the 0.025 by the concentration of active
13 ingredient. In vitro release studies cannot easily be
14 done on gels. So we had a meeting at the Office of
15 Generic Drugs, and I would just like to provide you
16 with this information.

17 For both the .025 percent and .01 gel
18 strengths, we provided TEWL, which is transepidermal
19 water loss, and that is in vivo. Our data showed
20 equivalence of our products and originator.

21 Also in the same lab, TEWL showed
22 differences between different formulations with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 same active ingredient.

2 We also provided in vitro cadaver skin
3 studies and, as has been mentioned in this committee,
4 this is some of the newer technology, and cadaver
5 skin, I think, is actually somewhat better. We showed
6 equivalence of our products and the originator.

7 Also in vitro cadaver skin can show
8 differences of the products with the same active and
9 different inactives, and you can look at the patent
10 for Avita Gel where they showed these studies.

11 There is also a clinical correlation. The
12 Avita gel in clinical studies wa not bioequivalent to
13 Retin-A gel and did not get an AB rating.

14 Even though the data was convincing, the
15 agency felt, regulatory speaking, that they could not
16 accept this. Therefore, we have done another 400
17 patient acne study on the lowest strength, and results
18 are being tabulated. So far, they show
19 bioequivalence.

20 Therefore, in total, we have done four
21 acne bioequivalence studies, both of the creams and
22 both the gels. We have set the standard for approval

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of tretinoin, and I am saying this can be done. I'm
2 not that big of a company.

3 Spears' experience with the Office of
4 Generic Drugs has been very positive. OGD staff have
5 been willing to help, accessible, and genuinely
6 motivated to help bring high quality generics to the
7 public.

8 Let's talk about the controversies. I'm
9 going to go quickly through this, for this has already
10 been discussed well.

11 One can show equivalence between a
12 proposed generic and the originator by squeezing it
13 out of the tube and comparing the concentration of
14 active ingredient. Then you would say it's
15 equivalent. But there is more to it than that.

16 Similarly, if you place it on the skin and
17 strip it off and measure the cream on the tape, one
18 can show that it is the same concentration, I have no
19 doubt. But the issue is can you show with tape
20 stripping differences between tretinoin products with
21 the same active and different inactives? I think this
22 is what is going to be discussed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Also we have talked about the effect of
2 diseased skin. In dermatology we are dealing with
3 diseased skin. Don't forget, we are talking about
4 acne, psoriasis or eczema where the normal stratum
5 corneum is disturbed.

6 It is a leap of faith to say that how it
7 behaves on the inner arm of normal skin is how it is
8 going to behave in the diseases that we are treating.
9 I'm really concerned that this would be the only
10 method that we can show, and that we would drop away
11 and can't use clinical studies. That is very, very
12 concerning to me, to bring generics to people.

13 The draft guidance admits that for anti-
14 acne drugs, those targets are deeper. The draft
15 guidance tries to make the case that what is happening
16 here happens in the deeper glands. It is still a leap
17 of faith.

18 Summary of my position: Acne
19 bioequivalence studies and other clinical trials can
20 be done without excessive expense to the generic
21 industry.

22 Skin striping may make sense for anti-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 virals and anti-fungals. When I made this slide, I
2 didn't think about all the stratum corneum effect on
3 the anti-virals in the vaginals. So I might even move
4 the anti-virals out of there.

5 Embracing skin stripping as a surrogate
6 for anti-acne and corticosteroid products will always
7 be suspect, since they do not act in the stratum
8 corneum.

9 Cadaver skin, which is another technology,
10 may be a better DPK marker, and that should be looked
11 at.

12 There is also a potential negative effect
13 here on this guidance. The intent of this guidance is
14 to bring more generics to the public, but this
15 guidance could backfire and hurt the reputation of
16 generics, which has really been a hard fought and
17 gradually earned reputation.

18 Brand companies will be easy to go into
19 the dermatologist's office and just slam generics by
20 saying skin stripping is not good science, and
21 products approved in this way are suspect. At the end
22 of the day, we do not want generic drugs' reputation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 tarnished.

2 Thank you very much.

3 ACTING CHAIRMAN DRAKE: Thank you, Dr.
4 Spear. You know, you're a wonderful human being. You
5 saved me several minutes, and I appreciate your
6 excellent presentation. That was very, very well
7 done. Thank you.

8 Dr. Pershing, I believe, is next, and I
9 will hold you to the same time commitments. You know
10 you have a good meeting going on when there is this
11 much lively discussion and interest.

12 DR. PERSHING: Thanks for allowing me to
13 talk today. I want to address some of the issues that
14 have been brought up during this discussion. I'm
15 going to talk about DPK, the skin stripping model, and
16 its uses and bioequivalence and bioavailability.

17 As was mentioned in the guidance, there's
18 a number of issues that need to be validated with each
19 drug product that you evaluate, and a lot of those
20 issues are illustrated here.

21 I want to confirm that you can validate
22 this skin stripping method for bioequivalence and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bioavailability assessment. In fact, we've done that
2 for a variety of drugs that cross four different drug
3 classes that represent different dermatological
4 products in about eight different kinds of vehicles.

5 ACTING CHAIRMAN DRAKE: Dr. Pershing,
6 maybe it would be better, if you could, to use the
7 mike. That one, you're breathing in it. You clip it
8 on so that it doesn't -- Okay.

9 DR. PERSHING: So these have been
10 answered, and it is not that hard to do. It does take
11 some diligence in doing so.

12 My point today is about topical drug
13 delivery, and this is the problem I'm not sure
14 everyone understands. Efficacy: Therapeutic efficacy
15 requires -- of a topical drug product -- that the drug
16 leaves the applied vehicle and gets into the skin.

17 The rate limiting step to getting drug
18 into the skin is that outer layer called SC on this
19 slide, stratum corneum. This dictates how much will
20 eventually get into the other skin layers.

21 If you don't get drug into this skin
22 layer, you don't get drug past that skin layer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 That's true, whether you have an altered stratum
2 corneum or not. Okay?

3 One of the issues we talked a lot about
4 this morning is Q1 and Q2, and I want to show you some
5 examples of DPK profiles of two products, in this case
6 a test and a reference, that were Q1 and Q2 similar.
7 So qualitative similarity in the vehicle composition
8 as well as similar concentration of those vehicle
9 components.

10 If you meet Q1/Q2, you see similar uptake
11 an elimination profiles in the dermatopharmacokinetic
12 profile. That was for an antifungal.

13 Here is an antiviral. Again, if you meet
14 Q1 and Q2, you will produce a similar
15 dermatopharmacokinetic profile. But here's the case
16 where they are bioequivalent. They meant to be the
17 same, but in fact Q1 and Q2 were both different. You
18 will note that now the test is not bioequivalent to
19 the reference product.

20 Another example, an antibacterial. This
21 shows bioavailability where you are comparing a
22 solution to a semi-solid. Here you see that the semi-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 solid doesn't deliver as much drug into the stratum
2 corneum, and that it is basically displaced in almost
3 an isothermic kind of situation.

4 So this really, truly is bioavailability
5 differences, and the vehicle composition changes that.

6 Here is an example of tretinoin in a 0.1
7 percent cream and two different gels that are .025
8 percent. Again, you see that there is a difference in
9 the extent, the amount that's delivered, higher
10 concentration in the cream than the gel, and that you
11 see differences in the dermatopharmacokinetic
12 profiles.

13 Here is another bioavailability difference
14 where we have compared four different imidazole drugs,
15 all in a cream formulation, different concentrations.
16 But you can see, their profiles are actually
17 different.

18 Finally, the glucocorticosteroids, that if
19 you apply different potency corticosteroids, you can
20 see that the uptake of those drugs into the stratum
21 corneum can be differentiated, both as a basis of the
22 physical properties of the individual corticosteroid,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the concentration and, in this case, they were all
2 cream.

3 The other issues that has been brought up
4 is about does stratum corneum predict good drug
5 concentrations in deeper skin layers? I spent the
6 first ten years of 1987 to 1997 developing an in vivo
7 human skin model where we take abdominal skin, and we
8 graft it onto acymic mice.

9 This allows the skin to be living on an
10 alternate skin source -- I mean alternate model
11 source, and we can actually take multiple biopsies or
12 single biopsies after drug treatment.

13 In doing that, we then took biopsies after
14 a two-hour application of a variety of
15 glucocorticosteroids, and we quantitated the
16 glucocorticosteroid concentration in the different
17 skin layers.

18 You will note that if you get more into
19 the stratum corneum, you also get more into the
20 epidermis. You also get more into the dermis. So
21 what you get into the stratum corneum is reflected in
22 the deeper skin layers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Another example with Monistat-Derm, which
2 is miconazole nitrate cream, and you will see that if
3 you look at over multiple time points the
4 concentration of stratum corneum, that as this stays
5 the same dose, so does the epidermis and the dermal
6 concentrations.

7 So the amount of drug you get into the
8 stratum corneum reflects the concentration in the
9 deeper skin layers.

10 Do differences in DPK predict differences
11 in the pharmacodynamic activity of that drug? That's
12 what we are really talking about here. Can DPK
13 predict clinical performance? Can DPK predict in
14 vitro bioassay performance?

15 This is an example of some recent work on
16 betamethasone dipropionate in seven different
17 formulations with and without propylene glycol that
18 cover both ointment, cream and lotion and gel
19 vehicles.

20 You will note that all the formulations,
21 independent of vehicle composition, reach a steady
22 state within the skin after about two hours, and that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whether you have with propylene glycol or without
2 propylene glycol between the matched vehicle types,
3 you can differentiate how much gets into the skin.

4 We compared this data in the same subjects
5 in a pharmacodynamic response. Indeed, the more you
6 get into the skin of this particular drug, the more
7 negative your Emax value and, therefore, the more
8 potent your corticosteroid. That's a beautiful
9 correlation coefficient of .82. Therefore, the more
10 you get in the skin, the better response you get.

11 Here is an example, however, where the two
12 products were not the same, either qualitative or
13 quantitative, and that a fungal. And you see the
14 differences I've showed you before in the
15 dermatopharmacokinetic profiles.

16 I show you this because, while the DPK
17 methodology differentiated these two products,
18 clinical efficacy, safety and bioassay results did
19 not. Those differences in the DPK profile were such
20 that they were less than the critical value that was
21 required to differentiate them by either bioassay
22 methods or clinical efficacy methods.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Why did that happen? That happened
2 because -- let me see if I have a slide there --
3 pharmacodynamics is nonlinear. Pharmacokinetics is
4 linear. So you can deliver more and more and more and
5 more drug. At some point you don't see any difference
6 in the clinical efficacy response.

7 This is typical with dermatological
8 products, because we always deliver more drug than we
9 need to, because it's not going to stay there very
10 long. Okay? That's why we make them at higher
11 concentrations.

12 Nonetheless, what's very important here is
13 that DPK was able to differentiate these products, and
14 all the other pharmacodynamic models were not. When
15 you are picking a gold standard, you want the very
16 highest ability of your method to discriminate.
17 That's very important. It's a safety net issue for
18 consumers.

19 I want to show you, you can also do DPK in
20 diseased skin, that if you just account for the
21 differences in the amount of skin you remove from a
22 state like psoriasis where you have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hyperproliferative stratum corneum, you have a lot
2 more of it.

3 It is not biochemically the same. But
4 what I want to show you in the next graph is that, if
5 you correct for the amount of skin you remove from
6 those skin sites, you get a similar response.

7 Here's a study on psoriatic elbows. We
8 don't like to use psoriasis for corticosteroids, but
9 it's a bilateral disease. If you have it one elbow,
10 you are going to have it on the other elbow, and they
11 are going to be very similar.

12 What we showed clinical efficacy-wise, we
13 followed the target lesion scar with erythema scaling
14 and duration. There was no significant difference
15 between the trade and generic and, in fact, the DPK
16 profile at multiple doses over time showed no
17 difference between the products.

18 Another example of tinea pedis where again
19 you have a hyperproliferative inflammatory situation,
20 and we compared whether -- this is kind of hard to see
21 probably, but with seven doses that helped forearm
22 skin and diseased skin, when you account for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 amount of skin removed, is the same. Okay?

2 So in conclusion, the skin stripping
3 methodology does require validation, but you can do
4 it. We have done it with four different drug classes,
5 multiple types of drug within those classes across
6 vehicle types. You can use it for bioequivalence, and
7 you can use it for bioavailability.

8 Pharmacokinetics does predict PD, that the
9 drug products actually deliver more drug than is
10 necessary to achieve a maximal effect, and that's why
11 you don't always pick the up in a bioassay in a
12 clinical efficacy study; that DPK is actually more
13 discriminating than the pharmacodynamic assays.

14 The stratum corneum drug concentrations
15 are relevant to deeper skin layers, and DPK predicts
16 the pharmacodynamics.

17 ACTING CHAIRMAN DRAKE: Thank you very
18 much, Dr. Pershing. May I ask for Dr. -- and I'm not
19 sure I am pronouncing this right. Is it Parab? Did
20 I get that right? Hot dog.

21 He is a senior principal scientist from
22 Bristol-Myers Squibb, representing PhRMA. Is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correct? All right. You have asked for ten minutes,
2 which you shall have, starting now.

3 DR. PARAB: Yes. Thank you very much, the
4 Committee, for giving me a chance to present. I am
5 Prakash Parab from Bristol-Myers Squibb, and I will be
6 presenting PhRMA view on this topical guidance. Next
7 slide, please.

8 The oral presentation is shown here.
9 There are many issues. First is methodology issue.
10 Stripping technique for DPK has not been validated,
11 and I'll give you these three examples.

12 There is a question of the target tissue.
13 Inadequate DPK data exist to correlate stratum corneum
14 drug concentrations to concentrations at different
15 tissue types. I will give you two examples.

16 Lastly, DPK has not been correlated to
17 clinical efficacy and systemic safety for any
18 therapeutic category, class of compounds or
19 formulations. I will give you two examples.

20 Let us look at the acne study done on
21 Retin-A in human subjects. You see that about 82
22 percent of the unabsorbed surface drug is recovered in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 washings, and only .2 and .80 percent is found in
2 feces and urine after seven days.

3 This shows that most of the topical
4 product can be recovered in surface washing, and
5 minimum goes into the skin and systemic circulation.

6 Now let us look at the acne study. This
7 is shown in many meetings, and it was shown as accent
8 today also. The authors applied .025, .05, and .1
9 percent Retin-A, and they got a very good dose of same
10 concentrations in the stratum corneum, very good dose
11 response. But when we assumed that the authors had
12 applied 5 milligram per centimeter scale of the
13 product, we calculated how much of the applied dose is
14 found in the stratum corneum. We found that about 76
15 to 86 percent of the drug is found in the stratum
16 corneum.

17 This brings into question whether this
18 number represents the residual unabsorbed drug on the
19 skin surface and skin furrows rather than drug
20 penetrated into stratum corneum. The dose
21 proportionality described above is shown in many
22 studies. It may simply reflect an increase in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concentration in the applied product is not increased
2 amount absorbed. Just it is an error.

3 Let us look at a micrograph of the skin,
4 dermis, epidermis and furrows in stratum corneum.
5 Even after 20th stripping you can see these furrows
6 where the unabsorbed drug can be present, and there
7 are upper layers of the stratum corneum which cannot
8 be reached, and these can contaminate the strips. So
9 you may not get actually absorbed drug. You may be
10 contaminated drug you will be seeing in the strips.

11 Next slide, please. Now this is a
12 titanium dioxide product applied. First strip was
13 taken. You can see all these spots, white spots, all
14 over the place in the first strip.

15 Now you look at the tenth strip. You see
16 titanium dioxide, the unabsorbed product in the
17 furrows, and the tenth strip is contaminated with the
18 surface product, not the penetrated product.

19 Now look at the methodology issues,
20 variability, 20 subjects. At subject seven, you can
21 about 175 micrograms. In another subject you get 425
22 micrograms of the stratum corneum, two fold

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difference, a lot of variability.

2 Let us look at with-subject variability.
3 In the same subject you can have minimum stratum
4 corneum recorded at Site A, and a lot of stratum
5 corneum recorded at Site B. Next slide, please.

6 So methodology issues: This is most
7 important. A reliable measure to distinguish between
8 residual surface drug and drug that has been
9 penetrated into the stratum corneum has yet to be
10 established.

11 Clinical mass balance studies have to be
12 done. Using first 10-20 strips has not been validated
13 to represent the stratum corneum. These strips may be
14 contaminated with unabsorbed drug, calling into
15 question relevance of the data.

16 Even after 40 strips, furrows still
17 contain stratum corneum tissue and unabsorbed drug.
18 Variability in collection of biosample makes it
19 difficult to normalize the data for evaluation of DPK.
20 So we question whether the DPK is precise.

21 Now let us look at the stratum corneum and
22 target sites, stratum corneum concentration,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 follicular concentration for three compounds.

2 As the stratum corneum concentration
3 increases, follicular concentration decreases. The
4 ratio varies from 2-37. Thus, DPK cannot be used to
5 assess BA/BE for follicular drug delivery system,
6 because there is no correlation.

7 Let us look at acyclovir data. This was
8 done on a human skin grafted on nude mice after
9 topical and oral administration. If you look at
10 stratum corneum, epidermal and dermal concentration,
11 topical concentrations are 44, 11 and 57-fold higher.

12 So one can assume that topically acyclovir
13 is more effective, but in real life oral acyclovir is
14 more effective. So again, no correlation between
15 stratum corneum concentration and clinical efficacy.

16 Next slide. Now this is the Temovate
17 data, Temovate cream and Temovate emollient cream. It
18 shows a DPK difference, but Glaxo reports comparable
19 clinical efficacy between Temovate cream and Temovate-
20 E cream, and there is no difference in
21 vasoconstriction on these two products when tested on
22 30 subjects. Labeling classified both products as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-2704

1 super high potency, and this is there in the PDR.

2 The difference in DPK between these
3 products may be due to issues such as: 18-69 percent
4 of the applied dose was recovered in the stratum
5 corneum in this study may represent unabsorbed drug
6 and questions the validity of the study.

7 Spreading of the emollient cream beyond
8 application site diminishes the amount recovered. So
9 validation is very important.

10 Let us look at many of the corticosteroid
11 products. Diprosone lotion, Diprolene ointment gave
12 same stratum corneum concentrations, but everyone
13 knows that Diprosone lotion is a weak mid-potent
14 formulation, where Diprolene ointment is a high
15 potency formulation. So again no correlation.

16 Two to 11 strips had about 40-93 percent
17 of the drug. So again, questions related to the
18 contamination of the strips. Next.

19 So future: What we request is we should
20 take a staged approach, whichever the method we
21 select. The critical parameters of the method should
22 be identified, evaluated and formally validated.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Proposed surrogate needs to be
2 demonstrated to be relevant to the target site. DPK
3 results must correlate to clinical outcomes. DPK then
4 to be tested in specific therapeutic classes,
5 different target sites, and different delivery
6 systems, and should be blinded, multi-center study,
7 not one center -- multi-center study.

8 The dose should be two to three
9 milligrams, and data from these studies must include
10 mass balance.

11 Clinical studies: Again, relationship
12 between stratum corneum concentration and systemic
13 exposure has to be shown. Dr. Rougier showed it in
14 rodents, but we want to see that this exists in human
15 beings for different drugs having different
16 physicochemical properties, again normal versus
17 diseased, different body sites. That has to be
18 evaluated. Next, please.

19 So in conclusion, DPK is a research tool
20 that has not been validated or shown to be correlated
21 to clinical efficacy and systemic safety.

22 We in PhRMA wish to participate on any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 expert panel or committee for this topic that is
2 established by both Advisory Committees. Thank you
3 very much.

4 ACTING CHAIRMAN DRAKE: Thank you so much,
5 and again thank you for the extra minutes. A very
6 nice presentation.

7 I would like to invite Deborah -- is it
8 Miran? -- from the Generic Pharmaceuticals Association
9 to present, and you asked for five minutes.

10 MS. MIRAN: Thank you very much. I don't
11 have data, and I don't have slides, and I will
12 probably be less than five minutes.

13 ACTING CHAIRMAN DRAKE: You get better
14 every minute.

15 MS. MIRAN: The GPhA or the Generic
16 Pharmaceutical Association would like to take this
17 opportunity to make a brief statement, and we thank
18 you for this.

19 We have supported, and continue to
20 support, the issuance of this guidance as a means to
21 demonstrate bioequivalence in topically applied
22 generic dermatologic drug products.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 GPhA well recognizes the role and the
2 purpose of both the innovator discovery based industry
3 and the generic industry, which provides lower cost,
4 quality alternatives. Both segments can and should
5 peacefully coexist, and I wish to reiterate that there
6 is only one standard of quality for review and
7 approval for both generics and innovators.

8 Regarding DPK, we have been patient,
9 persistent and diligent in facing the issues and
10 answering the scientific questions about the use of
11 DPK as a measure of bioequivalence. This work, as has
12 been mentioned, has effectively been ongoing for more
13 than ten years, and a vast amount of data have been
14 generated by both the industry, the agency, and
15 academic institutions.

16 These data have been reviewed carefully by
17 experts around the world. These studies have been
18 designed to look at equivalence between test and
19 reference products, correlations between clinical
20 results and DPK results in both bioinequivalent and
21 bioequivalent situations.

22 Every time the Joint Advisory Committees

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have met and concluded that this is a potential or
2 promising assay for BA/BE determinations, the agency,
3 the industry and academia has responded with more
4 studies and more data. These data have been presented
5 to this group, and as today and in the past and as we
6 have heard from Lynn, there is more to come.

7 In our opinion, these data support the use
8 of this technique as a means to detect differences
9 between "two like products" and to establish
10 equivalence between test and reference products.

11 Presently, the generic industry continues
12 to only have two choices for developing ANDAs and
13 registering topically delivered generic alternatives.
14 These choices, unfortunately, are (1) not to develop
15 at all, and not to make available the generic
16 alternative or (2) to conduct an extensive and
17 expensive full scale clinical efficacy trial to
18 determine equivalence.

19 In our opinion, this approach is
20 inconsistent with FDA's whole objective of reduction
21 of regulatory burden and exposure to patients.

22 FDA and CDER continue to evolve into and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 support a risk based approach to assessing regulatory
2 requirements, so long as they are based on sound
3 science.

4 As we were reminded throughout this week
5 of Advisory Committee meetings, there is never a no-
6 risk environment, but we believe that the DPK and its
7 use in evaluating bioequivalence has reached the
8 minimal risk category, as Dr. Shah stated earlier.

9 Remember, too, that the statute does not
10 require that generics reestablish efficacy. This has
11 been proven. Waxman-Hatch, by definition, assumes
12 that efficacy and safety will be proven through the
13 link that the bioequivalency study provides.

14 In conclusion, we believe that DPK is
15 determined by the current evidence and that the draft
16 guidance, after two and a half years in review, should
17 be finalized and implemented. Thank you.

18 ACTING CHAIRMAN DRAKE: Thank you. Then
19 there is one last public comment from the American
20 Academy of Dermatology, Cheryl Hayden. Oh, there she
21 is behind the post where I couldn't see you. You are
22 so little. There we go. Again, five minutes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. HAYDEN: Oh, I'll be less than that.
2 My name is Cheryl Hayden. I am the Assistant Director
3 of Federal Affairs at the American Academy of
4 Dermatology, and I would like to thank Dr. Drake and
5 the members of the Committee for the ability of the
6 Academy to present the fifth time our reservations
7 with the guidance document for establishing
8 bioavailability and bioequivalence using skin tape
9 stripping.

10 The Academy has on a number of occasions
11 expressed our reservations with this document. I am
12 just going to briefly summarize Dr. Scher's statement.

13 Our concerns mainly have to do with the
14 fact that there is no testing done on diseased skin,
15 that patients with eczema, psoriasis, etcetera, will
16 not be done well by this method.

17 We are also concerned that the method in
18 and of itself has flaws, including the inability to
19 assess whether or not the drug that is in the furrows
20 of the skin has, in fact, been absorbed into or
21 through the stratum corneum.

22 In addition to diseased skin, we are also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concerned that the guidance document fails to note
2 that it would require testing on skin in the elderly
3 and in children, which also has its own unique
4 qualities.

5 Finally, we have also anecdotally heard
6 problems from some of our members. This issue has
7 been discussed at our task force, our FDA Therapeutics
8 Task Force. Some members have tried to replicate the
9 process as described in the guidance document and have
10 had some difficulty. So we wanted to bring that to
11 your attention as well. Thank you.

12 ACTING CHAIRMAN DRAKE: Ms. Hayden, thank
13 you. I want to thank all of those individuals who
14 took their time from their busy schedules to present
15 from the interested public at this meeting. It is
16 very important, and although I have joked about the
17 time, I can't tell you how important your input is,
18 and I would encourage you at any future hearings to
19 please do this.

20 For those of you who want to be taken most
21 seriously, be sure you try to give us something in
22 writing ahead of time, because that helps the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Committee then have an opportunity to review it before
2 we hear it the first time. It carries much more
3 weight, but I do want to thank you.

4 At this point, what I would like to do now
5 is open it back up to the Committee. Dr. Stern?

6 DR. STERN: Just to get things going,
7 because this is my sense of what's happened, I guess
8 I would like to move that the Committee recommend
9 withdrawal of this guidance document with the
10 instruction that in future DPK guidance documents, at
11 least in the next ones that come forward, that they be
12 limited to specific therapeutic classes; because, to
13 me, the utility of this may well vary substantially
14 according to therapeutic class of the topical agent.

15 A global one is, from my perspective, a
16 long way from ready for prime time. So I think this
17 global guidance document should be withdrawn, and I
18 certainly think looking at it on a therapeutic class
19 basis -- there may be some classes where it's very
20 useful.

21 ACTING CHAIRMAN DRAKE: Is there a second?

22 DR. KING: Second.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN DRAKE: Okay. The motion
2 has been made and seconded that this guidance document
3 be withdrawn, with the -- and I haven't forgotten you.
4 I'll certainly allow the discussion period.

5 Let me just restate the motion, please, so
6 I make sure I have it correctly: That the guidance
7 document be withdrawn, with the instruction that, when
8 it is re-presented, that it is done so with
9 therapeutic classification structure in place. Is
10 that an accurate summary? Okay. That's the motion.

11 Open for discussion, and it's been
12 seconded, and Dr. Hussain.

13 DR. HUSSAIN: Just a point of
14 clarification, ma'am. I think the purpose of this
15 meeting was not to call for a vote. We requested this
16 meeting primarily to discuss the issues and bring the
17 new members up to date on the topic.

18 We would like to reconvene the Joint
19 Committee meeting with all the data. We did not
20 present any of the data here, and the purpose of the
21 meeting was not to call for a decision at this point.

22 ACTING CHAIRMAN DRAKE: Okay. I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that may have -- Dr. Stern, I don't want to speak for
2 you, but in one of the presentations it talked about
3 perhaps the guidance document -- a recommendation
4 being withdrawn.

5 I don't know that this is a vote. What I
6 would like to do then, taking your comments into
7 consideration, which I certainly have healthy respect
8 for the purpose of this meeting, but I also have a
9 healthy respect for the sense of the Committee --
10 would it be reasonable -- Let me just ask, would it be
11 reasonable, instead of this being a motion, to take it
12 as a sense of the Committee, so to provide you with
13 some guidance? Would that be acceptable? John?

14 DR. WILKIN: Actually --

15 ACTING CHAIRMAN DRAKE: Dr. Stern says
16 only if we have punch ballots with curlicues or
17 whatever they are called. So --

18 DR. WILKIN: Okay. Then I guess we figure
19 out whether they are dimpled.

20 ACTING CHAIRMAN DRAKE: We need to know if
21 they are dimpled or pregnant or whatever, but other
22 than that, we are fine. Yes. Go ahead.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. WILKIN: You have to understand what
2 our views were in coming to the group. If we really
3 thought that we were coming to the group where we were
4 going to get an up-down kind of recommendation or any
5 sort of specific recommendation, I really think we
6 probably might have deferred the meeting until we had
7 the additional data that are coming in, that we
8 certainly would have given a lot more data and
9 informational pieces.

10 You would have had a much thicker guidance
11 -- not guidance, but briefing document, so you could
12 pour over these sorts of things.

13 Really, the intent today was not for the
14 up-down or for any sort of aspect like that. It was
15 really for those who are conducting these kinds of
16 studies, for those of us who are working together at
17 the FDA to think about the informational needs and how
18 they might be achieved, to get some thinking along
19 those lines.

20 ACTING CHAIRMAN DRAKE: I understand.
21 Rob?

22 DR. STERN: Can I then -- Given that, can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I make a suggestion that at 12:25 we take a straw poll
2 to suggest whether, as individuals, we feel this
3 document is what I would call ready for prime time or
4 not ready for prime time, and a second question would
5 be whether we think -- if we don't think it's ready
6 for prime time as it is currently given, whether we
7 think it might be closer to being ready for prime time
8 and application if it were done on a therapeutic class
9 basis rather than as a global document.

10 So that's really more the issue of how we
11 feel about it and advice and not really voting, but
12 would that be more in the spirit of today?

13 DR. WILKIN: Actually, to my own personal
14 sense of fairness, it really wouldn't. I mean, to be
15 honest. My sense is that, had we been thinking that
16 there would be a vote or a recommendation or even
17 something informal as a straw vote at the end, that
18 really we would have had a longer meeting.

19 We would have presented more data. It
20 would have been a much more thorough discussion. My
21 own sense of fairness is that that really doesn't --

22 DR. STERN: Then I withdraw my motion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN DRAKE: Rob, are you
2 withdrawing your motion then? Withdraw the second?
3 Dr. Mindel?

4 DR. MINDEL: The other side of this,
5 though, Jonathan -- this is the third time that we
6 have spent considerable time on this subject, and the
7 only new information that was presented was on two
8 slides, one of -- that one of the corticosteroid, and
9 the other was a study in progress.

10 It seems that some people are beating a
11 dead horse, and I don't -- You know, I think that
12 enough is enough. So I would like to call on the
13 Chairwoman to use her prerogative as a Chairwoman to
14 have a vote.

15 ACTING CHAIRMAN DRAKE: I would like a
16 little more discussion. I thank you. And I have
17 three lawyers lined up.

18 DR. DiGIOVANNI: I'm always good for a
19 little discussion. I also had a sense that the
20 politics of this had moved more forward than we had
21 actually been given enough information to deal with.

22 I think that, when I read this, my sense

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was that this was a work that had been presented as
2 President without the vote being counted, and the
3 votes that I recollect from the prior meetings was
4 that there were many questions that hadn't been
5 answered.

6 Some of them are quite obvious questions:
7 How much of the topical preparations are left in the
8 crevices and clefts? You know, that may be an obvious
9 one. There are others that may be more or less
10 substantial: What are the various endpoints,
11 certainly, as Rob had mentioned?

12 I think for dermatologists to look at the
13 skin as one homogeneous group, it just doesn't seem to
14 work that way. So without looking at different
15 classes of drugs and addressing them specifically and
16 coming up with some more scientific sense of
17 addressing this, my gestalt was the same as Rob's.
18 This is sort of being shoved down our throats almost
19 further ahead than the information that we have been
20 able to digest.

21 So I think the sense of the discussion is
22 that there are some very focused questions that should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be addressed before the term paper is submitted next
2 time.

3 ACTING CHAIRMAN DRAKE: Dr. Hussain?

4 DR. HUSSAIN: I think I second that, in
5 the sense, if you really look at the advice we sought,
6 I think -- If you look at my slides, the questions I
7 posed to the Committee is how do we redirect our
8 research focus so that when we come back to this
9 Committee with the data, that would essentially
10 address some of those concerns.

11 I laid out a means of approaching it, and
12 I didn't get any feedback on the questions I sort of
13 posed to the Committee.

14 ACTING CHAIRMAN DRAKE: I am going to take
15 the prerogative of the Chairman here for just a moment
16 -- or Chairwoman. I think that -- I do feel that --
17 Here is what I am going to do, as we will not take a
18 vote, but I am going to poll this committee one by one
19 and ask for their opinion, and I am going to ask you
20 to give your opinion in two sentences or less without
21 a long explanation. But you want our opinion, and I
22 think there are some members we haven't heard from,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and I would like to make sure everybody gets included.

2 I want to just go around the room asking
3 for your gestalt on the subject. John?

4 DR. WILKIN: One of the aspects that we
5 realized in coming to the group is that there are
6 members here around the table today from the
7 Pharmaceutical Sciences Advisory Committee and from
8 the Dermatologic and Ophthalmic Drugs Advisory
9 Committee who have not heard this DPK presented at a
10 committee discussion before.

11 So one of the key objectives was to give
12 with this new group -- bring everyone sort of up to
13 speed as to what some of the concerns are, and to
14 describe informationally not the dataset that we have
15 but what is --

16 ACTING CHAIRMAN DRAKE: Needed.

17 DR. WILKIN: -- literally in the oven,
18 that is being worked on right now, and it is with the
19 intent that when that dataset arrive that it will come
20 back to the Joint Committee and then that's the day
21 that, you know, gets the kind of discussion that you
22 are describing. . But our intent, really, was more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 keeping the awareness and sort of giving a brief and
2 a half-day kind of update.

3 ACTING CHAIRMAN DRAKE: John, I think we
4 really appreciate -- and I want the Committee to
5 correct me if I am wrong, but I am going to try to
6 state for the Committee from what I've heard, that
7 we're going back to the notion that, yes, a
8 noninvasive measure that is cheap, fast and expensive
9 is needed and useful and important, and we commend Dr.
10 Shah, Dr. Hussain and you, Dr. Wilkin, for trying to
11 make this happen.

12 We have heard this before. I've been on
13 other committees. There isn't anybody at this table,
14 in my opinion, that doesn't think that's an important
15 next step. Am I correct on that?

16 Secondly, I do not believe that this
17 committee has had the evidence presented today that
18 would allow them to validate this as the way to go
19 forward. There are serious, serious and substantial
20 concerns about what we have heard today, and I think,
21 if you did hold a vote, it would not be to move
22 forward in this direction.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I think you are hearing serious
2 reservations from this group about what we've heard.
3 Is that also a correct statement?

4 All right. The third statement that I
5 think I -- and this may be my own personal opinion --
6 I love the fact that you've had this combined meeting,
7 because it has allowed the pharmacologists to get
8 together with the clinicians and try to share
9 information, which is extraordinarily important,
10 because that's how best decisions are made, is by
11 having a sharing of information.

12 Without sharing of information, frequently
13 you get bad decisions, and then bad outcomes. So I
14 want to commend the FDA personally for bringing us
15 altogether, because I've had great fun meeting some of
16 our colleagues who actually know more about areas
17 under the curves than I'll ever know. But it's
18 important.

19 If we don't have that kind of information
20 -- and I hope it's as important to you to learn what
21 we as clinicians face. But I think it's a sense from
22 all groups that we are not at that point yet. Is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a correct assumption, and do we like this joint
2 meeting? So everybody likes the joint meeting. All
3 right. So that's the third summary.

4 The fourth summary is I want to make sure,
5 at least from my perspective, that this information
6 sharing in no way implies that we have given the okay
7 or the go-ahead for the forwarding of this document
8 with the kind of information we have.

9 In other words, I don't want this to be
10 used on a slide in the future to say we met and liked
11 it, because I think you've heard we've met, and we are
12 uncomfortable at this point, and that we need more
13 information. Is that a fair assumption? Okay.

14 So I think that is my -- As a chairman,
15 that is my prerogative in where we are, and I think
16 that probably I can't -- We've asked this before. I
17 asked it once as a member of this committee when we
18 had this.

19 To come into a meeting with two things not
20 happening, not having all the studies and information
21 before us is a mistake. I understand some of it is
22 not ready, but there is some stuff that's been done

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that was not in our packet.

2 So I would beg of you to make sure the
3 committee gets all that information ahead of time, not
4 only from the FDA but from all the audience here who
5 has a vested interest in the outcomes. Please give us
6 your work ahead of time, and the back-up
7 documentation, because we may not like wading through
8 all of it, but I'll assure you, you have a
9 conscientious committee here who will wade through it,
10 and we will try to come to some rational
11 recommendations that ultimately benefit the end users,
12 the patients. That's our goal here, as I understand
13 it.

14 Now then, having said that, I probably
15 talked too much. But I wanted to try to synthesize
16 and condense what we have heard. Now I do want to
17 take this moment to call on two people at this table
18 who I have not heard them open their mouth, and I want
19 to know if you have any additions or comments to what
20 has already been said or what I've said. And Dr.
21 Bloom, you are one.

22 DR. BLOOM: This is the first I ever heard

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of all this information. So it's kind of hard to just
2 suck it in. The other thing, I am concerned of the
3 need for a validation of analytical methodology.
4 Meanwhile, these studies are being performed. So it's
5 kind of like there is no balance.

6 My concern is you first have to validate
7 an analytical methodology, and then go ahead and do
8 the particular studies. This is a concern of mine,
9 because maybe the data might be influenced just
10 because of that particular aspect. That's one of
11 them.

12 The other one, I got concerns of maybe
13 information that I don't have, for example, if it is
14 taking into account the possibility that the active
15 ingredients are being intercalated into proteins,
16 although here on the follicles -- So I don't know that
17 information. That might be influencing the
18 bioavailability studies that might be taken into
19 account.

20 So basically I am trying to get all the
21 information and try to figure out the outcomes. So
22 that's my perspective. There's other aspects about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this skin stripping that needs to be validated, and
2 the pressure that should be applied, the area that
3 should be taken into account, the need to spread the
4 emulsion.

5 All those little, bitty details may make
6 a big influence in the bioavailability studies and
7 bioequivalence studies in terms of just the
8 concentration to be estimated.

9 ACTING CHAIRMAN DRAKE: Do you see why I
10 make everybody speak? What you've just said makes so
11 much sense. Thank you.

12 Dr. Boehlert, would you please contribute?

13 DR. BOEHLERT: Yes. I am concerned that
14 we are extrapolating a small database, what I've seen
15 from the data presented today, to a larger population.
16 I have listened to the combinations, different skin
17 types, different disease states, and I am not sure we
18 have enough data or I have seen enough data to make
19 that extrapolation. That is always a concern of mine.

20 The other thing that I would bring up that
21 I think Dr. Wilkin addressed to some extent is that Q1
22 and Q2, in and of themselves, may not be enough to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 distinguish products.

2 They do not get into the physical state of
3 the active ingredient. Particle size is critical in
4 dermatological products. I have had experience with
5 developing dermatological products and seen them to be
6 very different based on just the physical state of the
7 active ingredient, and that is not addressed in Q1 and
8 Q2. You can have the same ingredients at the same
9 concentration and different particle size.

10 So there are other issues here that we
11 need to look at.

12 ACTING CHAIRMAN DRAKE: Thank you very
13 much. Again, validating my notion that everybody
14 needs to comment, because you bring different
15 perspectives to the table that all of us need to hear
16 about.

17 With that, I am going to just quickly
18 track around the table, asking for any additional
19 comments. Please, I am going to suggest we don't
20 repeat, but that we, in one sentence, summarize.

21 Right before I start that, Dr. Shah, you
22 have a comment?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SHAH: Yes. I just had a comment on
2 what Judy indicated, and that's true. Q1 and Q2 by
3 itself is not enough, but along with that we also have
4 the physical, chemical characterization of the
5 product.

6 We also have the in vitro drug release,
7 which is similar to the dissolution that's a part of
8 the requirement, and also the particle size of the
9 active ingredients.

10 All this put together is going to be the
11 total body of evidence for the bioequivalence.

12 ACTING CHAIRMAN DRAKE: Okay. Dr.
13 Lamborn?

14 DR. LAMBORN: I think that I share a
15 number of the concerns that have been expressed
16 before. There were some of the questions that I posed
17 that I think at the next time we come back it would be
18 very helpful if they were clarified, both in terms of
19 the basis currently now in bioequivalence and also the
20 intent of the guidance, and also to reiterate what
21 others have said.

22 The next time through, it would be very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 helpful if we are presenting new data to make sure
2 that we had the background that led us to truly
3 understand what the trials are that are being done,
4 potentially the protocol as a document, not
5 necessarily spend the time during the meeting but
6 prior to it so that we can bring out questions.

7 ACTING CHAIRMAN DRAKE: I think, instead
8 of presenting that stuff here, in view of limited
9 time, if we have it ahead of time, then you don't have
10 to present the protocol. We will know the protocol,
11 but then we can ask questions. So that's a real
12 strong recommendation you are hearing, particularly
13 from your Chairman.

14 Dr. Tang?

15 DR. TANG: For the purpose of showing
16 bioequivalence, my suggestion to the future
17 researchers: You go from -- to the therapeutic area,
18 therapeutic classes where you have -- antifungal, and
19 go to another therapeutic classes. This validation
20 should only be done by therapeutic classes.

21 ACTING CHAIRMAN DRAKE: By therapeutic.
22 Okay, Dr. Mindel?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MINDEL: I look forward to the fourth
2 presentation of this subject.

3 ACTING CHAIRMAN DRAKE: That's right.
4 Listen, I have a great graph I want you to put on the
5 agenda. Jaime, I have this wonderful thing I want to
6 talk about to start the meeting about dead horses, and
7 I want to be sure that you remind me, because I want
8 to present it.

9 It was just presented at the Harvard
10 Business School by a very famous leader, John Kotter,
11 and I'm going to share it with this group about "dead
12 horses" before the next meeting. Okay? Can I have
13 permission to do that? It's fun.

14 Okay, go ahead. Sorry. All right, Jaime,
15 do you have a comment on all this? Okay. Dr. Abel?

16 DR. ABEL: I agree with all the comments
17 of the Chairperson, and I think there is a great unmet
18 need for the generic drug industry here, and we need
19 to come up with some methodology.

20 I do question the methodology here, and I
21 think the major concern is that the bioequivalence
22 does not equal bioavailability in the disease state.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Perhaps we need to investigate other possible
2 technologies. I have no background in the imaging
3 techniques, but maybe there is a completely new
4 methodology or involving MR or imaging that might play
5 a role here.

6 Thank you very much, and I agree that we
7 need to review all materials ahead of time.

8 ACTING CHAIRMAN DRAKE: Dr. Jordan, you
9 haven't said very much today. So --

10 DR. JORDAN: Oh, I said a few things. I'm
11 being very pensive. Actually, I have not heard the
12 prior presentation. So this is my first time, but I
13 get a sense that people are tiring a little of it.

14 I do think this is very difficult, at
15 least for me, and not having seen any of this ahead of
16 time. To be really sure this is some kind of a
17 standardized procedure that could be used to evaluate
18 these kinds of studies, I think it would have been
19 nice to see some of the studies done on generic versus
20 pediatric, the skin types, to really be sure this is
21 something that could be applied to this kind of
22 methodology.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN DRAKE: Dr. DiGiovanni.

2 DR. DiGIOVANNI: I have no further
3 comment.

4 ACTING CHAIRMAN DRAKE: Dr. Stern?

5 DR. STERN: I think this is something that
6 we need to think about as not one methodology fits
7 all, but by therapeutic class. I also think, in
8 addition to thinking about the scientific rationale
9 for the application of this to a given therapeutic
10 class, also think about the extent to which
11 equivalency is clinically important for a therapeutic
12 class.

13 ACTING CHAIRMAN DRAKE: Dr. Lim?

14 DR. LIM: I have expressed my concerns
15 before about using a one-time application of in vitro
16 method for an in vivo clinical response, and I have no
17 further comments beyond that.

18 ACTING CHAIRMAN DRAKE: Dr. King?

19 DR. KING: This has been a useful,
20 informative meeting. However, I am not convinced that
21 the DPK and related studies should be the only
22 criteria that you evaluate for proof of concept, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suggest, as Dr. Abel did, we need newer methods and
2 another evaluation before we go forward -- new
3 methods, and this is not going to fly.

4 ACTING CHAIRMAN DRAKE: Okay, thank you.
5 New methods is not -- Without something different,
6 yes. Okay. Dr. Miller.

7 DR. MILLER: I think that we should
8 remember that this is just a draft, and you know, it
9 can be changed. I think Jonathan very eloquently in
10 his presentation raised most of the issues that we've
11 discussed, and he did say there are different
12 therapeutic classes.

13 I think it's apparent now that there is
14 the ongoing study with Tretinoin, and we'll be looking
15 for those data when they are available, and then I
16 think from that, there will have to be more similar
17 studies using different therapeutic classes and
18 different age groups.

19 So I think that the whole thing has to be
20 extended, and we are still in the very nascent stage
21 of all of this, but I think it is still a draft.
22 Certainly, DPK cannot replace clinical trials at this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 time.

2 ACTING CHAIRMAN DRAKE: Okay. So I want
3 to -- I think one additional comment that comes from
4 your Chairperson then, based upon this final
5 roundtable, is that without the clinical correlation
6 that this will be, in fact, a very difficult option
7 for us to support as a committee, keeping in mind that
8 we are totally and completely advisory. But we all
9 want to head toward the same goal.

10 Again, I want to commend Dr. Shah, Dr.
11 Hussain, Dr. Wilkin for moving us along. I also want
12 to reiterate, though, that perhaps the next time would
13 be a real good time to have a lot of this data in
14 front of us ahead of time so that we can come to some
15 kind of closure or at least some kind of
16 recommendation.

17 I get the sense from the committee that we
18 have heard it many times, and it's probably time to --
19 I mean, this may not be the best way to do it. I
20 don't know. But if we don't have data to support it,
21 I think we should -- I guess what I'm trying to say is
22 I would encourage you to not only look at this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The concept is so important of having
2 noninvasive measures that I would encourage you to
3 look at any other alternatives and other options that
4 might be called into play at this point, particularly
5 with all the new technologies out there today. I
6 mean, there's so many new technologies.

7 You might even think about offering an RFP
8 or a solicitation or however you do that -- far be it
9 from me to suggest that, but you know, if you canvas
10 the different universities, different pharmaceutical
11 companies, different generic houses, I suspect there's
12 techniques and tools out there that could be brought
13 into play, and with a little bit of support might be
14 developed.

15 I'll give you one example at my own
16 institution. I do know that there is a way now of
17 screening genetically some new drug compounds, and
18 that has been patented and licensed now, and several
19 companies have licensed that as a screening tool.

20 I mean, there's just so many new
21 techniques and tools out there that I would really
22 encourage you to do some kind of very vigorous and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 aggressive looking about to see what else is out
2 there, because you are exactly right on the concept.

3 You are exactly right to try to get
4 something that is not invasive and cheaper and more
5 efficient. So my hat is off to you for working in
6 this very difficult area.

7 I want to -- Before I shut down, I want to
8 make sure that I thank, particularly, Jaime Henriquez
9 and his staff for another great meeting. You guys do
10 such a good job. Thanks, Jaime.

11 (Applause.)

12 ACTING CHAIRMAN DRAKE: I want to thank
13 all of my committee members for coming, as big time in
14 your day and your week. I want to thank our
15 audiovisual folks. As usual, good job, guys. Mikes,
16 good job. And thank you.

17 Before I close the meeting, are there any
18 additional comments? Yes, Dr. Hussain?

19 DR. HUSSAIN: Ma'am, I had requested a few
20 minutes to answer Dr. Lamborn's question, but I did
21 not.

22 ACTING CHAIRMAN DRAKE: Shoot.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HUSSAIN: I requested that Dale Conner
2 do that on my behalf.

3 ACTING CHAIRMAN DRAKE: You did, and I
4 forgot, and I apologize. Would it be more useful to
5 do it now or would it be more useful to do it the next
6 meeting? May I ask that question?

7 DR. HUSSAIN: We could close with that
8 comment.

9 ACTING CHAIRMAN DRAKE: You want to close
10 with this? Please go ahead, and I apologize for
11 forgetting. I usually make notes, and I didn't --
12 because it was during break, and I didn't make a note.

13 DR. CONNER: Since I've only had probably
14 15 or 20 minutes and I wasn't preparing to talk --

15 ACTING CHAIRMAN DRAKE: That's okay. I'm
16 only going to give you four minutes anyway.

17 DR. CONNER: Just a few comments, you
18 know, probably not in a very logical order. If I had
19 two or three more minutes to prepare, I might have
20 gotten --

21 ACTING CHAIRMAN DRAKE: You will have it
22 at the next meeting, for certain, but give us some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 closing thoughts. This is important.

2 DR. CONNER: First off, I think we've
3 addressed the fact of what we're after with
4 bioequivalence in approving a generic product. I've
5 been in many, many, many discussions like this, and
6 some of them with simply internal FDA people, and the
7 discussion always gets to the point where people
8 become confused and mix up BA and BE, and they mix up
9 proving efficacy of a product or a drug substance
10 versus simply formulation comparisons.

11 What we are really after with generic
12 drugs is doing a formulation comparison, and it's a
13 much, much simpler question than BA or clinical
14 efficacy of the primary product. All we are trying to
15 do is form a bridge between this other formulation and
16 the one where we have already done extensive testing
17 and proved, hopefully to everyone's satisfaction, that
18 it works, and that it's safe within known quantities.

19 So I mean, that's important to always keep
20 in mind. The bioequivalence testing we do, no matter
21 what it is, is always an artificial situation. You
22 look at any of the generic drugs we do, and I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a lot earlier the fact that, well, the clinical
2 differences, you know, that I see between these
3 different products aren't all that great; yet you are
4 showing big effects on DPK, and that really says that
5 DPK is probably a little bit -- a lot more
6 discriminating.

7 Perhaps the danger of this, if we answer
8 all the other questions, is it's going to be overly
9 discriminating. It is going to perhaps knock out
10 products that are fairly close together, because it
11 simply says there's a difference here, but that
12 difference may not mean anything, you know, in
13 clinical settings.

14 So in effect, we may have something that
15 actually knocks out products that might ordinarily
16 work exactly the same way in the clinical setting.
17 But from a regulator's standpoint, I'd rather deal with
18 that than with something that underdiscriminates.

19 Finally, and I'll finish up, the part
20 about the sensitivity of clinical versus the kinetic
21 way of doing things -- You will have to remember that
22 clinical and pharmacodynamics operate on a sigmoidal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dose response curve.

2 So you can be -- You know, at very low
3 doses you can see next to no effect. You get to a
4 part where you increase dose or exposure. You get to
5 kind of a steep, almost linear portion. Then finally
6 you get up to a part where you have a plateau. Even
7 if you increase the dose by considerable amounts, you
8 don't really see any difference in effect.

9 Unfortunately, most of these drugs are
10 kind of in the upper part of the dose response curve.
11 So even increasing the dose by significant amounts
12 really doesn't give you that big of -- big or any
13 difference between the products.

14 One thing, when you look at
15 pharmacodynamics or clinical effects, and to do a very
16 discerning study on those, you really have to make
17 sure you are in that steep part or else the test
18 really has no ability to differentiate between
19 products, even if they are very, very different.

20 That's something you always have to keep
21 in mind when you look at clinical or pharmacodynamic
22 effects; whereas, kinetics usually in most cases are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

CERTIFICATE

This is to certify that the foregoing transcript
in the matter of: JOINT MEETING

Before: PHARMACEUTICAL SCIENCE AND
DERMATOLOGIC AND OPHTHALMIC
DRUGS ADVISORY COMMITTEES

Date: NOVEMBER 17, 2000

Place: ROCKVILLE, MARYLAND

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

Rebecca Davis